

VIDEOCONFERENCE MEETING  
STATE OF CALIFORNIA  
ENVIRONMENTAL PROTECTION AGENCY  
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT  
PROPOSITION 65  
CARCINOGEN IDENTIFICATION COMMITTEE

ZOOM PLATFORM

MONDAY, DECEMBER 6, 2021  
10:00 A.M.

JAMES F. PETERS, CSR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

APPEARANCES

COMMITTEE MEMBERS:

Thomas M. Mack, MD, MPH, Chairperson

Jason Bush, PhD

Catherine Crespi, PhD

David A. Eastmond, PhD

Thomas McDonald, PhD, MPH

Michele La Merrill, PhD

Joseph Landolph, PhD

Dana Loomis, PhD

Peggy Reynolds, PhD

Mariana Stern, PhD

Luoping Zhang, PhD

STAFF:

Lauren Zeise, PhD, Director

Vince Cogliano, PhD, Deputy Director, Division of  
Scientific Programs

Carol Monahan Cummings, Chief Counsel

Neela Guha, PhD, MPH, Research Scientist III, Cancer  
Toxicology and Epidemiology Section, Reproductive and  
Cancer Hazard Assessment Branch

Jennifer Hsieh, PhD, MS, DABT, Staff Toxicologist, Cancer  
Toxicology and Epidemiology Section, Reproductive and  
Cancer Hazard Assessment Branch

Julian Leichty, Proposition 65 Implementation Program

APPEARANCES CONTINUED

STAFF:

Kate Li, PhD, DABT, Staff Toxicologist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Elizabeth Marder, PhD, Senior Environmental Scientist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Gwendolyn Osborne, MD, MPH, Staff Toxicologist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Karin Ricker, PhD, Staff Toxicologist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Martha Sandy, PhD, MPH, Chief, Reproductive and Cancer Hazard Assessment Branch

Meng Sun, PhD, MS, Chief, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Feng Tsai, PhD, MS, Staff Toxicologist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

ALSO PRESENT:

John Bottorff, CleanEarth4Kids.org

Suzanne Hume, CleanEarth4Kids.org

Jimena Diaz Leiva, PhD, Center for Environmental Health

Steve Risotto, American Chemistry Council

INDEX

	<u>PAGE</u>
I. Welcome and Opening Remarks	1
II. Consideration of Perfluorooctane Sulfonic Acid (PFOS) and its Salts and Transformation and Degradation Precursors as Known to the State to Cause Cancer:	
Staff Presentation	8
Committee Discussion	44
Public Comments	132
Committee Discussion and Decision	143
III. Consent Item - Update of the California Code of Regulations Title 27 Section 27000 List of Chemicals Which Have not Been Adequately Tested as Required	159
IV Staff Updates	
- Chemical Listings via the Administrative Listing Mechanisms and Safe Harbor Levels	162
- Other Regulations and Litigation	163
V Summary of Committee Actions	168
Adjournment	171
Reporter's Certificate	172



1 to do two things. First, join the Zoom webinar, and  
2 second, fill out a speaker request card. Information on  
3 how to join the Zoom webinar is shown on the slide that is  
4 being presented now. Go to the link  
5 [bit.ly/registercic2021](https://bit.ly/registercic2021) and register for today's Zoom  
6 webinar. You will receive a link to join the webinar at  
7 the end of the registration process. And if you provided  
8 a working email address, you will also receive an email  
9 with a link to join the webinar. Information on how to  
10 access the speaker request card is also shown on this  
11 slide. Go to [bit.ly/oehhacic2021](https://bit.ly/oehhacic2021) and request to speak on  
12 a specific agenda item. It is requested that your Zoom  
13 display name match the name you used to fill out the  
14 speaker request form. Individuals who have not submitted  
15 a speaker request card may also indicate their wish to  
16 make an oral comment by using the raise hand function when  
17 requested by the Chair.

18 DIRECTOR ZEISE: Okay. Any other things to  
19 cover, Elizabeth, before I introduce the Committee?

20 DR. MARDER: No. Please proceed.

21 DIRECTOR ZEISE: Okay. Thank you so much. All  
22 right. So welcome to the Committee. And I'll introduce  
23 the Committee to everyone. And as I introduce you, if you  
24 could just hold up your hand, so that people might be able  
25 to spot the movement.

1           Okay. So first starting with Dr. Jason Bush,  
2 Professor of Cancer Biology and Chair of the Department of  
3 Biology, California State University, Fresno.

4           Dr. Catherine Crespi, Professor and Resident of  
5 Biostatistics at the University of California Los Angeles,  
6 Fielding School of Public Health.

7           Dr. David Eastmond, Emeritus Professor of Cell  
8 Biology from the University of California, Riverside,  
9 Department of Molecular Cell and Systems Biology.

10          Dr. Michele La Merrill, Associate Professor, from  
11 the University of California, Davis, Department of  
12 Environmental Toxicology.

13          Dr. Joseph Landolph, Associate Professor of  
14 Molecular Microbiology and Immunology at the University of  
15 Southern California, Keck School of Medicine.

16          Dr. Dana Loomis, Director Plumas County Public  
17 Health Agency and Research Professor at the Desert  
18 Research Institute.

19          Dr. Thomas Mack, Professor of Preventative  
20 Medicine at the University of Southern California, Keck  
21 School of Medicine.

22          Dr. Thomas McDonald, Research Fellow, Global  
23 Stewardship at the Clorox Company.

24          Dr. Peggy Reynolds, Adjunct Professor at the  
25 University of California, San Francisco, Helen Diller

1 Comprehensive Cancer Center in the Department of  
2 Epidemiology and Biostatistics.

3 Dr. Mariana Stern, Professor of Clinical  
4 Preventative Medicine and Urology, and Ira Goodman Chair  
5 in Cancer Research at the University of Southern  
6 California, Keck School of Medicine.

7 Dr. Luoping Zhang, Adjunct Professor of  
8 Toxicology at the University of California, Berkeley  
9 School of Public Health.

10 So welcome, everyone. Thank you for taking time  
11 out of your busy schedules to support California as we  
12 move ahead in our Proposition 65 activities. We very much  
13 appreciate your participation in this meeting. I'm going  
14 to note now that Dr. Dana Loomis will be chairing the  
15 meeting today on behalf of Dr. Mack.

16 Now, I'm going to introduce the OEHHA staff. So  
17 staff if you could turn on your cameras as I introduce  
18 you. Dr. David Edwards, who's our new Chief Deputy  
19 Director at OEHHA, will be joining us at 10:30 and when he  
20 joins we can introduce him then; so Carol Monahan  
21 Cummings, our Chief Counsel; Dr. Vince Cogliano, our  
22 Deputy Director for Scientific Programs. And then from  
23 the Reproductive and Cancer Hazard Assessment Branch, Dr.  
24 Martha Sandy, the Branch Chief; Dr. Meng Sun, the Section  
25 Chief of the Cancer Toxicology and Epidemiology Section.



1           And now for introductions of the staff of the  
2 Cancer Toxicology and Epidemiology Section that the  
3 Committee will be hearing from later today: Dr. Feng Tsai,  
4 Dr. Neela Guha, Dr. Kate Li, Dr. Karin Ricker, Dr.  
5 Jennifer Hsieh, and Gwendolyn Osborne. Good morning,  
6 everyone.

7           And now from our Proposition 65 Implementation  
8 Program, Julian Leichty, Special Assistant for Program and  
9 Legislation. And then other staff in the program that  
10 will be participating in today's meeting, Esther  
11 Barajas-Ochoa, And Tyler Saechao.

12           Okay. And now I'm going to ask Carol Monahan  
13 Cummings, the OEHHA Chief Counsel, for some introductory  
14 remarks on Bagley-Keene and other legal issues related to  
15 today's meeting.

16           Carol.

17           CHIEF COUNSEL MONAHAN CUMMINGS: Thank you. Good  
18 morning, everybody. Good to see you all again. I just  
19 want to give you just a few reminders before you get  
20 started with the meeting. First, please remember that all  
21 your discussions and deliberations need to be conducted  
22 during the meeting, not on your breaks, or lunch, or with  
23 individual members on or offline, including via phone,  
24 email, chats, or text messages, or any other communication  
25 method.

1           Today, you will be considering the listing of  
2 chemicals that Dr. Zeise already mentioned. OEHHA takes  
3 no position regarding whether a chemical should be listed.  
4 Staff are available to answer questions or locate  
5 information for you, if needed. The Governor appointed  
6 you because of your scientific expertise to be the State's  
7 qualified experts on carcinogenicity of chemicals. And  
8 there's no need for you to feel compelled to go outside  
9 that charge.

10           We have provided you with the listing criteria  
11 adopted by the Committee. And you can base your decisions  
12 on the information and the criteria, but it is pretty  
13 broad and certainly allows you to apply your scientific  
14 expertise to the questions in front of the Committee.

15           Committee members should base your decisions on  
16 the scientific principles outlined in the guidance  
17 document as I mentioned, but you don't need to consider  
18 the potential future effect of a listing such as warnings  
19 on particular products.

20           You need to apply the criteria that the chemical  
21 has been clearly shown through scientifically valid  
22 testing, according to generally accepted principles, to  
23 cause cancer. That's the standard that you are applying.  
24 And it's a scientific judgment call. It's not a legal  
25 standard of proof.

1           The Committee can decide to list based on animal  
2 evidence. A chemical need not be shown to be a human  
3 carcinogen or whether or not the anticipated human  
4 exposures to the chemical are high enough to cause cancer  
5 at this time. If you need more information, need more  
6 time to think about the evidence or discuss it further  
7 before making a decision, there's no requirement that you  
8 make a decision today.

9           Feel free to ask clarifying questions of me or  
10 the other OEHHA staff during the meeting. If we don't  
11 know the answer to your question, we'll do our best to  
12 find it and report back to you.

13           Any questions?

14           Thank you.

15           DIRECTOR ZEISE: Thanks, Carol.

16           Okay. Now, I will turn the meeting over to  
17 today's meeting Chair, Dr. Dana Loomis.

18           COMMITTEE MEMBER LOOMIS: Thank you, Lauren.

19           I'd like to reiterate your greeting, welcome to  
20 everybody. Thanks for participating to members of the  
21 Committee and the public who are joining us today.

22           We'll now move on to the first substantive agenda  
23 item. So I'll call on Vince Cogliano to introduce the  
24 staff report.

25           **CONSIDERATION OF PERFLUOROOCCTANE SULFONIC ACID (PFOS)**

1            AND IT SALTS AND TRANSFORMATION AND DEGRADATION  
2            PRECURSORS AS KNOWN TO THE STATE TO CAUSE CANCER

3                            STAFF PRESENTATION

4            DR. COGLIANO: Thank you, Dana. Good morning,  
5 everyone.

6            I'd like to endorse Lauren's welcoming remarks,  
7 especially our appreciation for your service as experts on  
8 this Committee. You have an important role in bringing  
9 current science to bear on decisions to benefit the health  
10 of all the people of California. We know you're here  
11 today as a public service. And so to assist you, OEHHA  
12 has summarized the scientific evidence you will consider.

13            I'd like to turn the screen over to the Chief of  
14 our Reproductive and Cancer Hazard Assessment Branch, Dr.  
15 Martha Sandy, who will introduce the staff presentation.

16            Martha.

17            DR. SANDY: Thank you, Vince. Good morning,  
18 everyone. Let me provide some background information on  
19 the process by which perfluorooctane sulfonic acid, or  
20 PFOS, and its salts and transformation and degradation  
21 precursors was given a high priority and selected for  
22 listing consideration.

23            PFOS and its salts and transformation and  
24 degradation precursors was first brought to the CIC for  
25 consultation and prioritization back in 2010. With the

1 availability of new data, it was brought to the CIC for  
2 consultation and prioritization again last year in 2020,  
3 at which time the CIC recommended that PFOS and its salts  
4 and transformation and degradation precursors be placed in  
5 a high priority group for future listing consideration.

6 In 2021, OEHHA selected, "PFOS and its salts and  
7 transformation and degradation precursors", for  
8 consideration for listing, and in March of 2021, OEHHA  
9 solicited from the public information relevant to the  
10 assessment of the evidence on the carcinogenicity.

11 Information received at that time was reviewed,  
12 and considered by OEHHA in the course of preparing the  
13 September 2021 document. This document, as well as the  
14 references cited within it, the public comments received  
15 on the document, and an additional recent publication  
16 identified by a CIC member have been provided to you, the  
17 CIC, for your consideration.

18 I will now ask Dr. Meng Sun, Chief of the Cancer  
19 Toxicology and Epidemiology Section, which prepared this  
20 document, to make a few remarks.

21 DR. SUN: Thank you, Dr. Sandy. Good morning.  
22 The staff presentation that you'll be hearing and seeing  
23 today has been prerecorded and will consist of two parts,  
24 with a brief Q&A break in between and another Q&A break  
25 after the presentation. I would like to request that the

1 Committee members please hold your questions until the  
2 breaks.

3 OEHHA staff scientists are present at the meeting  
4 and will be able to answer any clarifying questions from  
5 the Committee during the breaks.

6 Thank you.

7 (Thereupon a slide presentation.)

8 DR. SANDY: So is it possible to start the  
9 presentation?

10 DR. MARDER: Yes. My apologies. One moment.

11 DR. TSAI: Good morning. Today we are here to  
12 present the evidence on the carcinogenicity of  
13 perfluorooctane sulfonic acid, also known as PFOS and its  
14 salts and transformation and degradation precursors. This  
15 presentation is an abbreviated version of the data that  
16 were reviewed in the hazard identification document, or  
17 HID for short.

18 I'd like to acknowledge that this HID was a group  
19 effort from all staff in the Cancer Toxicology and  
20 Epidemiology Section, not just those who are presenting  
21 today.

22 NEXT SLIDE

23 DR. TSAI: Before I start, I'd like to clarify  
24 that the evidence reviewed in this HID includes studies of  
25 PFOS and PFOS salts, and a few studies of PFOS precursors

1 that provide relevant information to evaluate the effects  
2 of PFOS. Here is an overview of today's presentation. We  
3 will start with some background information, such as use  
4 and exposure, and the systematic literature review  
5 approach that we implemented.

6 Next, we will present carcinogenicity data from  
7 human epidemiological studies followed by animal cancer  
8 bioassay data and data from mechanistic studies.  
9 Discussion of mechanistic data will include a brief  
10 summary of pharmacokinetics, a summary of data related to  
11 8 of the 10 key characteristics of carcinogens, and a  
12 comparison of PFOS and PFOA. We will end the presentation  
13 with a brief summary of the evidence.

14 NEXT SLIDE

15 DR. TSAI: PFOS is a man-made chemical belonging  
16 to the group known as PFASs. As shown in this figure,  
17 PFOS has a fully fluorinated 8-carbon chain with a  
18 sulfonic acid functional group. PFOS is in equilibrium  
19 with PFOS anion in the environment. PFOS and its salts  
20 and transformation and degradation precursors cover all  
21 chemicals that may form PFOS. Seventeen PFOS salts were  
22 identified, including PFOS potassium salt that was used as  
23 the test substance in the animal bioassays.

24 PFOS precursors are defined as substances  
25 containing this chemical moiety that can transform or

1 degrade to PFOS. Many PFOS precursors have been used in  
2 the manufacture of PFASs. We identified a non-exhaustive  
3 set of 169 PFOS precursors from literature review and  
4 verified them by computational model predictions or expert  
5 judgment.

6 NEXT SLIDE

7 DR. TSAI: PFOS and its salts and precursors are  
8 used widely in many applications for their stain-,  
9 grease-, heat-, or water-resistant properties. Two  
10 examples of consumer product uses are non-stick cookware  
11 and waterproof textiles. Human exposure to PFOS mainly  
12 comes from contaminated food and water. Given the  
13 strength of the carbon-fluorine bond, these chemicals are  
14 persistent and bioaccumulative. PFOS continues to be  
15 detected in the environment and in biomonitoring studies,  
16 such as Biomonitoring California and NHANES, or National  
17 Health and Nutritional Examination Survey. Even though  
18 the domestic production and use ended in the early 2000s.

19 A decreasing trend with time has generally been  
20 observed in biomonitoring studies, but PFOS levels in some  
21 populations, such as firefighters in California remain  
22 elevated.

23 NEXT SLIDE

24 DR. TSAI: This slide lists reviews of the  
25 carcinogenicity of PFOS conducted by California EPA and



1 other health agencies. In July 2021, OEHHA proposed a  
2 public health goal of 1 ppb for PFOS in drinking water,  
3 based on findings of liver and pancreatic tumors in  
4 laboratory animals. In terms of other reviews, U.S. EPA  
5 reviewed PFOS in 2016 and concluded that there was  
6 suggestive evidence of carcinogenic potential for PFOS.  
7 No other Proposition 65 authoritative bodies, such as IARC  
8 or NTP, have reviewed or classified PFOS as to its  
9 carcinogenicity.

10 In its 2021 review, ATSDR included U.S. EPA's  
11 conclusion and did not make its own. Health Canada  
12 acknowledged that chronic exposure to PFOS has been  
13 associated with both cancer and non-cancer effects in  
14 animals and humans. Both Health Canada and EFSA concluded  
15 that human evidence is equivocal or insufficient.

16 NEXT SLIDE

17 DR. TSAI: This slide provides an overview of the  
18 literature search and screening process used in developing  
19 this HID to ensure a comprehensive review of the studies  
20 that are most pertinent to the evidence of  
21 carcinogenicity.

22 First, primary searches in major biomedical  
23 databases, such as PubMed and Embase were conducted with  
24 defined literature search terms. Additional focused  
25 literature searches were conducted to identify more

1 subject-specific references. A web-based tool, Health  
2 Assessment Workspace Collaborative, or HAWC, was used for  
3 the systematic review of these references. These  
4 references were uploaded to HAWC for screening using  
5 specific inclusion and exclusion criteria.

6 In Level 1 screening, references were screened  
7 and tagged by the titles and abstracts. In Level 2  
8 screening, the full papers were reviewed and tagged based  
9 on a predefined tagging tree in HAWC.

10 Table Builder, a web-based application, was used  
11 to systematically extract and analyze the epidemiological  
12 data.

13 Overall, more than 1,400 references were included  
14 in the HAWC project. And around 500 references were cited  
15 in this HID.

16 NEXT SLIDE

17 DR. TSAI: This slide presents the multiple data  
18 streams that provide evidence relevant to carcinogenicity,  
19 including human and animal cancer data and mechanistic  
20 data. The mechanistic data consists of studies on  
21 pharmacokinetics, data related to the 10 key  
22 characteristics of carcinogens, and a comparison of PFOS  
23 and PFOA, which focused on animal cancer data and  
24 mechanistic information on data-rich endpoints.

25 With regard to the key characteristics of

1 carcinogens, carcinogens often share one or more KCs as  
2 multiple mechanisms may be related to carcinogenesis. The  
3 KC approach provides a framework for broader consideration  
4 of the mechanistic evidence. We use these 10 KCs to  
5 systematically identify, organize, and summarize the  
6 available mechanistic information. For today's  
7 presentation, we will focus on the eight KCs with more  
8 informative data shown in this figure.

9           Next, Dr. Guha will present the evidence from  
10 human epidemiological studies.

11                           NEXT SLIDE

12           DR. GUHA: I will now present the epidemiologic  
13 evidence.

14                           NEXT SLIDE

15           DR. GUHA: For the epidemiologic studies, our  
16 literature search identified 23 relevant studies that  
17 investigated associations between exposure to PFOS and  
18 cancer, 18 of which met the eligibility criteria for  
19 inclusion. We included studies that were of cohort and  
20 case control designs. Cross-sectional studies were  
21 excluded, due to the potential for reverse causation.  
22 However, similar concerns about reverse causation may also  
23 apply to case control studies with cross-sectional  
24 designs.

25           Ecologic studies without exposure data on the



1 usually grouped into selection bias, information bias, and  
2 confounding. Hill guidelines were considered for causal  
3 inference, such as consistency, temporality of the  
4 association, magnitude of association, and dose response.

5 NEXT SLIDE

6 DR. GUHA: There were also several considerations  
7 specific to assessing the epidemiologic literature on PFOS  
8 and cancer. One concern is that epidemiologic studies  
9 generally measured PFOS levels in the blood at a single  
10 time point. This could miss long-term changes in exposure  
11 or relevant exposure periods despite a long half-life for  
12 PFOS, which has been reported to range from 1.7 to 8.7  
13 years.

14 Another concern is the potential for reverse  
15 causation, particularly in the studies where serum PFOS  
16 levels were measured at or near the time of cancer  
17 diagnosis. Hormonal or other physiological changes, as  
18 well as behavioral changes, associated with the onset of  
19 disease and treatment may alter serum PFOS levels. Even  
20 though the half-life of PFOS in human blood can be long,  
21 it is unknown whether serum PFOS levels measured at or  
22 after the time of diagnosis reflect the PFOS levels in the  
23 time window relevant to cancer causation.

24 Co-exposures to other PFASs were not accounted  
25 for in most studies, and therefore could potentially

1 confound the results.

2 NEXT SLIDE

3 DR. GUHA: Here, we present the exposure  
4 characteristics of the studies of breast cancer stratified  
5 by timing of PFOS assessment before or after cancer  
6 diagnosis. The studies differed in PFOS exposure levels  
7 and the way they were reported, such as means or medians.  
8 The highest PFOS levels were observed in the only  
9 occupational study, which was conducted in a manufacturing  
10 facility in Decatur, Alabama.

11 This facility consisted of two plants, a chemical  
12 plant and a film plant. At the chemical plant, the major  
13 sulfonated fluorochemical manufactured was  
14 perfluorooctanesulfonyl fluoride, which can degrade or be  
15 metabolized to PFOS. Hence, these workers were considered  
16 to be exposed to PFOS.

17 Among chemical plant workers, the geometric mean  
18 serum level of PFOS was 900 nanograms per milliliter.  
19 Among film plant workers considered to be unexposed to  
20 PFOS, geometric mean serum levels were 100 nanograms per  
21 milliliter. This was high compared to the other  
22 populations as seen on this slide. This would bias risk  
23 estimates towards the null when comparing the exposed and  
24 unexposed.

25 Exposure to other fluorochemicals was likely,

1 including PFOA, due to the production of the chemicals  
2 themselves or as by-product of production. Biologic  
3 monitoring in this cohort showed that serum levels PFOA  
4 were slightly lower than PFOS, but correlated. The Inuit  
5 population of Greenland was highly exposed to PFOS and a  
6 number of other persistent organic pollutants, such as  
7 PCBs and organochlorine pesticides, making it difficult to  
8 disentangle the effect of individual compounds.

9 NEXT SLIDE

10 DR. GUHA: The results were inconsistent in the  
11 eight published studies that reported on the main effect  
12 of PFOS exposure and breast cancer. This forest plot is a  
13 snapshot of the studies with one estimate displayed per  
14 study when available. The hazard identification document  
15 presents the data in more detail.

16 In three studies that measured PFOS levels at or  
17 after breast cancer diagnosis, the results were mixed and  
18 reverse causation bias cannot be fully ruled out.  
19 However, the results were also mixed in the five  
20 publications that collected data on PFOS exposure prior to  
21 breast cancer diagnosis. Category level data are reported  
22 for two of these studies, Cohn and Mancini. The Cohn  
23 study differed from the other studies in that it assessed  
24 the association between maternal pregnancy serum PFOS  
25 levels and breast cancer in daughters. This study did not

1 present the main effects of PFOS, but stratified results.

2 This concludes the summary of the epidemiologic  
3 evidence. Next, Dr. Li will present the animal evidence.

4 NEXT SLIDE

5 DR. LI: I am going to present carcinogenicity  
6 studies in animals.

7 NEXT SLIDE

8 DR. LI: Here is an overview of available animal  
9 bioassays. Two-year carcinogenicity studies of PFOS in  
10 male and female Sprague-Dawley rats were conducted and  
11 reported by the 3M Company, authored by Thomford and the  
12 data were later published in the peer-reviewed article by  
13 Butenhoff et al. In these studies, 41-day old male and  
14 female rats with 50 animals per group, per sex were  
15 administered PFOS potassium salt in the diet at doses of  
16 0, 0.5, 2, 5, or 20 ppm for two years. Each study also  
17 included a 20 ppm recovery group with 40 animals per sex,  
18 in which the animals were administered 20 ppm PFOS  
19 potassium salt in the diet for one year, and then received  
20 basal diet for an additional year.

21 In addition, there is one tumor promotion study  
22 in rainbow trout. In this study, six-month dietary  
23 exposure to PFOS was examined as the tumor promoter after  
24 initiation with aflatoxin B1. We will present the tumor  
25 findings from these studies in the next few slides.







1 indicating the tumor promotion activity of PFOS. In this  
2 study, tumor incidence was reported as the percentage of  
3 fish with tumors.

4 This concludes our summary of the animal tumor  
5 data.

6 NEXT SLIDE

7 COMMITTEE MEMBER LOOMIS: Okay. Let's see  
8 whether the Committee has any questions of clarification  
9 at this point. Perhaps the best way to do that is to use  
10 the raise-hand feature, because I can't see everybody on  
11 the screen at one time. Are there any questions from the  
12 Committee?

13 COMMITTEE MEMBER EASTMOND: I raised my hand.

14 COMMITTEE MEMBER LOOMIS: Okay. Dr. Eastmond has  
15 a question.

16 COMMITTEE MEMBER EASTMOND: I have a couple of  
17 questions. And some of these refer to the document  
18 itself. So I guess the first one is with regards to these  
19 rare tumors, how is rare kind of defined among OEHHA?

20 DR. SANDY: Meng, do you want to take that or do  
21 you want me to?

22 DR. SUN: I can say a few words, and, Martha, if  
23 I miss anything, you can add.

24 So, Dr. Eastmond, a rare tumor is defined as  
25 occurring at the rate of less than one percent in control

1 animals that are not treated. We have been referring to  
2 several different historical databases for the SD rats.  
3 And these are all documented in the hazard identification  
4 documents for each tumor site.

5 COMMITTEE MEMBER EASTMOND: Okay. Thank you. I  
6 have a couple other related questions. So I noticed in  
7 the document that the historical controls were provided  
8 simply as a mean value. You know, it's the total number  
9 of tumors seen over a number of animals. And while that's  
10 helpful, I find it much more helpful to find the  
11 historical control ranges, because the way it's presented,  
12 you're showing the average historical range and not the  
13 actual -- I mean, the average historical incidence for  
14 these tumors and not the range that's seen over a series  
15 of studies, which I think is more informative or that --  
16 like the 95 percent confidence interval on that incidence  
17 of tumors. And I don't know if you have that information  
18 or not, but it was one thing that at least certainly in  
19 future reports, I hope you'll put the confidence intervals  
20 ranges down in addition to the sort of average.

21 And the one last -- I don't know if you have that  
22 information?

23 The last comment I have is -- has to do with just  
24 a couple of things. In Table 8, this is in the --  
25 essentially the tumor incidence in the liver in the female

1 rats, there's a difference in the number of animals  
2 between the -- evaluated for adenomas and carcinomas. And  
3 I didn't know if that was just sort of typo error or there  
4 was some explanation for it.

5 DR. SUN: For the denominators we're trying to  
6 use the number of animals alive at first occurrence of  
7 tumor. So if the adenomas and carcinomas happened on  
8 different day, the first tumor happened on different day,  
9 then the denominators could be different.

10 COMMITTEE MEMBER EASTMOND: So that's the first  
11 day you saw that type of tumor or that's the first day you  
12 saw a tumor?

13 DR. SUN: It would be the first day we saw this  
14 hepatocellular carcinoma in any of the groups.

15 COMMITTEE MEMBER EASTMOND: So --

16 DR. SANDY: So, David, to clarify, when we do  
17 this effective number calculation, which is standard for  
18 many -- for EPA and OEHHA in many instances, we are  
19 looking for each particular tumor type. So if we're  
20 looking at hepatocellular carcinoma, we look at the first  
21 occurrence of hepatocellular carcinoma in any treatment or  
22 control group, and then we look at those animals that were  
23 alive at that day, the first occurrence of tumor onward  
24 and develop the denominator. And for hepatocellular  
25 adenomas, we do the same thing. And then for combined,

1 it's the day of first occurrence of either hepatocellular  
2 adenoma or carcinoma.

3 COMMITTEE MEMBER EASTMOND: Okay. So -- well,  
4 let's -- I see that, but I mean it's somewhat interesting  
5 when it's combined. So if we're looking at the liver data  
6 there, there were 32 animals alive when the first  
7 carcinoma was seen, but when the first adenoma was seen,  
8 there were only 31 animals alive. Is this correct? So  
9 when you combine them, there were 32?

10 DR. SUN: Yes.

11 COMMITTEE MEMBER EASTMOND: Okay.

12 DR. SUN: Yes, that's correct. The first adenoma  
13 happened on day 666, which is later than the first  
14 carcinoma, which happened on day 653.

15 COMMITTEE MEMBER EASTMOND: Okay. All right.  
16 Well, thank you. That's helpful.

17 COMMITTEE MEMBER LOOMIS: Anything else, Dr.  
18 Eastmond?

19 COMMITTEE MEMBER EASTMOND: Well, it just seemed  
20 to me -- well, maybe I'll look at this -- look at the  
21 numbers here again in light of something. So I just going  
22 to -- wanted to point out that maybe I'm wrong, but it  
23 seems like in Table 6 that for the -- if I have this  
24 correct, for the islet cell adenoma data, the control  
25 incidence in this particular case exceeds the historical

1 control values that you listed in the document and I'm  
2 assuming I'm interpreting that correct, does that seem  
3 correct to you?

4 DR. SUN: Dr. Eastmond, could you clarify, by  
5 Table 6, do you mean in the hazard identification  
6 document?

7 COMMITTEE MEMBER EASTMOND: Yes, in the hazard  
8 identification document.

9 DR. SUN: And which tumor type are you referring  
10 to, the pancreatic tumors?

11 COMMITTEE MEMBER EASTMOND: Pancreatic tumors,  
12 the islet cell adenomas, there were four seen out of 44  
13 animals in the animals dosed at zero parts per million,  
14 the control. And that seems to exceed the reported  
15 historical controls on the previous page, is that correct?

16 DR. SUN: The reported historical control  
17 incidence is around 8 percent for combined.

18 COMMITTEE MEMBER EASTMOND: Yeah. And so this  
19 one is somewhat over 8 percent, right? It's about 9  
20 percent. So, I mean, I just -- I guess this is bringing  
21 up this point again about -- it's why I think it's useful  
22 to see the range in the historical controls, the 95  
23 percent confidence intervals, because as it's presented,  
24 we're seeing the average value across a whole bunch of  
25 studies. And some studies, half of them are going to have

1 higher values and half are going to have lower values.

2           Anyway, that was -- just those are my questions  
3 or points.

4           COMMITTEE MEMBER LOOMIS: Thanks. Maybe the  
5 staff can find that information for the Committee  
6 discussion later on.

7           Let's see whether there are any other questions  
8 of clarification from the Committee. Anyone else?

9           DR. MARDER: Dr. Bush has a question.

10           COMMITTEE MEMBER LOOMIS: Okay. Thank you, Dr.  
11 Bush.

12           COMMITTEE MEMBER BUSH: Thank you. Yes. So the  
13 pancreatic islet data, that was extracted from the  
14 original Thomford report, is that correct, from 2002,  
15 because it wasn't in the Butenhoff paper?

16           DR. SUN: Yes. And this from Thomford as well,  
17 yes.

18           COMMITTEE MEMBER BUSH: Okay. Thank you. I must  
19 confess I didn't read the Thomford paper report, because  
20 it was a 4,000-page beast, so we're taking your word for  
21 that. But it wasn't published in the Butenhoff paper. So  
22 just a point of clarification.

23           Thank you.

24           COMMITTEE MEMBER LOOMIS: Okay. Thanks.  
25 Anything else from the Committee at this point?



1 I think I'll need the facilitator to let me know  
2 if any hands are raised. I can't see that function on my  
3 screen.

4 DR. MARDER: There are no more hands raised.

5 COMMITTEE MEMBER LOOMIS: Okay. Let's go ahead  
6 then with the second part of the staff presentation.  
7 We'll move on to pharmacokinetics and the key  
8 characteristics of carcinogens.

9 NEXT SLIDE

10 DR. RICKER: We are now at the second part of our  
11 presentation, which covers mechanistic considerations and  
12 other relevant data. I will start with pharmacokinetics.

13 NEXT SLIDE

14 DR. RICKER: Here is a short summary of the  
15 pharmacokinetics of PFOS. PFOS is well absorbed following  
16 oral administration in animal studies. PFOS binds to  
17 proteins such as serum albumin and the liver fatty  
18 acid-binding protein. It is widely distributed in the  
19 body with preferential accumulation in liver, plasma, and  
20 kidney, but it has also been detected in lung, brain,  
21 gonads, bone and other tissues. As indicated here, PFOS  
22 cross the blood-brain barrier and placenta. It is also  
23 detected in breast milk.

24 Excretion is slow and includes urinary and fecal  
25 excretion, and incorporation into nails and hair. In

1 animals, excretion rates and amounts can vary amongst  
2 species. PFOS undergoes enterohepatic circulation in  
3 humans and animals.

4 In females, additional PFOS elimination routes  
5 include pregnancy related losses, elimination via breast  
6 milk, and menstrual blood loss.

7 PFOS is not known to be metabolized. The  
8 half-life in humans is long compared to other species, and  
9 ranges from 1.7 to 8.7 years. It is up to 200 days in  
10 monkeys and it is 83 days or less in rodents.

11 Several precursors, such as perfluorooctane  
12 sulfonamides, have been shown to form PFOS via  
13 biotransformation in in vivo or in vitro studies, as  
14 discussed in more detail in the HID.

15 NEXT SLIDE

16 DR. RICKER: We are now going to present  
17 mechanistic data for PFOS organized by the 10 key  
18 characteristics of carcinogens. These are the key  
19 characteristics exhibited by human carcinogens identified  
20 through a comprehensive review of mechanistic information  
21 available on IARC Group 1 carcinogens.

22 We will be presenting a brief summary of the  
23 cases that had more informative data shown here in bold on  
24 this slide. More detailed descriptions of individual  
25 findings for these eight KCs can be found in the HID. The

1 KCs will be presented in numeric order. I start with KCs  
2 2 and 4 through 6. Dr. Hsieh will present KCs 7 through  
3 10.

4 NEXT SLIDE

5 DR. RICKER: We begin with Key Characteristic 2  
6 is genotoxic. For this KC, there is some evidence on  
7 mutagenicity and suggestive evidence of chromosomal  
8 effects and DNA damage induced by PFOS. PFOS is not  
9 mutagenic in bacterial assays, but induced mutations in  
10 transgenic mice and fish, and in rodent cells in vitro.  
11 Several studies found induction of micronuclei, although  
12 one study showed negative results in a human cell line.

13 In rats, increased micronuclei in bone marrow,  
14 peripheral blood cells, and hepatocytes were observed in  
15 several studies. No increase of micronuclei were reported  
16 in one study in male erythrocytes.

17 In mice, increased micronuclei were seen in  
18 hepatocytes of transgenic mice, but not in mouse bone  
19 marrow. Increased micronuclei were also seen in zebrafish  
20 and in mussels and onion. As to effects on chromosomal  
21 aberration, one study reported no effect in human  
22 peripheral blood cells, while another reported increase  
23 chromosomal aberration in onion cells.

24 NEXT SLIDE

25 DR. RICKER: We continue with KC 2. Positive

1 evidence for induction of DNA damage was observed in  
2 humans and various experimental systems.

3 Evidence for DNA strand breaks. There were  
4 increases in one of three studies conducted in human HepG2  
5 cells, but no effects in sperm cells in vitro obtained  
6 from human volunteers; increases in bone marrow,  
7 peripheral blood cells, and hepatocytes of treated rats,  
8 but no effects in Syrian hamster embryo cells in vitro;  
9 increases in primary mouse Leydig cells and increases in  
10 peripheral blood cells of fish and in most, but not all  
11 other species tested.

12 There is additional evidence on DNA damage. One  
13 study reported increased gamma-H2AX, a biomarker of DNA  
14 damage in transgenic mouse cells in vitro; increases in  
15 the number of foci of the DNA damage checkpoint protein  
16 Hus-1 in germ cells of C. elegans. The serum levels of  
17 PFOS was associated with the level of  
18 8-hydroxydeoxyguanosine in human urine samples in two out  
19 of three studies. PFOS did not increase unscheduled DNA  
20 synthesis in rat primary liver cell cultures.

21 This is the summary of the evidence for KC 2.

22 NEXT SLIDE

23 DR. RICKER: Moving on to the next key  
24 characteristic, KC 4, induces epigenetic alterations. A  
25 number of studies related to epigenetic alterations that

1 may be relevant to carcinogenesis were identified in  
2 humans and animals. Here are some of these effects and  
3 examples.

4 Prenatal PFOS exposure was associated with DNA  
5 methylation in cord blood of two CpG sites in two human  
6 genes. Both genes have been found to be altered in  
7 several human cancers.

8 Global Alu hypomethylation was also associated  
9 with PFOS in cord blood in the birth cohort study. A  
10 global pattern of hypomethylation is one of the  
11 characteristics of a cancer cell. One mouse and two rat  
12 studies found changes in microRNA profiles that have been  
13 linked to malformation. MicroRNAs play a crucial role in  
14 the regulation of cancer-associated processes, including  
15 proliferation, differentiation, and apoptosis.

16 Finally, DNA methyltransferases, DNMT for short,  
17 can lead to reduced expression of tumor suppressor genes.  
18 Expression of DNA methyltransferase 3a was increased in  
19 two studies in rats. Altered DNA methyltransferase  
20 expression was also seen in human cells in vitro.

21 This is the summary of evidence for KC 4.

22 NEXT SLIDE

23 DR. RICKER: Now, we come to KC 5, the induction  
24 of oxidative stress. Positive findings from human studies  
25 are presented in bold on this slide. As mentioned under

1 KC 2, two out of three human studies observed a positive  
2 association between urinary 8-hydroxydeoxyguanosine, a  
3 biomarker for oxidative DNA damage and PFOS levels in  
4 serum. Significant increases of reactive oxygen and  
5 nitrogen species, and lipid peroxidation were also  
6 reported in human studies and in multiple experimental  
7 test systems.

8 Changes were also reported in the total  
9 antioxidant capacity, the antioxidant enzyme activities or  
10 levels, and glutathione status.

11 Up- or down-regulation in the protein or gene  
12 expression of Nrf2 were observed in mice and zebrafish.  
13 Nrf2 is a key regulator of cellular resistance to oxidative  
14 stress. Reduced levels of Nrf2 protein were observed in  
15 mice, and increased levels of Nrf2 gene or protein in  
16 zebrafish during the uptake phase and decreased expression  
17 during the depuration phase.

18 There's also some evidence from omic studies.  
19 Microarray and bioinformatic analyses showed that several  
20 pathways or genes related to the oxidative stress response  
21 were significantly modified in the PFOS-treated group.  
22 That's the summary of evidence for KC 5.

23 NEXT SLIDE

24 DR. RICKER: The next KC is the KC 6, induces  
25 chronic inflammation.

1           The effects of PFOS on pro-inflammatory cytokine  
2 production, have been tested in multiple human cell types  
3 in vitro and in several animal studies in vivo and in  
4 vitro.

5           In humans, the following results were reported:

6           Increases of interleukin 1 in two studies in  
7 human -- in human bronchial epithelial cells and  
8 lymphocytes; decreases of interleukin 10 and interferon  
9 gamma in two studies using human peripheral blood  
10 leukocytes; decreases of tumor necrosis factor alpha  
11 secretion mRNA expression in human blood cells in two  
12 studies and decreases of the chemokine CXCL 10 in one  
13 study; findings for several other interleukins were  
14 unclear with decreases, increases, or no change reported;  
15 in animals, increases of interleukin 1 were observed in  
16 multiple species; decreases of interleukin 2 in mice and  
17 interleukin 8 in chicken embryo cells; increases of  
18 interleukin 15 and transforming growth factor beta, both  
19 in zebrafish, neither increase nor decrease was reported  
20 for interleukin 5 production in mouse cells; and findings  
21 for several other interleukins and cytokines were unclear  
22 with decreases, increases, or no change reported.

23           This is the summary for evidence for KC 6. I'm  
24 now handing over the presentation to Dr. Hsieh.

25                           NEXT SLIDE

1 DR. HSIEH: Our next key characteristic of  
2 carcinogens is KC 7, is immunosuppressive.

3 IgM responses were suppressed in several studies  
4 in mouse both with and without antigen challenge. Two  
5 studies reported no change. One study in rats reported an  
6 increase in IgM following PFOS treatment. PFOS reduced  
7 the number and the proliferation of thymocytes and  
8 splenocytes in mice in multiple studies. Two studies, one  
9 in mice and one in rats, reported no change. In a study  
10 using dolphin peripheral blood leucocytes, PFOS-induced  
11 dose-dependent T cell proliferation.

12 Regarding natural killer cell, or NK cell,  
13 activity, one human study and four studies in mice  
14 reported decreases in NK cell activity following exposure  
15 to PFOS. Two studies reported increase in male mice.

16 That's the summary of evidence for KC 7.

17 NEXT SLIDE

18 DR. HSIEH: In the following four slides, I will  
19 cover the key characteristic 8 receptor-mediated effects  
20 starting with estrogenic effects.

21 Several studies shows that PFOS has effects on  
22 estrogen receptor, or ER for short, on estradiol levels.  
23 PFOS was negative associated with estradiol levels in  
24 women and girls in several studies, but several studies  
25 did not find an association. In human cells, in vitro,



1 PFOS increased ER alpha and beta reporter activity,  
2 increased cell proliferation in breast epithelial cells,  
3 and down-regulated expression of estrogen-responsive  
4 genes. PFOS also reduced estradiol levels in placental  
5 cells and increased estradiol levels in adrenal cells.

6 In rodent studies in vivo, PFOS increased  
7 expression of ER alpha and beta, altered the estrous cycle  
8 in rats, and induced gene expression profile similar to  
9 the profile of known ER alpha agonist. PFOS also  
10 increased estradiol levels in female rats, decreased  
11 estradiol levels in female mice, and had no effect in male  
12 mice. In fish, PFOS increased or decreased vitellogenin  
13 expression at different time points, altered gene related  
14 to ER production, and altered ER alpha and beta expression  
15 and, weakly bound to liver ER in trout. It also increased  
16 estradiol levels in female zebrafish.

17 NEXT SLIDE

18 DR. HSIEH: Continue on androgen receptor and  
19 testosterone effects. Several observational studies in  
20 humans found significant associations of PFOS with  
21 testosterone levels, many but not all were inverse. In  
22 human cells in vitro, PFOS antagonized  
23 dihydrotestosterone, or DHT for short, induced androgen  
24 receptor activity in humans cells in one study. PFOS  
25 increased testosterone in two studies and decreased in one

1 study.

2 In an in vivo study in rats, PFOS increased or  
3 decreased androgen receptor expression in different  
4 tissues. It also decreased testosterone in rodents in  
5 vivo and in vitro.

6 Lastly, PFOS antagonized DHT-induced human  
7 androgen receptor activities in a reporter gene study in  
8 Chinese hamster ovary cells.

9 NEXT SLIDE

10 DR. HSIEH: Next, regarding other receptors, PFOS  
11 also induced peroxisome proliferator-activated receptor,  
12 or PPAR-alpha activity in several test systems, including  
13 human cells in vitro, rodents in vivo, animal cells in  
14 vitro, and several species of fish. It seems that PFOS is  
15 a weaker agonist of human PPAR-alpha compared to rats or  
16 mouse PPAR-alpha. Yet, PFOS was able to activate  
17 PPAR-alpha-mediated gene expression in human hepatocytes  
18 in two studies. Additionally, two studies with  
19 PPAR-alpha-knockout mice demonstrate that PFOS can exert  
20 effect through PPAR-alpha independent mechanisms, although  
21 PPAR-alpha appears to be the primary nuclear receptor  
22 target of PFOS in rodents.

23 NEXT SLIDE

24 DR. HSIEH: Last, but not the least, PFOS also  
25 affected other receptors, such as PPAR-gamma, pregnane X

1 receptor, PXR for short, constitutive androstane receptor,  
2 CAR for short, and PPAR-beta/delta in human cells in  
3 vitro, rodents in vivo and animal cells in vitro, and fish  
4 studies.

5           Regarding effects on thyroid hormone, there were  
6 no consistent trend in effect on thyroid hormones across  
7 studies in the general human population. In animals, the  
8 overall body of evidence suggests PFOS decreases thyroid  
9 hormone levels. Mechanistic studies suggest it may  
10 interact with thyroid hormone transporters and receptors.

11           That conclude the summary of evidence for KC 8.

12                           NEXT SLIDE

13           DR. HSIEH: Now, we move on to KC 9, cause  
14 immortalization. There are only a few studies available  
15 on PFOS on KC 9 listed as follows.

16           Inconsistent results has been reported for the  
17 association between serum PFOS level and telomere length  
18 from human blood samples, with positive associations in a  
19 U.S. population, a weakly positive association in a  
20 California birth cohort, and inverse associations in a  
21 Belgian population. One study reported that PFOS  
22 increased the transformation frequency of Syrian hamster  
23 embryo cell and another study reported that PFOS induced  
24 malignant transformation of a normal human breast  
25 epithelial cell line. That's the summary of evidence for

1 KC 9.

2 NEXT SLIDE

3 DR. HSIEH: The last KC I'm presenting is KC 10,  
4 alters cell proliferation, cell death, or nutrient supply.

5 Multiple in vitro studies shows an increase in  
6 proliferation in human cells. In two rat studies, PFOS  
7 increases cell proliferation or inhibits apoptosis in the  
8 liver.

9 A third rat study reported early transcriptional  
10 changes related to cell cycle control, apoptosis, and  
11 proliferation in the liver of rats exposed to PFOS in  
12 utero and through lactation. PFOS also altered the  
13 expression of proteins linked to cell proliferation,  
14 including increased level of cell cycle proteins and  
15 growth factors in a human liver cell line.

16 One study reported that PFOS inhibits gap  
17 junctional intercellular communications in the rat liver  
18 cell line. An in vitro study in primary salmon  
19 hepatocytes reported a slight decrease in apoptosis and a  
20 significant decrease in caspase 3B.

21 That's the summary of evidence for KC 10.

22 I'm now handing the presentation over to Dr.  
23 Osborne. She will start with the comparison of PFOS and  
24 PFOA.

25 NEXT SLIDE

1 DR. OSBORNE: I'm going to present a brief  
2 comparison of the data for PFOS and PFOA starting with  
3 tumors observed in rat cancer bioassays. We have PFOS in  
4 the middle column and PFOA on the right with tumor sites  
5 found in both chemicals in bold.

6 PFOS and PFOA both induce liver tumors in male  
7 and female rats. Pancreatic tumors were seen in male rats  
8 treated with PFOS and in male and female rats treated with  
9 PFOA, although they were different cell types. Mammary  
10 gland fibroadenomas were also observed in female rats  
11 treated with PFOS or PFOA.

12 NEXT SLIDE

13 DR. OSBORNE: We also looked at some data-rich  
14 endpoints for each chemical. Both have evidence for  
15 genotoxic effects, including chromosomal effects and DNA  
16 damage. They both induce effects related to oxidative  
17 stress, such as oxidative DNA damage, increased reactive  
18 oxygen and nitrogen species, and both alter total  
19 antioxidant capacity. Each can also suppress the immune  
20 system, as shown by reduction of IgM production and  
21 decrease in cellularity and proliferation of T and B  
22 cells.

23 Finally, both have quite a bit of data related to  
24 receptor-mediated effects. For example, both have shown  
25 they can alter expression of genes related to ER alpha,

1 PPAR-alpha, PPAR-gamma, PXR, and CAR.

2 That concludes our brief comparison of PFOS and  
3 PFOA.

4 NEXT SLIDE

5 DR. OSBORNE: Now, I will present a summary of  
6 the evidence from today's presentation.

7 NEXT SLIDE

8 DR. OSBORNE: To summarize data from  
9 carcinogenicity studies, the majority of human  
10 epidemiological studies looked at breast cancer. The  
11 results were mixed regardless of whether PFOS levels are  
12 measured before or after breast cancer diagnosis. There  
13 were not enough studies to draw conclusions for other  
14 cancer sites. For animals, long-term carcinogenicity  
15 studies were conducted in male and female rats.

16 Liver and thyroid tumors were observed in both  
17 males and females. Pancreatic tumors were observed in  
18 male rats and mammary gland tumors were observed in female  
19 rats. In a tumor promotion study in rainbow trout, in  
20 which PFOS was administered as the promoter after  
21 initiation with aflatoxin B1, liver tumors were observed.

22 NEXT SLIDE

23 DR. OSBORNE: Finally, there were data for many  
24 of the key characteristics of carcinogens. For KC 2,  
25 there is some evidence of mutagenicity and suggestive

1 evidence of chromosomal effects and DNA damage.

2 For KC 4, studies have reported altered  
3 methylation of regions associated with specific genes,  
4 global methylation, and microRNA changes, and alterations  
5 in expression of DNA methyltransferases.

6 For KC 5, there are data showing PFOS induced  
7 oxidative DNA damage, generation of reactive oxygen or  
8 nitrogen species, and lipid peroxidation from studies in  
9 humans, rodents, zebrafish, and plants.

10 For KC 7, the available data on IgM, T cells, B  
11 cells, and NK cells suggest that PFOS can suppress the  
12 immune system in ways that allow neoplastic cells to evade  
13 immune surveillance.

14 For KC 8, animal studies reported that PFOS  
15 alters the expression of genes regulated by multiple  
16 different receptors. PFOS also altered androgen receptor  
17 expression in rats. Animal studies reported increases in  
18 estradiol levels and decreases in thyroid hormone levels.  
19 Additionally, evidence for an estrogenic effect of PFOS in  
20 humans comes from increased estrogen receptor reporter  
21 activity, and cell proliferation in several human cell  
22 lines.

23 For KC 10, studies reported increased cell  
24 proliferation, inhibited apoptosis, and inhibited gap  
25 junctional intercellular communication in rats and/or in

1 human and rat cells

2 For two KCs, the data are unclear or mixed. For  
3 KC 6, the effects on pro-inflammatory cytokines are  
4 unclear. For KC 9, inconsistent results have been  
5 reported for the association between serum PFOS levels and  
6 telomere length from human blood samples. And that  
7 concludes our presentation of the data regarding the  
8 carcinogenicity of PFOS, its salts, and transformation and  
9 degradation precursors.

10 Thank you for your attention and we're happy to  
11 take any questions.

12 **COMMITTEE DISCUSSION**

13 COMMITTEE MEMBER LOOMIS: Thank you.

14 Let's do the same thing again. We'll invite the  
15 Committee to ask any questions of clarification. Best to  
16 raise your hand and then the facilitator will let me know  
17 if there are any questions.

18 DR. MARDER: Dr. La Merrill has her hand raised.

19 COMMITTEE MEMBER LOOMIS: Okay. Go ahead please.

20 COMMITTEE MEMBER LA MERRILL: Yes. Good morning.  
21 I'm just curious if we could elaborate a little bit on the  
22 evidence for lowered thyroid hormone, which was presented  
23 as part of KC 8. It seemed in the materials that were  
24 provided to us that it was kind of a summary of a summary.  
25 And I was wondering if, in particular, you could elaborate



1 on which species that was evaluated in, and perhaps, you  
2 know, how many studies contributed to that summary?

3 COMMITTEE MEMBER LA MERRILL: Did my audio work?  
4 Do you hear me okay?

5 COMMITTEE MEMBER LOOMIS: No, we do now.

6 DR. MARDER: We did hear your question.

7 COMMITTEE MEMBER LOOMIS: So is anyone on staff  
8 able to respond to that question?

9 DR. MARDER: I'm hearing that Dr. Sun's audio has  
10 frozen on Zoom, of course.

11 Dr. Sandy.

12 DR. SANDY: Yeah. So let's -- when Dr. Sun has  
13 her audio back, we'll let her respond. I can say that  
14 we -- if we can't respond right now, we'll get back to you  
15 in a few minutes.

16 COMMITTEE MEMBER LOOMIS: Well, while we're  
17 waiting, let's see if there are any other questions for  
18 the Committee -- from the Committee rather?

19 DR. SUN: Hello. Can you hear me?

20 DR. MARDER: It looks like we have Dr. Sun back.

21 COMMITTEE MEMBER LOOMIS: Okay. Let's go ahead  
22 with the response then. Thank you.

23 DR. SUN: Thank you. Sorry for the technical  
24 glitch. My Zoom was frozen.

25 Yeah. Regarding thyroid hormone effects, our

1 summary is basically taken from the OEHHA draft document  
2 for the proposed PHG, the Public Health Goal, which  
3 reviewed the U.S. EPA documents on thyroid effects. And  
4 what we have in the hazard identified document is based on  
5 animal studies over a body of evidence. There is  
6 suggestion that PFOS decreased thyroid hormone levels.  
7 And we also summarized several studies in the human  
8 population. So you can refer to page, let me see, 121 for  
9 a brief summary.

10 COMMITTEE MEMBER LA MERRILL: Yes I have that  
11 page in front of me. I was just hoping that you could  
12 elaborate on the summary of the summary. But I suppose  
13 you're saying that you're unable to specify which animal  
14 species that contribute to or how many studies were  
15 incorporated into that statement?

16 Thanks.

17 DR. SUN: We can check on that and get back to  
18 you later.

19 COMMITTEE MEMBER LA MERRILL: Thank you.

20 COMMITTEE MEMBER LOOMIS: Okay. Let's move on  
21 then and see if the Committee has any further questions.

22 DR. MARDER: Both Dr. Landolph and Dr. McDonald  
23 have their hands raised.

24 COMMITTEE MEMBER LOOMIS: Okay. Dr. Landolph, go  
25 ahead, please.

1 DR. MARDER: Dr. Landolph.

2 COMMITTEE MEMBER LOOMIS: Dr. Landolph, I did  
3 call on you.

4 COMMITTEE MEMBER LANDOLPH: Can you hear me now?

5 COMMITTEE MEMBER LOOMIS: Yes.

6 COMMITTEE MEMBER LANDOLPH: Yeah. Thank you.

7 In the -- in the reactive oxygen species  
8 induction and the formation of 8-hydroxydeoxyguanosine,  
9 were those results dose dependent upon these compounds and  
10 was the apoptosis decrease was that dose dependent, and  
11 were the cell transformation studies in the SHE cells and  
12 the normal human breast epithelial cells, were those  
13 inductions of transformed cells dose dependent?

14 DR. SUN: I can start by answering the question  
15 on the oxidative DNA damage measurement. If you take a  
16 look at the document Table G1, there is dose dependence in  
17 several of the studies.

18 COMMITTEE MEMBER LANDOLPH: Thank you.

19 DR. SUN: And your other question is on the cell  
20 transformation studies?

21 COMMITTEE MEMBER LANDOLPH: Um-hmm.

22 DR. SUN: Let me check on that right now.

23 COMMITTEE MEMBER LANDOLPH: Thank you.

24 COMMITTEE MEMBER LOOMIS: If you need a few  
25 minutes to check that, we can go on to Dr. McDonald's

1 question. How shall we proceed?

2 DR. SUN: I can just quickly say that in the cell  
3 transformation study in rats SHE cells, there were effects  
4 at 0.37 and 3.7 micromolar, but not at higher  
5 concentrations. And both these doses are considered  
6 non-cytotoxic.

7 COMMITTEE MEMBER LANDOLPH: Thank you again.

8 COMMITTEE MEMBER LOOMIS: Okay. Anything else  
9 for you, Dr. Landolph?

10 COMMITTEE MEMBER LANDOLPH: And the inhibition of  
11 the gap junctional communication, was that dose dependent  
12 with these compounds at non-cytotoxic concentrations, do  
13 you know?

14 DR. HSIEH: Yeah, I can answer that question,  
15 yes. A particular study shows dose dependent on the gap  
16 junction inhibition.

17 COMMITTEE MEMBER LANDOLPH: Thank you very much.

18 DR. HSIEH: Um-hmm.

19 COMMITTEE MEMBER LOOMIS: Anything else?

20 All right. Let's go on to Dr. McDonald then.

21 COMMITTEE MEMBER McDONALD: Yeah, I just had a  
22 question around chronic inflammation. I did notice in the  
23 two-year bioassay, which is the only chronic study, that  
24 there was no evidence of inflammation based on  
25 histopathology. I also saw that you included a lot of

1 acute and subacute two-week studies. I just wondered how  
2 much you think we should focus on the validity of those  
3 short-term studies for chronic inflammation?

4 DR. SUN: Yes. We did gather the data that we  
5 have in regard to the release of inflammatory cytokines  
6 and chemokines, but how to interpret it is up to the  
7 committee for chronic inflammation.

8 COMMITTEE MEMBER MACDONALD: Okay. We can  
9 discuss it during the Committee time.

10 COMMITTEE MEMBER LOOMIS: All right. It looks  
11 like Dr. Eastmond has a hand up.

12 Go ahead, please.

13 Can't hear you.

14 DR. MARDER: You are muted, Dr. Eastmond.

15 COMMITTEE MEMBER LOOMIS: You're muted.

16 (Laughter.)

17 COMMITTEE MEMBER EASTMOND: All right. Thanks.

18 I've just been thinking a little bit about sort  
19 of the doses on these studies. And so for a compound  
20 which is really poorly excreted, such as PFOS, and when  
21 you start looking at, you know, even intermediate term  
22 exposures, you're really getting accumulation of chemical  
23 over time. It would seem to me that -- so the effective  
24 dose -- internal dose is actually quite a bit higher than  
25 one would think based upon the administered dose. Did

1 that go into any of your thinking or discussion on some of  
2 these endpoints?

3 DR. SUN: For the two-year animal cancer  
4 bioassays, we did report serum concentrations, a myriad  
5 of -- measured at variable time points, and calculated  
6 achieved lifetime average daily dose daily concentration,  
7 and it's in the hazard identification document.

8 COMMITTEE MEMBER EASTMOND: Okay. I was thinking  
9 of that from -- it's more of sort of interpreting some of  
10 these things that are intermediate doses, where you think  
11 it's happening at fairly low doses, but in reality,  
12 there's -- the body burden in these animals is probably  
13 much higher than we're thinking, because it's so poorly  
14 excreted.

15 The stability of this compound is one of the  
16 challenges, both in the environment, but also in a living  
17 organism. So I thought I'd ask.

18 Thanks.

19 COMMITTEE MEMBER LOOMIS: Thank you. Are there  
20 any other clarifying questions for the staff?

21 Very good.

22 Hearing none. We'll move on to the next part of  
23 the agenda, the Committee discussion. And so the way  
24 we'll go through this is that we'll begin with the human  
25 studies, and then work through the animal cancer studies

1 and discussion of mechanistic studies.

2           So for each one of those areas, the pro -- the  
3 initial discussant will go first. So for human studies  
4 that will be Mariana Stern and then I'll add to her  
5 comments. For the animal cancer studies, Dr. Landolph  
6 followed by Dr. Bush. And for the mechanistic studies,  
7 Dr. La Merrill, then Dr. Eastmond, and Dr. Zhang.

8           So what I'd like to do is ask the initial  
9 discussions -- initial discussants not to read their  
10 reports verbatim, if they have a written report, but to  
11 provide a summary for the Committee. And then the second  
12 discussant, and third discussants if there is one, can  
13 simply add to those any additional comments or other  
14 assessments of the data, if that's okay. So we'll begin  
15 with the epidemiologic studies and, Dr. Stern, I hope you  
16 will agree to lead that off.

17           COMMITTEE MEMBER STERN: Yes. Thank you, Dr.  
18 Loomis. So I'll try -- given that we got a very nice  
19 presentation from the staff, I'll try to not repeat too  
20 much, but I'll try to summarize the evidence that was  
21 provided to us and that we studied.

22           So as it was mentioned, there were 19 total  
23 studies that were identified that met the requirements,  
24 that include at least 10 different cancers with the main  
25 cancer being breast cancer. The studies include both

1 studies that ascertain PFOS before diagnosis of the  
2 cancer, which is the ideal scenario is to determine  
3 causality, as well as studies that assert the PFOS  
4 exposure at the time of diagnosis.

5           There were four different prospective cohorts  
6 that reported studies, which included the -- an  
7 occupational cohort in Alabama from the 3M Company, a  
8 Danish birth cohort, the Child Health and Development  
9 Study cohort here in California, and a French cohort.

10           So I won't provide details of the cohorts, but if  
11 there are any questions, I'm happy to respond to those.  
12 So as mentioned, the main cancer site that was studied was  
13 breast with a total of 10 studies, five that examined PFOS  
14 before diagnosis, and five that examined PFOS after -- at  
15 the time of diagnosis.

16           I'll focus mostly on the -- on the four cohort  
17 studies that reported on PFOS, because those are the data  
18 that we think are most valuable. And the Decatur cohort,  
19 which is the Alabama cohort, which is the only  
20 occupational cohort that reported on PFOS, and as  
21 described compare individuals who work in the chemical  
22 plant to individuals who work in the film plant, which are  
23 supposed to be non-exposed.

24           That said, as shown by the staff, the level of  
25 exposure of individuals in this cohort is considerably



1 higher than what has been reported for the general  
2 population. This raises concern, because we are basically  
3 comparing people that already have a very high level of  
4 exposure to people who have even higher level of exposure,  
5 so there is a chance that we may not detect that  
6 difference in incidence or mortality.

7 In spite of that, they did report a positive  
8 association with mortality, when comparing the two groups.  
9 However, it was based on very small numbers, because they  
10 didn't have enough people to count enough deaths. So that  
11 information is important, but it's based on very small  
12 numbers.

13 The Danish cohort did not report positive  
14 associations for PFOS. They did see some associations  
15 here and there, but nothing consistent. Now, the French  
16 cohort did report positive associations for PFOS and  
17 breast cancer. And what I found interesting is that they  
18 found that the association was stronger when considering  
19 subtypes of breast cancer tumors, in particular tumors  
20 that are estrogen and progesterone positive tumors.

21 This is one of the few studies that took into  
22 account breast cancer subtypes. And I think this is  
23 important because it might be that PFOS association with  
24 that particular type of breast cancer and there's a  
25 synergy between PFOS and estrogen. And that might not be

1 present in tumors that are estrogen receptor negative or  
2 progesterone receptor negative.

3           Unfortunately, there were not many other studies  
4 that took that into account. The California pregnancy  
5 cohort reported a positive association between breast  
6 cancer and EtFOSSA but not with PFOS. And as reported  
7 before, EtFOSSA is a precursor of PFOS.

8           So overall for prospective studies, the data  
9 seems very limited and inadequate, because there were few  
10 studies. And the one that I found most informative is the  
11 one that actually considers subtypes of breast cancer and  
12 that study did find a positive association with PFOS, and  
13 as well as the occupational cohort, although that is based  
14 on small numbers.

15           Now, among the studies that ascertain PFOS at the  
16 time of diagnosis, there were five studies. Four were  
17 done among Inuit women in Greenland and one was nested  
18 within the California Teachers Study. For the studies  
19 done in Greenland, and as reported by the staff, this is a  
20 population that has a high level of exposure not only to  
21 PFOS, but other chemicals. They did report a positive  
22 association with PFOS, which was confirmed in a follow-up  
23 study within these women.

24           However, one concern in this cohort is that there  
25 could be correlation with other chemicals and they were

1 not able or they did not adjust for these potential  
2 confounders. The California Teachers Study did not show  
3 evidence of association with PFOS across the participants.

4 So altogether, the evidence for PFOS and breast  
5 cancer seems inadequate. Most studies showed, if we look  
6 at the data altogether as shown in that forest plot, there  
7 is a trend towards a positive association. However,  
8 that it's only significant in a few of the studies.  
9 However, I want to highlight that it seems that estrogen  
10 at that dose may matter. And that one study from the  
11 French cohort supports the evidence that it could be.  
12 They show a dose response trend and a significant trend of  
13 association with estrogen receptor positive and  
14 progesterone receptor positive.

15 For the other cancers that we investigated, the  
16 data was very sparse. Altogether, the occupational cohort  
17 from Alabama showed positive association with bladder  
18 cancer, but it's based on small numbers, and no  
19 association with prostate cancer or any of the other  
20 cancers.

21 The Denmark cohort show association with bladder,  
22 pancreas, and liver -- did not show association with  
23 bladder, pancreas, and liver. And it showed a  
24 non-significant positive association with prostate. So --  
25 and when we look at all the other studies that assess

1 exposure at the time of diagnosis, there was overall --  
2 the only remarkable thing that I observed was the  
3 significant positive association with prostate cancer  
4 among many in Sweden, which was restricted to men who had  
5 family history of cancer and a significant positive  
6 association with renal cancer among participants of the  
7 PLCO trial. However, when they adjusted for other PFAS,  
8 this association was attenuated.

9           So my final conclusion is that the evidence for  
10 prospective studies seems limited in breast cancer with  
11 some evidence for an association with estrogen-receptor  
12 and progesterone-receptor positive cancers. And there's  
13 inadequate evidence for the other cancers with the  
14 potentially strongest one being prostate cancer and renal  
15 cancer.

16           The main concerns across all studies is potential  
17 correlation with other PFAS, which was considered in a few  
18 of the studies, but not consistently considered across all  
19 the studies. And the other concern is that -- two  
20 additional concerns. One is that the association could  
21 potentially be limited to one particular subtype or  
22 subtypes of breast cancer, and this was not considered in  
23 all the studies. And the other concern is that there is  
24 the thought -- and this is mentioned across many of the  
25 studies, that there could be an important window of

1 exposure for PFOS, perhaps when women -- for breast cancer  
2 for women when they are in their puberty. And some of  
3 these studies, because of the timing, they did not capture  
4 that or the women have not been exposed to PFOS because of  
5 the timing of when PFOS was available in the environment.

6 Yes, so I think that I'll stop here and let Dr.  
7 Loomis add anything that I may have missed.

8 COMMITTEE MEMBER LOOMIS: Thank you. That was an  
9 excellent summary. I don't have very much to add. I will  
10 say that I find all of these studies to be quite  
11 challenging. There are not many studies available on  
12 human cancer and PFOS. Even 11 for breast cancer really  
13 shakes out to just a small number of cohorts.

14 That Alabama occupational cohort study is kind of  
15 an outlier among all of these. It's the only study that  
16 assessed exposure with a method other than blood or serum  
17 measurement of PFOS. So it's interesting to look at those  
18 results. However, that study, as Dr. Stern already  
19 pointed out, is challenging, because the comparison  
20 occupational population had fairly high exposures already.  
21 And there's also selective reporting in that study, so  
22 going through the results for all of the sites other than  
23 breast cancer, the Alabama study comes up several times  
24 with positive results, but that's because they only  
25 reported the cancer sites that did have positive

1 associations. So it's a bit of a -- you know, it's a bit  
2 difficult to assess the results of that study.

3           So for me, I would agree that the evidence for  
4 all of the cancer sites except breast is essentially  
5 inadequate. I noted some positive findings for prostate  
6 cancer, but there are only three studies. And one of  
7 those three, the one from Sweden, as Dr. Stern already  
8 said, was based on sampling at time of diagnosis, so there  
9 are questions about the interpretation of the exposure  
10 data in that one and then other urinary cancers, the  
11 California -- or the PLCO study also had positive results,  
12 interesting, but just one study. So -- and that one is  
13 also inadequate for me.

14           So that leaves us with the breast cancer studies.  
15 I largely concur with Dr. Stern's assessment with those.  
16 Again, they were quite challenging because of the exposure  
17 assessment issues. The five studies that assessed  
18 exposure at or after diagnosis result from only two  
19 different study populations. And all of those are really  
20 difficult to interpret, because of the potential for what  
21 we're now calling reverse causation.

22           So going back to the other five studies on breast  
23 cancer, they're also rather difficult to interpret. The  
24 reverse causation problem is not there. But still despite  
25 the long half-life of PFOS, you know, we're typically

1 looking at exposure measurements that were made at one  
2 point in time in trying to relay those to cancer occurring  
3 later, so all of these studies are rather limited in terms  
4 of exposure assessment.

5           The most informative one for me was the French  
6 Teachers Cohort Study, which is very interesting, because  
7 it's the only one that looked at breast cancer subtypes  
8 and did find those positive results with receptor-positive  
9 cancer. So I concur with the assessment of the breast  
10 cancer studies. I find the evidence to be limited at  
11 best. Happy to discuss that with the Committee when we  
12 get to that point in the Committee report.

13           But now let's move on to the animal cancer  
14 studies, and Dr. Landolph's assessment first.

15           You're on mute.

16           COMMITTEE MEMBER LANDOLPH: Okay. Gotcha. Can  
17 you hear me now?

18           COMMITTEE MEMBER LOOMIS: Yes.

19           COMMITTEE MEMBER LANDOLPH: Thank you. Thank  
20 you, Dr. Loomis. And I really appreciated reading through  
21 this document. It's prepared very well by Dr. Lauren  
22 Zeise and her staff and the scientists.

23           The studies in the Sprague-Dawley rats on the  
24 page 47, the liver studies, hepatocellular adenomas,  
25 increased in a dose-dependent fashion and the trend test

1 was positive at the 0.006 level. The islet cell adenomas  
2 of the pancreas were just flat. It's flat all the way  
3 across, so that's no induction. For the pancreas, the  
4 islet cell carcinomas went up in a crudely dose-dependent  
5 fashion and the trend test was positive at 0.048. And so  
6 I accepted those studies. Of course, you rarely see  
7 repeats of them, which is something I always like to see,  
8 but you almost never see.

9           For page 48, the thyroid follicular cell adenoma  
10 was up by a factor of 3 at the 20 parts per million dose,  
11 which was the only one tested in addition to 0. And then  
12 in addition, for the two-year studies in the  
13 Sprague-Dawley rats, again you go to liver, and that was  
14 dose dependent, but it really comes up at the highest  
15 dose, but the trend test was positive at P is less than  
16 0.01. And the carcinomas was zeros across the board until  
17 the high dose. But when you add the two together,  
18 adenomas and carcinomas of the liver, you got a  
19 dose-dependent effect and statistically significant at the  
20 high point and the trend test was positive at P less than  
21 0.01.

22           Then for the thyroid -- the follicular cell  
23 adenomas didn't show but a few tumors. And the trend was  
24 not significant. The follicular cell carcinomas there was  
25 one and that was not significant for trend and when you



1 combined them it was not significant for the trend. And  
2 for the thyroid adenomas, the background was too high to  
3 make any conclusions for that for me.

4           And then they did also tumor incidence in female  
5 animals, rats. And they did a very weak -- got a very  
6 weak induction at 20 parts per million for follicular cell  
7 adenomas from zero to one, so that's kind of a weak  
8 effect.

9           And then the -- they did a study in rainbow trout  
10 using aflatoxin B1 as an initiator and PFOS as a --  
11 potassium PFOS as a promoter. And that went from one  
12 percent up to 13 percent and it was statistically  
13 significant at P is less than 0.01.

14           So my conclusions there are that data was  
15 positive in a number of tumors in different experiments  
16 and there was statistical significance in the trend test,  
17 so I accepted that. It doesn't blow the doors off. It's  
18 not, you know, so positive that it's as strong as  
19 something like aflatoxin, but I would call it kind of a  
20 moderate response.

21           And then I was impressed by the reactive oxygen  
22 species going up, some of the antioxidant enzymes going  
23 down, and it was dose dependent as the staff reported.  
24 There were immunosuppressive effects in the key  
25 characteristics. And then the senescent cells went down,

1 apoptosis went down, and the immortality went up, so that  
2 leads to the disturbing idea that in this -- these  
3 ancillary characteristics, you're seeing the properties of  
4 carcinogenesis coming up. The gap junctional inhibition  
5 of communication -- gap junctional communication went down  
6 and that was reported to me to be statistically -- I'm  
7 sorry to be dose dependent by the staff.

8           And let's see what else. And the cell  
9 transformation provoked my interest, because it was a  
10 dose-dependent induction of transformed cells and SHE  
11 cells.

12           So all this together leads me to integrate this  
13 together and indicate that I think the carcinogenicity  
14 studies in animals are positive and the ancillary data,  
15 which is the gap junctional communication inhibition and  
16 the oxygen radical species leading to oxidative damage  
17 going up, and some of the gene toxicity database leading  
18 up, as I integrate that data, it looks to me like these  
19 are positive. I'm a little bit bothered that the major --  
20 some of the major authoritative bodies haven't either not  
21 taken this on or didn't come to any significant  
22 conclusions yet. So that puts us a little bit out in  
23 front, if we were to call this positive.

24           But I would say that the animal carcinogenicity  
25 data and the ancillary cell transformation data gap

1 junctional communication inhibition look positive to me.

2 Thank you.

3 COMMITTEE MEMBER LOOMIS: Okay. Thank you very  
4 much. Let's move on to Dr. Bush for any additional  
5 comments on the animal cancer studies.

6 COMMITTEE MEMBER BUSH: Yeah. Thank you, Dr.  
7 Loomis. I appreciate that. And great summary from Dr.  
8 Landolph. I do want to as well commend Dr. Sandy and the  
9 OEHHA team for compiling the hazard ID documents. No  
10 small task for this class of chemicals. And I have read  
11 the public comments from the seven submissions that were  
12 given to us.

13 I'm going to take a slightly different approach  
14 than Dr. Landolph. I was less enthusiastic about the  
15 animal data. And regrettably, there aren't more animal  
16 studies to make a more compelling argument. The tone of  
17 the hazard document for me in the executive summary kind  
18 of paint a clear picture. But then when I dig into the  
19 animal data, it's a little less clear.

20 Just a couple of -- well a few notes to piggyback  
21 off of Dr. Landolph's observations. You know, the authors  
22 themselves indicate that when it come to the mortality  
23 from PFOS surprisingly and paradoxically the authors note  
24 in the Butenhoff paper that survival was unaffected in  
25 females at the two highest doses and then actually

1 increased for males in the two highest dose groups, which  
2 is a little weird and maybe explained by some of the liver  
3 pathology that we're seeing.

4           In terms of the Sprague-Dawley study, the -- for  
5 me, the hepatic adenomas were really -- well,  
6 statistically significant still seemed marginal to me. I  
7 didn't see as much of a dose response there. For the  
8 other hyperplasias in the thyroid and the mammary, they  
9 seemed rather unremarkable to me. And, in fact, the  
10 authors refer to them as spurious. So we've got this kind  
11 of inconsistency between dose response and some of the  
12 temporal patterns, that when we compare the between male  
13 and female.

14           The thyroid follicular cell adenomas were  
15 increased in males exposed to the highest dose, but not  
16 males that were exposed to the same dose at the full two  
17 years of the study. So again this inconsistency that  
18 we're seeing.

19           The combined follicular cell adenomas and the  
20 carcinomas were increased only in the females in the  
21 second highest exposure, but not in the high-dose females.

22           For the pancreatic islet carcinomas, again while  
23 statistically significant, it is still really marginal for  
24 me in the Sprague-Dawley males. And then the other study,  
25 the rainbow trout, while the data was a little more

1 convincing there, the study was really meant to be a model  
2 of independence as -- or independence from peroxisome  
3 proliferation. So this two-step approach of using an  
4 initiator and then like aflatoxin, and then the promoter,  
5 initiation with aflatoxin seemed to show a significance in  
6 liver cancers, but only with that initiation. PFOS alone  
7 did not generate any liver tumors, and that's in Table 10  
8 in the document.

9           And surprisingly, and not reported in the -- in  
10 the HID that this same paper also used a second initiator,  
11 MNNG, to induce liver carcinogenesis, they used PFOA  
12 instead. But there is -- and saw tumors, but there was no  
13 statistical change in tumor profile when you compare with  
14 just the initiator alone.

15           That then kind of builds into the third potential  
16 paper, or animal study, excuse me, the Filgo paper from  
17 2015 that used the PPAR-alpha knockout model. While they  
18 found increased hepatocellular adenomas, there was really  
19 no malignancy. There was only the hypertrophy  
20 hyperplasia. The other tumor types weren't statistically  
21 significant, and many that were didn't have a clear dose  
22 response.

23           So for me, taken together, the animal data, while  
24 close and suggestive, you know, does not appear to be  
25 definitive enough. And so I would -- I would defer to my

1 colleagues in hearing some of the -- their perspectives on  
2 the mechanistic side. And I think for the same reasons  
3 that other authoritative bodies, like Health Canada, U.S.  
4 EPA, and the European Food Safety haven't ruled on this, I  
5 need a little more convincing. Some of the studies were  
6 just too problematic for me. So with that, I will yield  
7 my time.

8 COMMITTEE MEMBER LOOMIS: Okay. Thank you very  
9 much. Well, it sounds like we need to hear about the  
10 mechanistic evidence. And so that's what we'll do next  
11 starting with Dr. La Merrill's summary.

12 COMMITTEE MEMBER LA MERRILL: Okay. Just  
13 scrolling up on my notes. Just a moment.

14 So we heard the really nice summary from OEHHA  
15 staff. And thank you all for all the incredibly hard work  
16 you put in to make this service easier for all of us on  
17 the Committee.

18 I didn't, in particular, find any PK aspects that  
19 I thought were particularly relevant to my interpretation  
20 of the mechanistic data. I will though acknowledge that  
21 the half-life is significantly longer in humans compared  
22 to rodents and monkeys, which may be at play for some of  
23 you.

24 It looked like the ToxCast data that was provided  
25 had issues, because the purity levels weren't stated and I

1 ignored the assays as a result of the concern that was  
2 raised in our report.

3 KC 1, electrophilic was -- had inadequate -- it  
4 wasn't really even covered in the report. For genotoxic,  
5 I would say that we did see evidence of mutagenic effects  
6 of PFOS in transgenic mice and fish, as well as rodent in  
7 vitro models, but not bacteria.

8 DNA strand breaks was probably where we had the  
9 most evidence. There was dose-dependent effects in rats.  
10 There was also evidence of DNA strand breaks in primary  
11 mouse Leydig cells, as well as a number of non-mammalian  
12 species, zebrafish, carp, earthworms, flatworms, daphnia,  
13 onion. And then there was three studies of HepG2, which  
14 is a human hepatic cancer cell line, and in one of those  
15 three, we saw DNA strand breaks there.

16 Some of the null data with respect to DNA strand  
17 breaks also included a study of human sperm, human  
18 lymphocytes, and Syrian hamster embryo that was hybridized  
19 with human chromosome 11, where they did an actual assay  
20 on strand breaks in chromosome 11 from the human.

21 And then as we heard, there was some evaluation  
22 of gamma-H2AX, which is a protein and it tags DNA strand  
23 breaks. And that was increased in a transgenic mouse cell  
24 line. There were 8-oxodG changes, which I'd rather  
25 discuss with oxidative stress, since it's really a marker

1 of oxidative DNA damage. But I thought that was quite  
2 compelling and that it had two -- two human studies where  
3 there was a dose-dependent relationship between PFOS and  
4 circulation and 8-oxodG DNA damage, with a third study  
5 being null.

6           And then there's a couple of micronuclei studies,  
7 where we had in vivo -- in vivo effects, and a couple of  
8 rat studies, mussel and onion, in vitro in rodents, but it  
9 was negative in human and hamster cells and mouse. So,  
10 you know, depending on where the Committee wants to think  
11 about 8-oxodG, which I think is quite strong, I would say  
12 that evidence for genotoxicity is limited in the sense  
13 that while we're seeing it across multiple species, and  
14 that is frankly compelling and makes me look more  
15 carefully at the data, the fact that when people looked in  
16 the human systems, it wasn't as supported and made me  
17 question whether or not this was actually relevant to the  
18 human condition.

19           For KC 3 DNA damage and repair with instability,  
20 I thought that the evidence was inadequate. There really  
21 wasn't any evidence.

22           KC 4 is the key characteristic on epigenetics.  
23 So I have broke my assessment of that into different  
24 classes of DNA methylation first and then there was also  
25 some evidence for non-coding RNA, specifically microRNA.



1 So with respect to global DNA methylation, which has been  
2 attributed to genome stabilizing functions, and those are  
3 usually hypomethylated with cancer, we've got -- we have a  
4 handful of different studies across people and rodents  
5 indicating that there's hyper global methylation,  
6 hypomethylation, and null. I think it's in general pretty  
7 much all over the place and I couldn't apply in that  
8 sense.

9           There's a number of different ways that we look  
10 at global methylation were sensitive, literally global  
11 methylation, including these things called Alu and LINE  
12 and Sat-alpha units. And again, I thought that the data  
13 was pretty equivocal in those, with LINE being more often  
14 hypomethylated compared to just one -- or two studies  
15 rather, that were null. Yeah, actually that's a wash.  
16 Sorry I misread my notes there.

17           Moving on to DNA methylation specifically in gene  
18 sites. What I was really looking for apparent  
19 consistencies in more than one study, and particularly if  
20 it was going to appear in humans. There were only one,  
21 two, three DNA methylation marks near genes that were  
22 repeated and independently in more than one human study,  
23 and the same direction in both of those studies. There  
24 was an additional three DNA methylation marks that were  
25 significant in more than one study, but the direction of

1 the change in methylation relative to PFOS wasn't the same  
2 in both studies, so I consider that a bit weaker.

3 I did -- these three that had the same direction  
4 are CYP2E1, SMAD3, and SLC17A9, whereas the ones that  
5 were in two studies, but not the same direction, are  
6 KLHL35, HOOK2, and ZBTB7A.

7 I did just a quick search to identify whether or  
8 not there was any meta-analyses or systematic reviews in  
9 the literature relating any of these genes to cancer just  
10 to look for additional evidence to support whether or not  
11 the -- this is plausible from a causality perspective.  
12 And I did find one meta-analysis from BMC Cancer that  
13 basically identified that SMAD3 is a transcription factor  
14 that is basically negatively regulated by a different gene  
15 called WWOX. And this can repress gene expression  
16 activities through typical transcription factor activity  
17 to basically modulate lung cancer metastases related to  
18 breast cancer. So I thought that sounded relevant to the  
19 fact that we have this limited evidence for breast cancer.  
20 That was the only one that I found anything worth  
21 mentioning.

22 With respect to specific DNA methylation genes,  
23 there were a couple of pathway analyses done on DNA  
24 methylation. So the Faroe Island population, they found  
25 cord blood was enriched with changes in DNA methylation

1 and the cancer pathway. And another study looked at human  
2 mesenchymal stem cells, which are pluripotent stem cell  
3 line, which had enrichment for molecular mechanisms of  
4 cancer and G1/S checkpoint. They used different softwares  
5 for the pathway analysis, so the fact that the exact name  
6 of the pathways doesn't match is to be expected in that  
7 context. You know, so I would consider that supporting  
8 evidence at best.

9           With respect to non-coding RNA, there was only  
10 studies for microRNA, no long non-coding RNA, for example.  
11 So I want to say there was perhaps half a dozen or so  
12 microarray studies, a number of them were using human  
13 cancer cell lines and then a couple of them were in  
14 rodents. There was two rat studies and one in mouse. And  
15 so I only identified microRNA that appeared in at least  
16 one study.

17           So for microRNA 22, it appeared in two human  
18 neuroblastoma cell lines and was increased in those cell  
19 lines, but decreased in rat liver, so three studies total.  
20 I will point out that one of the things that people who do  
21 epigenetic research all stand by is the fact that these  
22 are basically signals that allow for tissue and cell  
23 specificity. So looking between tissues I think is -- and  
24 expecting the same direction of change is not necessarily  
25 anchored in biological reality. I did not find any

1 meta-analysis or systemic reviews indicating that 22 is  
2 associated with cancer.

3           For microRNA 192, we had it decreased in two  
4 different rat liver studies. And it was increased in the  
5 blood from a mouse study. And this increased level of 192  
6 in blood, so in the same direction as blood was  
7 mentioned measured in the -- in the mice is increase -- is  
8 associated with increased cancer survival in five studies  
9 with meta-analysis. So perhaps not the correct  
10 association direction, if you will.

11           Now, microRNA 122 was decreased also in the  
12 livers of rats and it was increased in the blood from  
13 mice. This one I thought had the strongest evidence and  
14 relevance to the outcomes here. We saw -- oh, excuse me,  
15 I looked for microRNA 122 systemic reviews and there was a  
16 number of meta-analyses looking at it with respect to  
17 cancer, so I just -- in the last two years, there was  
18 three. They were all focused on hepatocellular carcinoma,  
19 one by a group Zhang et al. in 2019 associated low  
20 microRNA 122 with poor HCC-related survival. And that was  
21 based on 11 different human studies, and was validated  
22 using data from the Cancer Genome Atlas. So that's again  
23 the same direction that was seen in the change with the  
24 rats.

25           And then a study last year Wei et al., 2020,

1 indicated that 122 could be diagnostic for HCC. That was  
2 based on six studies. And then in the same year, a  
3 different group suggest it could be diagnostic based on  
4 the criteria that led to 13 studies included in their  
5 systemic graph. So, of course, there's strengths and  
6 limitations -- or, excuse me, that was a meta-analysis --  
7 to each type of meta-analysis, but overall I could see  
8 just by skimming the earlier meta-analyses that you were  
9 getting more of the same.

10 Let's see, the last microRNA that was changed in  
11 more than one study was microRNA-200C. And this was  
12 increased in mouse blood and in the rat liver from one of  
13 the earlier rat liver studies. So increased microRNA in  
14 two different rodent studies of 200C. And then again when  
15 I did the meta-analysis search, high microRNA-200C is  
16 associated with worst cancer survival in 58 studies  
17 actually by a group named Wang et al., '19. And then  
18 upregulation of microRNA C has been associated with it  
19 looks like ovarian cancer more frequently, but I didn't  
20 get a chance to look into meta-analyses specific to each  
21 cancer, but there's a -- there's a lot of meta-analyses on  
22 the microRNA-200s.

23 There's only one study of histones. I thought it  
24 was pretty unremarkable. With respect to what is often  
25 referred to as readers, writers, and erasers of epigenetic

1 processes, there's these DNA methyltransferases that can  
2 be involved in the maintenance and de novo application of  
3 DN -- of methylation to DNA. There was one that came up a  
4 number of times in particular and that was DNMT3a. It was  
5 increased in two rat studies and it was increased in one  
6 of the human neuroblastoma studies, but it was decreased  
7 in human trophoblast study. So two out of three studies  
8 in one direction. Overall, I would say that I think that  
9 this epigenetic data is limited to perhaps strong. And  
10 with strong I think in particular the consistency of DNA  
11 methylation and microRNA. With the DNA methylation being  
12 from several human studies in particular that were  
13 independent, I thought was strong, and then being  
14 supported by the consistencies in microRNA that are  
15 associated with human cancer conditions.

16 Lots in the epigenetic section. Moving on to KC  
17 5, oxidative stress, I thought this also actually was  
18 strong on the basis of the two human observational studies  
19 that had the dose response with 8-oxodG that I mentioned  
20 when we were talking about genotoxicity.

21 So just to share in that, we had two observation  
22 studies. One was a study of 126 people who were over 60  
23 in years. The other was almost 600 people and that was 22  
24 to 63 years old. The third study that was null was  
25 larger. And I did a little bit of looking at kind of what

1 was the potential differences. They were all based on the  
2 Asian continent, but the third null study that had 848, I  
3 noticed that that group was quite a bit younger. They  
4 were only 12 to 30 years old. And so I don't know if that  
5 could be related perhaps to aging.

6 But I think the fact that we're seeing a  
7 dose-dependent change in 8-oxodG and association of that  
8 with PFOS in humans in two independent populations to me  
9 is already strong enough that I don't need to look really  
10 at the other data for oxidative stress. However, there is  
11 certainly other support. 8-oxodG was also increased in  
12 lettuce seedlings. There was no other data on 8-oxodG  
13 with respect to other species to support it. But overall,  
14 I think the data for that is strong.

15 There's a number of studies indicating that PFOS  
16 is associated with oxidative stress with oxygen, reactive  
17 oxygen species as well as nitric oxide. Let's see here.  
18 Multiple experimental test systems, including HepG2, which  
19 is the human liver cancer cell line. There's also  
20 evidence for these in human umbilical cord vein,  
21 epithelial cells -- excuse me, endothelial cells, also  
22 endothelial cells of the microvasculature system in humans  
23 and human lymphocytes. And then there was some  
24 qualitative support of it in one of the neuroblastoma cell  
25 lines from humans. So quite a number of human species

1 there, as well as being increased in Chinese newborn child  
2 cord plasma in study of about 581.

3 And then I think I'll stop there. There's a few  
4 more pieces of evidence that were mentioned previously on  
5 oxid stress, but I think that point is made.

6 For KC 6, chronic inflammation, the data is  
7 really inadequate. As we heard earlier, there's no  
8 evidence of inflammation in a two-year chronic rodent  
9 study and the remaining evidence that has been ascertained  
10 for us is really just some cytokine levels in vitro that  
11 have nothing to do with the chronic situation.

12 In KC 7, we have immunosuppressive. I believe  
13 this is also strong actually. There is quite a lot of  
14 evidence. And I think what I'll do is defer to my next  
15 colleagues, particularly I know Dr. Zhang is an expert in  
16 immune suppression and I think she'll more elegantly  
17 summarize that work on our behalf.

18 KC 8, receptor-mediated is also going to be quite  
19 long. So for estrogen receptor, there was, let's see  
20 here, one, two, three, four -- four in silico models of  
21 the human estrogen receptor alpha that indicate that PFOS  
22 would bind ER. It was also modeled for rat and rainbow  
23 trout and ER alpha and beta expressions were also  
24 increased in a number of studies, Let's see,  
25 Sprague-Dawley rat uterus, the human umbilical vein



1 endothelial cell model, and zebrafish embryos. It was  
2 null in a breast epithelial cell line from humans the  
3 MCF10A and mouse liver and actually decreased in a few  
4 other studies. So I think probably the receptor  
5 expression itself is pretty equivocal. There's a similar  
6 distribution of data for ER beta, and I'd be happy to  
7 summarize that if anyone wants to hear it.

8           With respect to the activation of a receptor that  
9 might be predicted by those in silico models of binding  
10 that I mentioned, there seems to be quite a bit. The  
11 reporter assays of ER alpha suggested that PFOS can  
12 activated in several kind of standard systems, so a human  
13 transfected -- a human -- an ER alpha transfected into  
14 human kidney cells the HEK293T model would act -- PFOS  
15 activated it from one to a thousand nanomolars throughout  
16 the range, and in a nice dose-response manner. Also, a  
17 different human model where you've got the human breast  
18 cancer cell line MCF-7 transfected with luciferase where  
19 you're seeing that ER alpha reporter activation.

20           There were a few studies that reported that PFOS  
21 was actually null on ER alpha, but showed that it strongly  
22 enhanced the effects of estradiol. So it shifted the  
23 potency of estradiol at the receptor that occurred in a  
24 different study of the HEK293, which was the cell line I  
25 mentioned previously but a different group, as well as a

1 different human breast cancer cell model called T50 --  
2 excuse me T47D, and also some cells that are uncommonly  
3 used call CV-1 African green monkey kidney cells.

4           The ER beta reporter studies were basically not  
5 as often conducted, but in the same study that saw that ER  
6 alpha was not activated in the presence of PFOS alone, but  
7 enhanced the estradiol effects, they also reported the  
8 same for ER beta.

9           There's a number of different studies looking at  
10 proliferation where we see increased proliferation in  
11 response to PFAS, and ER positive breast cancer human  
12 cells in vitro. And in particular, I was pleased to see  
13 that that effect on these cells by PFOS was inhibited by  
14 ICI182780, which is an inhibitor specifically of ER,  
15 because, of course, proliferation can occur for many  
16 reasons and is not always ER dependent. So to be able to  
17 block that with a potent antagonist of ER strengthens your  
18 confidence that this is a specific proliferative response.

19           There was a few studies that did show  
20 proliferation was null. However, they didn't have that  
21 same type of control built in for the ER antagonist, so I  
22 didn't think that those were as informative. They were  
23 also notably already cancer cells. Whereas, the one with  
24 the ER antagonist that I mentioned first was the MCF --  
25 the MCF-10A cell line, which is a breast epithelial cell,

1 which you can think of in terms of are we talking about  
2 causing cancer or modulating cancer behavior once cancer  
3 occurs? You might think that -- about that in a different  
4 way.

5           We heard already that the estrous cycle was  
6 altered, so I just wanted to point out it was specifically  
7 altered, and by having increased diestrus. And this was a  
8 dose-dependent effect in Sprague-Dawley rats and was also  
9 reported in ICR mice. So I thought that was quite  
10 impressive, because it's quite hard to measure cycling.  
11 And the fact that somebody got it with dose response in  
12 one rodent and then supported it with a total -- a  
13 different rodent species, I think is pretty strong  
14 evidence for that. And a different group also measured  
15 cycle length and found it increased, whereas a third group  
16 did not find a change in cycle length.

17           With respect to target gene expression, there was  
18 a number of groups that reported in fairly controlled  
19 ways. I thought this was really, you know, kind of  
20 secondary supporting information of some of the other  
21 material I presented. That target gene includes  
22 vitellogenin, which is like a target gene in fish  
23 basically in a number of fish species.

24           There seems to be some influence of PFOS  
25 potentially on the production and/or secretion of

1 estradiol or estrogen. There's a couple of different  
2 studies where they found this increased and in the  
3 different models. So when those human kidney cells that  
4 are transfected with human ER alpha, they saw increased  
5 secretion into the media of the estrogens. They also  
6 showed that in the same cell line in two other studies --  
7 wait, three, four -- wait, sorry, let me count real quick.  
8 Yeah, in five studies. So basically whenever anyone uses  
9 this kind of standardized approach in five different labs,  
10 they're all getting the same answer, which is quite  
11 consistent. And it was actually the secretion was  
12 decreased in trophoblasts, which are placenta cells for  
13 those of you that are not familiar with that, and  
14 decreased also in some mice.

15 Let's see here, estrogen levels in humans was  
16 really inconsistent, but I would note that human studies  
17 are never collecting blood while knowing which part of the  
18 estrous cycle that people are on and given that estrogen  
19 levels vary on a -- on a cycle, I really don't put any  
20 weight into those. So that's the summary for ER.

21 AR, I would say, I -- you know, I could be  
22 perhaps persuaded otherwise, but I thought the data for AR  
23 was a bit inadequate. There was -- there was some  
24 increased AR expression itself in one human study. They  
25 found among infertile Italians, an association between

1 PFOS levels and AR, and -- but I thought, you know, that  
2 was kind of a weird way of doing it and I was worried  
3 about subgroup analysis issues that could arise from that.  
4 And it was supported by AR expression being increased in  
5 male Sprague-Dawley rats. There has been some work on  
6 reporter expression of AR. And one study said it was  
7 repressed -- or repressive and then another study said it  
8 was null and a basal condition, but increased with DHT,  
9 which is a testosterone.

10 That was the same study that also found that ERs  
11 were -- had null activation by PFOS under basal conditions  
12 and then it was enhanced by E2. I thought it was unusual  
13 that this particular lab kept having that result which is  
14 kind of a weird result. So, you know, it may be something  
15 a little strange with their hands in the lab.

16 Let's see here. There was a couple of studies  
17 that found that testosterone was decreased and a couple  
18 that found it was increased in humans again. Let's see  
19 here, there was with a decrease a dose-dependent effect in  
20 a human cell line, and also that occurred in Danish men.  
21 And then the C8 Health Project, boys and girls both, as  
22 well as in Taiwan, teen girls. Whereas, the increase came  
23 from an Avon study, NHANES, and Danish amniotic fluid. So  
24 a little bit more in favor of the decrease, but, you know,  
25 the null also came from Danish men and women, so this is

1 why I was saying I feel like it's a bit inadequate, but  
2 perhaps limited would be better.

3 I actually didn't pay too much attention to the  
4 PPARs, because I don't find that they're that relevant to  
5 cancer. They're just -- so, yeah, if someone wants to  
6 talk about them, we can.

7 For thyroid hormone, I asked about that earlier.  
8 And one of the reasons I was interested is because TSH,  
9 there's a review that came out from a group named Boesen  
10 et al. in 2020 and I wasn't sure if it was included, where  
11 they were looking at TSH levels in neonatal infants as  
12 well as women. And they -- in the infants, TSH was;  
13 increased in three studies, decreased in one, and null in  
14 another. And then in the mothers, there were five studies  
15 that found significantly increased levels to PFOS of TSH  
16 and two that found significantly decreased TSH levels in  
17 association with PFOS, and only three that found it null.  
18 And so there might be something going on there. I think  
19 it's really difficult to measure hormones in circulation  
20 in people well, because of the fact that they're so  
21 contextual.

22 KC 9, I -- let's see, wait, did I skip something?  
23 No, that's right.

24 Okay. So that's the end of receptors. I guess  
25 the -- just to summarize again, since it took a long time

1 to go through, overall, I think that the estrogen evidence  
2 looks strong. There's a number of species represented,  
3 nice dose response work, good work with antagonist to  
4 modulate specificity that I think supports that  
5 conclusion. And so, you know, some of the other aspects,  
6 like how strong I rank AR or thyroid, I think is -- you  
7 know, those are just really supporting secondary comments.

8           So moving on to KC 9 immortalization, that really  
9 wasn't covered. That was considered inadequate. There  
10 really wasn't a lot of evidence in the literature on that  
11 at all.

12           So the final key characteristics is KC 10, cell  
13 fate, I like to think of it as, which includes  
14 proliferation, death, and nutrient supply. I thought  
15 the -- you know, I've already talked to you all about  
16 proliferation. I did think that there was one element of  
17 proliferation that hasn't gotten mentioned, which I  
18 thought was quite strong. Three human cell lines, when  
19 they looked at cell cycle phasing found increased presence  
20 of the cells in S phase, or synthesis phase, of the cell  
21 cycle in the context of PFOS exposure. And so that  
22 included the MCF-10A, human breast epithelial cell line,  
23 as well as the human breast cancer cell line, and MCF-7,  
24 and -- let's see here. I apologize. I didn't write down  
25 the last cell line name, which was qualitative. I thought

1 it was a bit odd they didn't -- oh, I see what happened.  
2 Excuse me. So one was the HL-7702, which is a human fetal  
3 hepatocyte cell line.

4 The other information is really that, you know,  
5 RNA related to cell cycle and proliferation, protein  
6 related to cell cycle and growth factors. You know, the  
7 protein was shown extensively in the human fetal  
8 hepatocyte cell line. It was also shown in the human  
9 mammary epithelial cell line. And then, as you heard, the  
10 gap junction, I agree that that was important because of  
11 the contact inhibition of proliferation.

12 There was also some evidence for cell death being  
13 modulated, but not as strong as for proliferation. I  
14 think that having the gap junction data, and dose  
15 response, and the strong S phase enhancement across three  
16 different human cell lines, particularly with all three of  
17 the cell lines being in tissues that were discussed as  
18 part of the animal pathology, I think that that is strong  
19 as well.

20 So let's see about the death, we've got inhibited  
21 apoptosis and two different rat studies, both were  
22 Sprague-Dawley, and it was an assessment of their livers.  
23 And then there was a slight level of apoptosis and  
24 hepatocytes from salmon in culture. Some RNA consistent  
25 with that. Some protein consistent with that. Those



1 evidences came from livers of salmon and rats. And then  
2 decreased P53, which of course is a tumor suppressor. The  
3 decreased P53 was found in the human mammary epithelial  
4 cell line MCF-10A and the human fetal hepatocyte cell line  
5 I mentioned earlier.

6 With respect to kind of fate above and beyond  
7 just dying and growing, I did want to point out also a  
8 study that was cited in the report, but these outcomes  
9 weren't mentioned, that the MCF-10A, the cells that are  
10 the human epithelial for mammary glands, had increased  
11 migration in an invasion from the exposure to PFOS. So it  
12 became more cancer like and more in an aggressive manner.

13 There's a giant literature on modulation of the  
14 PFOS chemical class, including PFOS relating to changes in  
15 lipid molecules, like fatty acids and cholesterol. And  
16 their -- those lipid molecules are known to be involved in  
17 numerous cancers. I decided for the -- and I said that  
18 wasn't scoped in the summary, that was out of the range of  
19 my attention.

20 So thank you for listening and I know that was  
21 quite long. There's a lot of KCs and material to get  
22 through.

23 COMMITTEE MEMBER LOOMIS: Well, thank you for  
24 taking that on. That's certainly a lot of material to  
25 summarize. I just have one question for you. I don't

1 think I heard, maybe I missed it, but your summary of KC  
2 10.

3 COMMITTEE MEMBER LA MERRILL: Yeah. Okay. I  
4 would say that is strong on the basis of the proliferation  
5 evidence.

6 COMMITTEE MEMBER LOOMIS: Okay. Thank you.  
7 Let's go on and see what Dr. Eastmond has to add.

8 COMMITTEE MEMBER EASTMOND: Sure. Thank you.

9 I want to make a few comments. First of all, I'd  
10 like to thank the staff at OEHHA for putting together a  
11 pretty impressive document. And they had to summarize a  
12 large amount of literature. It was, I'm sure, a fairly  
13 heroic effort within the agency. So I appreciate the work  
14 that they did.

15 I'd like to make a couple of comments sort of on  
16 the approach. So the key characteristics of carcinogens,  
17 in my mind, puts together sort of a structured and  
18 systematic framework, by which you can evaluate effects  
19 that might contribute to carcinogenesis. They don't  
20 describe a mode of action, an adverse outcome pathway, or  
21 mechanisms of carcinogenesis.

22 So, in essence, we're looking at the components  
23 that can be put together to form a mechanism or mode of  
24 action, but we don't have a mechanism or mode of action,  
25 which is compelling on any of these, in my opinion. But

1 let me comment on a couple of them. The first one, which  
2 was kind of skipped over is that as far as electrophilic  
3 DNA reactive, this structure is not similar to other  
4 carcinogens that I'm aware of. In fact, it's quite  
5 different than most other carcinogens.

6           The one suggestion that may be -- that was kind  
7 of buried within the genotoxicity is there's some  
8 suggestion that it could be an intercalator into DNA. And  
9 so that may explain some of its effects. But if I look  
10 through on the various key components of -- certainly of  
11 carcinogens and Michele went through them in quite a bit  
12 of detail. I certainly won't do that. The two that stand  
13 out for me as being probably the most compelling -- and  
14 there's evidence for most of them to some degree, but we  
15 don't know how that evidence fits together into a  
16 mechanism of carcinogenesis, is certainly the oxidative  
17 stress. It looks like there's some pretty strong evidence  
18 that PFOS does cause oxidative stress in model systems and  
19 in humans. And to some degree, the genotoxicity -- the  
20 positive results in genotoxicity, which was really a mixed  
21 bag, and I'll come back to that in a second, appear to be  
22 due to oxidative stress as well. So the one mutation that  
23 was seen in a transgenic mouse assay, they came back and  
24 said that what was -- the title said it was due to  
25 hydrogen peroxide, that it was really due to reactive

1 oxygen species.

2           And the other one which -- so I think there's  
3 quite a bit of evidence for oxidative stress, but  
4 oxidative stress is not sufficient to cause cancer in my  
5 mind or at least in most cases. There are well known  
6 agents, such as diquat, which are acts of mechanisms,  
7 which generates reactive oxygen species, yet it's not been  
8 shown to be carcinogenic in animal bioassays.

9           So, you know, these are components that fit in  
10 and there's multiple components that go together to create  
11 the mechanism of carcinogenesis. And so at this point, we  
12 don't what it is for PFOS, if it indeed is carcinogenic.  
13 As far as genotoxicity, there is some evidence for  
14 mutagenicity and suggestive evidence for chromosomal  
15 effects and DNA damage. And that was the conclusion on it  
16 by OEHHA. I would agree with them on that.

17           I might point out that PFOS is generally negative  
18 in sort of the standard validated genotoxicity test. But  
19 when you get into sort of non-standard in other types of  
20 species or in assays which are oftentimes prone to sort  
21 of -- are very finicky and prone to false positives, the  
22 DNA strand breaks can frequently occur and get positive  
23 results for people that don't really understand how to  
24 conduct the assay very well. In fact, in -- I had  
25 graduate students that were working on it in my lab and we

1 abandoned the assay, because it was -- we considered it  
2 unreliable in our hands. But I know in some labs where  
3 they have a lot of experience doing it, it can be  
4 reliable. But oftentimes, when this assay is taken into  
5 other sort of non-validated systems, the people who are  
6 doing it, don't have a lot of experience, and so I tend to  
7 put less weight in those outcomes.

8           So my bottom line on genotoxicity, there's some  
9 evidence, but it's compelling. As I mentioned, there's  
10 some evidence for epigenetic alterations. It's not clear  
11 how those fit into carcinogenesis in this case. There's  
12 certainly evidence for oxidative stress, which I think is  
13 consistent, but it's not sufficient, in my mind, to  
14 explain how PFOS could cause cancer. We have some  
15 evidence for chronic inflammation, some evidence for  
16 immunosuppression again, but these are not sufficient to  
17 under -- explain the mechanism of tumorigenicity.

18           Receptor-mediated effects there does appear to be  
19 a fair number of positive ones. As Michele indicated, the  
20 estrogen pathways appear to be -- there's some evidence  
21 for the involvement of estrogenic pathways. And that ties  
22 into some of the human epi studies as well.

23           There's also evidence for the peroxisome  
24 proliferator-activated receptor, alpha and gamma, the PXR,  
25 and the CAR. And those are particularly relevant in this

1 case, because they're associated with rodent  
2 carcinogens -- rodent carcinogenesis. And a number of  
3 agencies are using those mechanisms to reduce sort of the  
4 concern about carcinogenesis induced by rodent  
5 carcinogens, if they're acting through those definite  
6 mechanisms. But again, we don't have enough evidence here  
7 to make any -- draw any conclusions, but there's  
8 suggestive evidence for many of these different pathways.  
9 And the same goes on for the other key characteristics, I  
10 should say.

11 So I guess the bottom line for me is we don't  
12 have a defined mechanism. We have components that could  
13 be put together into defined mechanisms, but we don't have  
14 a clear mechanism at this point for carcinogenesis, and as  
15 indicated, the human data is pretty muddled and the animal  
16 data can be interpreted in different ways depending on how  
17 you look at it. So anyway, I think I'll end there, unless  
18 people have questions.

19 COMMITTEE MEMBER LOOMIS: Okay. Let's move on to  
20 Dr. Zhang, any additional comments that you might have.

21 I think you're muted.

22 COMMITTEE MEMBER ZHANG: Sorry.

23 COMMITTEE MEMBER LOOMIS: Can't hear you.

24 There you are.

25 COMMITTEE MEMBER ZHANG: Sorry. Can you hear me

1 now?

2 COMMITTEE MEMBER LOOMIS: Yes.

3 COMMITTEE MEMBER ZHANG: Okay. I really want to  
4 thank Dr. La Merrill and Dr. Eastmond. They both did a  
5 really thorough summary. And also I think I totally agree  
6 with David, I think OEHHA staff did a really, really good  
7 job in this mechanistic section and it's a lot of work  
8 and, you know, they really made it a very comprehensive  
9 documents together.

10 So I don't have much to add, but I just want to  
11 give my overall my opinion on that mechanistic data,  
12 specifically on the key characteristics. And it looks  
13 like very clear for oxidative stress, KC 5, and  
14 immunosuppression, KC 7, and that -- I would come give a  
15 little bit more -- and receptor -- the modulate the  
16 receptor-mediated effects, so KC 8. It looks like for  
17 these three KCs are pretty strong and pretty consistent.

18 And since Dr. La Merrill asked me to focus on the  
19 KC 7, actually number one, I'm not the expert on the  
20 immunosuppression, but I did some more work on this part  
21 for other chemicals, so I would say overall the data is  
22 pretty consistent. And especially, you know, after the  
23 NTP 2016, they are review on both PFOA and PFOS. And, you  
24 know, based on that, I think OEHHA staff now actually  
25 really did a lot of updating on that immunosuppression

1 section, you know, including new studies.

2 And so the data from immunoglobulin, and  
3 especially IgM or IgG, you know, the reduced response on  
4 Immunoglobulin is pretty strong to me and also a few other  
5 outcomes, you know, like interferon gamma or interferon  
6 alpha changes. So all the data I think to me is -- it's  
7 pretty strong. So I would say these three KCs, 5, 7, 8 is  
8 pretty consistent.

9 And a couple others. Like I say, KC 4, the  
10 epigenetic effects, I think although study number is  
11 limited, but very consistent. And also -- so that's when  
12 I was trying to looking at more, I found this new study  
13 just very recently published by Goodrich, so to me  
14 actually KC 4, the genotoxic mechanism could be -- play  
15 another very important role. So even though limited  
16 studies, but consistent and strong. So I was also  
17 thinking KC 4 could be updated.

18 So -- and now I'm just trying to shift to the --  
19 you see epigenetic mechanism is strong, so I sort of agree  
20 with Dr. Eastmond on the genotoxicity, the KC 2 data. KC  
21 2 data is a little, you know, messy, I would say. You  
22 know, some data support it, as you know, genotoxic, some  
23 not. And I would say if PFOS considered as genotoxic, in  
24 my opinion, could be a weak genotoxic compound. So I  
25 would say it may -- I think PFOS may play more important



1 roles through epigenetic pathways or immunotoxicological  
2 pathways to -- to -- you know, if cause cancer. So that  
3 would be a more relevant mechanism or pathway, we should  
4 consider. So that's actually -- basically, it's my very  
5 general summary about the, you know, KC approach.

6           So I heard -- okay, David, you can correct me. I  
7 heard you are saying, if that's what you are saying, is  
8 you are thinking about oh, your, I want to say, doubt  
9 about if that's necessary or if it's correct using the key  
10 characteristics pathways to analyze the mechanistic data.  
11 So I don't know if I hear you really correctly.

12           But I think -- yeah, I think I agree with, you  
13 know, if you only look at the, let's say, oxidative stress  
14 by itself, it may not be, you know, sufficient enough. I  
15 guess maybe that's what you were saying. But, you know,  
16 if you look at the other different key characteristics  
17 together, this is, I think, the way I think both IARC and  
18 EPA now wants to practice. Can we use the KC approach to  
19 evaluate the chemicals for the -- for the mechanistic, you  
20 know, evidence?

21           So that's all I want to say. I hope I didn't  
22 forget anything. I don't want to go one by one. I'm sure  
23 the other three KCs OEHHA doesn't think that's important  
24 because we do not have enough studies or evidence. So  
25 that's the KC 1 -- that's 1, 3, and 9.

1           So, in general, that's what I have -- I can put  
2 together here. But generally, I think the mechanistic  
3 data is still pretty strong, especially the KC 8, which is  
4 Dr. La Merrill's expertise on that, especially that is  
5 related to really strongly supported the human data,  
6 whether they found the strong association with breast  
7 cancer. So I think that's -- I think that that's a strong  
8 point for the PFOS.

9           Okay. I think I'm just going to stop right now.

10           COMMITTEE MEMBER LOOMIS: Very good. Thank you  
11 so much. Thanks to everybody who's already presented.  
12 It's now 12 almost 12:40. We need to break for lunch at  
13 some point, so I'm going to ask the Committee and the  
14 staff whether this would be a good time or whether we  
15 should go ahead with the Committee discussion and then  
16 break for lunch. So let's see if there are any votes to  
17 continue or advice from the staff that's -- that that is  
18 what we should do in the interests of protocol.

19           DIRECTOR ZEISE: I don't believe there's a  
20 protocol limitation. You can break now, if you would like  
21 the time, and resume the discussion when you come back  
22 from lunch.

23           COMMITTEE MEMBER LOOMIS: So looking at this  
24 gallery view, I see heads nodding for breaking now. Is  
25 there any strong preference to discuss now and then have

1 lunch?

2           Okay. Hearing none, we will break now. But  
3 before anyone leaves, I would ask Committee counsel, I  
4 think Carol Monahan Cummings had to step out, but her  
5 substitute, to give the Bagley-Keene warning before we  
6 break.

7           DIRECTOR ZEISE: We're waiting for Kristi  
8 Morioka.

9           DR. MARDER: Kristi, I'm asking you to unmute,  
10 please.

11           CHIEF COUNSEL MONAHAN CUMMINGS: Hi, everybody.  
12 This is Carol. I haven't quite left yet, so let me just  
13 say that if you could make sure that you don't discuss  
14 the -- any of the items that you've been discussing today  
15 outside of this meeting, that includes phone calls, texts,  
16 emails, just go ahead and have a nice lunch, but don't  
17 talk about what you've been talking about all morning.

18           Thank you. We'll see you in the afternoon.

19           COMMITTEE MEMBER LOOMIS: Okay. Very good. So I  
20 believe the break is to be an hour long, is that correct?

21           DIRECTOR ZEISE: It's up to the Committee, but  
22 you might wish an hour.

23           COMMITTEE MEMBER LOOMIS: Yeah. Let's go ahead  
24 and take an hour, so we'll come back at 1:40.

25           Okay. See you all then.

1 (Thereupon a lunch break was taken.)

2 AFTERNOON SESSION

3 COMMITTEE MEMBER LOOMIS: I am here, so let's go  
4 ahead and resume. I'm assuming that everyone else is here  
5 as well. So we left off at the end of the discussants  
6 reports and the three evidence streams, so now it's time  
7 for Committee discussion, which could include comments  
8 from members of the Committee who haven't spoken yet,  
9 questions for the discussants and so on.

10 So I'm going to go ahead and start with one  
11 comment, which pertains to the human studies of cancer.

12 COMMITTEE MEMBER EASTMOND: Dana, do we have  
13 public comments in here some time?

14 COMMITTEE MEMBER LOOMIS: Yes, they come after  
15 the --

16 DIRECTOR ZEISE: Yes, it will be -- Dr. Loomis, I  
17 wonder if also you want -- you'd asked for some follow-up  
18 and staff indicated they were going to follow up, and any  
19 time you would like staff to clarify on those two points  
20 that they were going to bring back to you, they can do  
21 that.

22 COMMITTEE MEMBER LOOMIS: Right. Okay. If  
23 they're ready to do that now, we could take that up before  
24 we go to Committee discussion then.

25 DR. SUN: Okay. Thank you, Dr. Loomis. I can

1 report back to Dr. La Merrill, and Dr. Eastmond, and the  
2 Committee regarding the two questions. The first question  
3 from Dr. La Merrill is on the thyroid hormone effects in  
4 animals. So to answer your question based on summaries  
5 from OEHHA's proposed public health goal draft document  
6 for PFOS, the data available include those summarized by  
7 U.S. EPA 2016 and more recent data. So U.S. EPA 2016  
8 identified several studies reporting thyroid effects. In  
9 general, there was a reduction in three thyroxine, or T4,  
10 and total T4 levels without significant change in TSH or  
11 thyroid stimulating hormone. The effects were  
12 consistently observed in rats. So these were rat studies.

13 Specifically, in pregnant rats, PFOS reduced T4  
14 and triiodothyronine, or T3, levels in the dams and T4 in  
15 the pups. In monkeys, there was a significant decrease in  
16 total T3 and T4 levels in females only, with no change in  
17 TSH. So the newer data seems U.S. EPA 2016 include NTP  
18 2019 and the monkey study.

19 NTP 2019 reported decreases in T3, three T4, and  
20 total T4 in both sexes of SD rats. And the monkey study  
21 showed a slight reduction in serum total T4 in both sexes.  
22 No significant changes in TSH or free T4. So these are  
23 the thyroid hormone effects in animals.

24 Regarding Dr. Eastmond's question on the range  
25 for the historical control values. So I'll start by

1 saying concurrent laboratory controls are always the most  
2 appropriate direct comparison with an experimental group.  
3 The historical control data were included in the hazard  
4 identification document were not from the same laboratory  
5 as the Thomford study, but from other control SD rats from  
6 oral studies used by Charles River Laboratories.

7           So the Thomford 2002 study started in 1998 and  
8 lasted two years. Therefore, we reported historical data  
9 from 1995 to 2002, which means these studies were  
10 conducted within two to three years of the Thomford study.  
11 Specifically, for the pancreatic islet cell adenoma, the  
12 range was 0 to 25.7 percent in male rats and pancreatic  
13 islet cell carcinoma range was 0 to 14 percent in male  
14 rats.

15           So as you've observed, the value in the control  
16 was higher than the average, but it is still within the  
17 historical control range.

18           For the thyroid follicular cell tumors in female  
19 rats, these are rare tumors, so the range for adenoma is 0  
20 to 1.16 percent and the range for the thyroid follicular  
21 cell carcinoma is 0 to 0.6 percent.

22           So these are my answers. Thank you.

23           COMMITTEE MEMBER EASTMOND: Thanks. I'm  
24 wondering if you could do that for the hepato -- the liver  
25 tumors as well.

1 DR. SUN: Liver tumors, the liver hepatocellular  
2 adenoma in male rats range is 0 to 8 percent. The liver  
3 hepatocellular carcinoma range is 0 to 6 percent. And in  
4 female rats, the range for liver hepatocellular adenoma is  
5 0 to 0.29 percent, and the hepatocellular carcinoma in  
6 female rats range is 0 to 0.71 percent.

7 COMMITTEE MEMBER LOOMIS: Good. Thank you for  
8 that.

9 Now, let's move on to Committee discussion. And  
10 I did have one additional comment that I wanted to offer  
11 about the human cancer studies. This is with respect to  
12 the studies of breast cancer in which serum PFOS was  
13 measured before diagnosis. So I mentioned a concern about  
14 those studies in that despite the long half-life, there is  
15 some loss of that material. And typically, the exposure  
16 is only measured at one point in time. And so what this  
17 means is that there's some unpredictable measurement error  
18 in that information. So not knowing anymore about it, my  
19 first suspicion would be that since it's essentially  
20 random measurement error, it's likely to result in bias  
21 toward the null and that entire group of studies. So  
22 that's something to keep in mind in thinking about, you  
23 know, the mix of positive and negative results that we see  
24 in some studies, so that when we see positive results,  
25 it's likely to mean that those are studies that may have

1 had better exposure information than the ones that have  
2 more equivocal results, weaker associations and so on. So  
3 that was my comment about that.

4 So now let's go ahead with discussion with other  
5 members of the Committee who have questions or comments to  
6 offer, and we can try to do what we did before and use the  
7 raise hand function. If Dr. Marder is still watching,  
8 maybe she can help me in --

9 DR. MARDER: Absolutely, Dr. Loomis.

10 COMMITTEE MEMBER LOOMIS: Thanks. I'm -- just  
11 let me know if I miss anybody. I'll try to watch, but I  
12 can't see all the speakers at once on my screen.

13 DR. MARDER: Dr. Crespi has her hand raised.

14 COMMITTEE MEMBER LOOMIS: Go ahead, Dr. Crespi.  
15 We can't hear you.

16 COMMITTEE MEMBER CRESPI: Here we go. I'm trying  
17 to get off of mute there.

18 Yeah, I just want to first thank OEHHA staff for  
19 putting together a very comprehensive report, so I really  
20 appreciate their work. And I had a question that was  
21 directed to the members of our Committee and maybe also  
22 OEHHA staff regarding the animal studies, and some of the  
23 comments by 3M. In particular, their comment was arguing  
24 that the liver tumors in rats are basically observation,  
25 you know, are due to a mechanism of action that's not



1 relevant in humans. So I wondered whether there was  
2 anybody who could -- who could speak to that evidence one  
3 way or the other, and to that particular comment. Should  
4 we discount that finding of liver tumors in rats because  
5 that biological mechanism is not something that operates  
6 in humans?

7 COMMITTEE MEMBER LOOMIS: Thanks. Would anybody  
8 like to answer that question?

9 Dr. Eastmond.

10 COMMITTEE MEMBER EASTMOND: I appreciate that.  
11 What they're referring to is mechanisms in which tumors  
12 are induced -- liver tumors are induced in rodents through  
13 these nuclear receptor-mediated mechanisms. And they tend  
14 to be the PPAR-alpha and gamma, or the CAR or PXR. And  
15 the challenge is that may be true, but they really haven't  
16 provided enough evidence to substantiate that. That's the  
17 problem I have with it. I think that may be the case, but  
18 usually there's follow-up mechanistic studies to  
19 demonstrate that's what's happening and they don't seem to  
20 have done that, or at least I couldn't see that. So  
21 that's the way I interpret that.

22 COMMITTEE MEMBER LOOMIS: Okay. Anybody else  
23 want to contribute to that question?

24 All right. I think Dr. Reynolds had her hand up  
25 for a question as well.

1           COMMITTEE MEMBER REYNOLDS:  Actually, I was  
2 actually going to make a comment.  Comment a little bit  
3 further on the epi evidence.  I can do that later, if you  
4 want to stick with questions right now or --

5           COMMITTEE MEMBER LOOMIS:  Well, just go ahead.  I  
6 think we'll take it all.

7           COMMITTEE MEMBER REYNOLDS:  I wanted to  
8 definitely add my thanks to the OEHHA staff for their  
9 always impressive work in putting together these hazard  
10 identification documents and providing us with the  
11 original articles.  I also did want to acknowledge some  
12 informative comments from the public which I thought were  
13 quite helpful.  There is no question that although  
14 population levels are declining, there's been a wide  
15 spread and continuing exposure to this group of compounds.

16           And this is -- we've come to a chemical where  
17 actually finally we do have some epidemiologic research.  
18 Often we do not, when we do these reviews.  I appreciated  
19 that Dr. Stern and Loomis gave a nice review of the epi  
20 data.  And although limited, the cancer studies really  
21 have focused on breast cancer outcomes.  It's been a topic  
22 of some interest to me.  Probably this research is focused  
23 on breast cancer because of the endocrine disrupting  
24 properties attributed to PFOS and that it's one of the  
25 most common cancers in women.

1           So as articulately discussed by my colleagues, as  
2 well as from public comments, the evidence from these  
3 studies is most certainly mixed for a number of reasons  
4 intrinsic to the challenges of conducting such human  
5 health studies. Much of the evidence does come from case  
6 control studies in which exposures based on a single blood  
7 sample taken at or subsequent to diagnosis of the cases.  
8 Since we know little or nothing about the metabolic  
9 effects of disease progression or treatment on measured  
10 levels, this leads to the associated potential as  
11 discussed for reverse causation or difficulties in  
12 interpretation in general.

13           Similarly, biospecimens were obtained at various  
14 points in time, reflecting some fairly strong secular  
15 differences in exposure. And as I'm glad Dr. Stern  
16 pointed out, few studies, including my own study, focused  
17 on important windows of susceptibility during the life  
18 course. And I think there has, nonetheless, been some  
19 provocative evidence from the epi literature for risk  
20 associations by breast cancer subtype. Both  
21 pre-menopausal versus post-menopausal, and also for ER  
22 positive versus negative tumors, suggesting that the risk  
23 may not be entirely null.

24           And just to share, I do want to mention that our  
25 own rather null study in California actually saw a

1 non-significant positive association with PFOS for hormone  
2 receptor positive breast cancers in contrast to an also  
3 known significant inverse association for ER- and  
4 PR-negative tumors, suggesting that there might be some  
5 very differential effects.

6           Also, there are a couple studies that were  
7 published this year that weren't included in the document  
8 that we might want to just consider. One was a fairly  
9 large hospital-based case control study from Japan that  
10 was 400 cases with blood collected at diagnosis in the  
11 early 2000s and 400 controls. And that's the only study,  
12 as far as I know, to examine risk associations for linear  
13 and branched isomers separately.

14           So while that study found an inverse association  
15 for PFOS with most models, they also intriguingly noted  
16 the opposite effects for linear versus branched isomers  
17 for another PFOS, for perfluorotridecanoic acid, if I  
18 pronounced it correctly.

19           Also, not included in the HID is a small case  
20 control study published in July of this year from Manila  
21 with a suggested elevation in breast cancer risk for PFOS.  
22 Although, it was no longer statistically significant when  
23 adjusting for a variety of covariates. So as blood was  
24 collected in the mid to late 1990s in the French study,  
25 which is the study that both reviewers found most

1 informative, probably both because of prospective blood  
2 collection and also for the assessment by hormone receptor  
3 subtypes, the levels of PFOS were quite a bit higher than  
4 in some of the more recently conducted studies.

5           It's interesting to note also that positive  
6 results were reported in that study and in the two albeit  
7 small studies of populations that reflected high levels of  
8 environmental exposure, the Greenland Inuit and women in  
9 the heavily industrialized area of Manila.

10           Nonetheless, as Dr. Eastmond says, the human data  
11 are muddled. And I would appreciate a little more  
12 discussion from my colleagues in terms of the key  
13 characteristics. They were somewhat generously summarized  
14 by Dr. Zhang for perhaps four of the eight as being  
15 supportive in terms of mechanisms of risk. And I'm still  
16 not entirely clear about the con -- the lack of consensus  
17 from the animal literature, which is also a bit mixed.  
18 Nice to know it's not only the epi literature that gets  
19 the mixed results. So I just wanted to add those few  
20 comments.

21           COMMITTEE MEMBER LOOMIS: Thank you for those  
22 comments.

23           Let's see whether the colleagues who reviewed the  
24 key characteristics would want to respond to any of those  
25 questions. And I have a question for them as well. So

1 maybe they could take both of these at the same time. So  
2 in my mind, strong mechanistic evidence usually means that  
3 we have evidence from studies of exposed humans. And my  
4 impression is that there are not very many of those  
5 studies, but I didn't go through and count. So I'd  
6 like -- I'd like to hear your thoughts about what makes  
7 the evidence strong, if it's true, that there aren't very  
8 many studies in exposed humans.

9 COMMITTEE MEMBER LA MERRILL: I can jump in and  
10 kind of go back and just highlight some of those that are  
11 focused solely on humans, if you'd like.

12 Okay. So let me just scroll to the top here.  
13 Okay. So I think we all agreed that there wasn't much  
14 going on in genotoxic, so I'm going to skip that.

15 For epigenetics, I suggested it was limited to  
16 perhaps strong, and that was based on the fact that there  
17 were on a number of studies of DNA methylation in humans  
18 with PFAS -- PFOS exposure assessment. One that got  
19 brought up was the recent Firefighters Study that Dr.  
20 Zhang shared with us. And that one I noticed in  
21 particular -- I lost my place momentarily -- that they did  
22 some specific work on DNA methylation of certain gene  
23 called RAD1. This is an important checkpoint gene. I  
24 thought that was relevant, because we had those consistent  
25 changes in the position of the cells and the cell cycles.

1 So consistent with this idea that you could have estrogen  
2 receptor-mediated proliferation that was driving more cell  
3 cycle synthesis is kind of like a synonym, if you will,  
4 for that. That what you have is these molecular signals  
5 in the cell where we go through the cell cycle. If  
6 there's a damage or problem that arises, these checkpoint  
7 genes basically slow down the cycle, so that that damage  
8 can be addressed either by repair, or cell death, or other  
9 processes.

10           And so RAD1 having differential methylation  
11 status with respect to PFOS in this human cohort is  
12 interesting. It's also perhaps relevant to the group,  
13 because there's immunohistochemistry evidence that  
14 there -- it's able to be a significant prognostic  
15 indicator for both liver and breast cancer in humans,  
16 looking at like the Protein Atlas, which is a like  
17 repository of data of this nature.

18           The other DNA methylation data that I brought up  
19 was two studies in cord blood, one based in Japan and one  
20 based in Taiwan that both found DNA methylation increased  
21 in association with PFOS for the gene CYP2E1, the gene  
22 SMAD3 and a gene called SLC17A9. And SMAD3 is basically a  
23 gene that regulates other genes involved in a process  
24 that's been shown to relate to metastasis of breast cancer  
25 to the lung, so again something relevant to the breast in

1 that instance.

2           The other epigenetic data that I mentioned that  
3 came from a human study was the microRNA, but it was not  
4 as human direct in the sense that the only microRNA that  
5 was altered by PFOS in a human context was in two human  
6 neuroblastoma cell lines, but I didn't find evidence that  
7 that microRNA 22 was associated with cancer in my cursory  
8 analysis of the general literature.

9           So moving on to oxidative stress, where I believe  
10 all three of us indicated that we thought there was strong  
11 evidence for oxidative stress. That data comes from the  
12 evidence for 8-oxodG. So when you have oxidative stress  
13 to a cell, one of the consequences can be that these  
14 reactive oxygen species are able to bind macromolecules,  
15 including DNA. And so 8-oxodG is basically an adduct that  
16 forms on DNA that's a marker for oxidative stress. And  
17 there was three human observational studies that looked at  
18 that in association with PFOS exposure.

19           And two out of those three studies found a  
20 dose-dependent relationship between the PFOS exposure and  
21 the 8-oxodG adduct in those people. One of the studies  
22 was in 60-year old plus people, 126 of them. The other  
23 study that was significant with that dose response  
24 relationship was in 597 people of the ages 22 to 63 years  
25 old. And the third study that was null was in Taiwanese



1 people from 12 to 30 years of age, at a number 848.

2           And then that was an adduct that was also -- the  
3 only other study that looked for that type of adduct  
4 looked at lettuce seedlings of all things, but they also  
5 found it was increased there.

6           There was some evidence of reactive oxygen  
7 species also in a study of Chinese newborns, where they  
8 looked at plasma from cord blood in 581 and they saw  
9 increased evidence of oxidative stress as well. So quite  
10 a number of humans with the PFOS exposure associated with  
11 markers of oxidative stress there.

12           And then the receptor-mediated was the other one  
13 that got brought as a strong. And I think that there was  
14 agreement that that was mostly on ER. So as you recall,  
15 there's quite a lot of it, so let me just skim it real  
16 quick to make sure I don't overlook some of the key parts.  
17 I think there was, you know, for what it's worth,  
18 various -- four different studies that have modeled human  
19 ER in silico using what we know about the crystal  
20 structure to predict PFOS binding to the human estrogen  
21 receptor alpha. And that appears to be possible that it  
22 activates through a number of different human cell lines  
23 So not an observation study, but they've shown that the ER  
24 alpha can be activated, as it can cause promoter activity  
25 in human transfected kidney cells, as well as human breast

1 cancer cells. And -- yeah, I think that's right.

2           And then we also saw proliferation of human  
3 breast epithelial cells that was dependent on the ER as  
4 evidenced by using a selective antagonist of ER called ICI  
5 182,780. I think probably if you want to just stay tight  
6 and focused, those would be some of the key human-related  
7 outcomes and the -- in the receptor-related section.

8           And then I had mentioned KC 10 as strong really  
9 as an extension of the ER. The other two reviewers didn't  
10 mention that. But this idea of increased proliferation  
11 seen across rodents and human cells, so they did the human  
12 fetal hepatocyte, human ovarian granulosa, tumor cell  
13 lines, several of them, and then the increased occurrence  
14 of human cells being in S phase or the proliferation  
15 synthesis phase. And that again was the human fetal  
16 hepatocyte model, and the human mammary epithelial cell  
17 model, and then the human epithelial cancer cell model.

18           So I think that probably captures it pretty well.

19           COMMITTEE MEMBER LOOMIS: Thanks. That helps a  
20 lot with my question. Let's see whether anybody else from  
21 the group that reviewed those studies in detail wants to  
22 jump in on these questions.

23           COMMITTEE MEMBER EASTMOND: Peggy, did that  
24 address your questions?

25           COMMITTEE MEMBER LOOMIS: That was what I was

1 going to ask as well.

2 COMMITTEE MEMBER EASTMOND: I mean.

3 COMMITTEE MEMBER REYNOLDS: I think so -- I mean,  
4 I'm hearing -- I'm hearing slightly different takes on the  
5 whole thing. I think that these key characteristics are  
6 very critical in terms of thinking about the sort of  
7 mechanistic likelihood of risk associations that we can't  
8 necessarily delineate from the human health studies. So  
9 it is -- it certainly is a complex area and there were  
10 sort of lots of issues that were out on the table. So I'm  
11 having trouble getting sort of the sense from each of you  
12 about how enthusiastic you feel about key characteristics.

13 COMMITTEE MEMBER EASTMOND: So if I can weigh in,  
14 I think of those as sort -- it's a framework by which we  
15 evaluate sort of the overall evidence picture, but these  
16 are all possibilities. So there's evidence for all these  
17 possibilities. But at this point, we don't have a  
18 compelling case for any of them. You know, we can tell  
19 you there's a little more evidence for this possibility or  
20 on that possibility. What you'd like to be able to say  
21 mechanistically is PFOS does this initially, and that  
22 leads to this second, and then this, and this, and this.  
23 And then you can put together this is a plausible  
24 mechanism for carcinogenesis, but I don't see that.

25 We have lots of possibilities there's evidence

1 for lots of these possibilities, but it hasn't been pulled  
2 together in my mind into a really coherent argument.

3 COMMITTEE MEMBER REYNOLDS: I hear you. Thank  
4 you.

5 COMMITTEE MEMBER LA MERRILL: I just want to  
6 acknowledge, I forgot to highlight the strong  
7 immunosuppressive aspects of things when I went through  
8 the summary. Luoping, I don't know if you want to  
9 highlight a couple of the key human studies that were  
10 involved in that. I didn't get into as deep of a dive on  
11 that since there was so much material.

12 COMMITTEE MEMBER ZHANG: Yes. I think it's just  
13 following what -- okay. Michele get back to the KC7 just  
14 in a minute. So let me following what David just said.

15 I think -- I think David was saying, well, how  
16 would other information here, there, or there come -- we  
17 put them together. I think that's a very good question in  
18 order to explain the potential, you know, carcinogenic  
19 pathways. So if we all agree, number one, oxidative  
20 stress, it looks like PFOS can induce that pretty  
21 strongly. And also see, but we maybe not form oxidative  
22 stress -- you generate reactive oxidation radicals. That  
23 also can link to the inflammation, right? So I'm just  
24 trying to see if -- how we could have, you know, one KC  
25 link to another one.

1           And back -- so for the chronic inflammation, the  
2 KC 6, didn't -- I think -- I think, Michele, you did --  
3 you did really, you know, summarize that, but I also want  
4 to say OEHHA staff did a really, really good job to put up  
5 all the different type of the study on the chronic  
6 inflammation in that -- I forgot which appendix, H or  
7 something, I think that's a very informative summary. But  
8 even though if you look -- look at the study chronic  
9 inflammation is very difficult. I think this is a pretty  
10 difficult marker to -- trying to -- trying to define acute  
11 or chronical inflammation, when you look at the marker.

12           But I think the data we have so far, at least  
13 we -- I'm still thinking the case -- chronic inflammation,  
14 although we're still thinking it's limited, but what we do  
15 see the positive supporting evidence from all human animal  
16 an in vitro studies. So this is back to from oxidative  
17 how can link to the next to the inflammation. And also,  
18 we know the inflammation linked to the immunosuppression  
19 or immunoresponse. So from that pathway, I think there's  
20 a few KCs there. They actually link to see if we're --  
21 you know, you can think about using the AOP or using  
22 current KC idea to form the potential path -- you know,  
23 pathways.

24           One thing also I just want to address what Dana  
25 was just asking. If you want to make one of the KC

1 anything strong has to be human, but I think from the IARC  
2 preamble, so it's human -- it doesn't have to be human in  
3 vivo exposure. So if there's evidence as a human in vitro  
4 or ex vivo data evidence, that still could count as the  
5 strong.

6           So at least I think what we have included  
7 oxidative stress, immunosuppression, and  
8 receptor-mediation effects. So this is -- I think this  
9 also we had evidence from the human studies. I think plus  
10 the epigenetics, four of them as well.

11           So back to the immunosuppressive, that's what  
12 Michele wanted me to address on -- and actually, somehow I  
13 don't -- I don't find it. I wanted to give a good  
14 example, but I think what I have seen here is included in  
15 one study captured the human blood cells, you know, to  
16 look at the NK cell activity, so -- and other studies  
17 that's in mice.

18           But I'm -- if I anybody can back me up, I  
19 actually thought we should have some -- do we have any in  
20 human -- I'm just really trying to look for in human in  
21 vivo studies, but it looks like there is one with -- in  
22 the documents about the CD4 and CD8 T cells exposure is  
23 only in vitro, but I thought I did see some studies in  
24 human cells as well, but it's not coming to me.

25           I can look at it a bit more or maybe OEHHA staff

1 can help me -- help me here and see if we have human --  
2 more human in vitro data for the immunosuppressive --  
3 immunosuppression. So I'll just end here for now.

4 COMMITTEE MEMBER LOOMIS: Great. Thank you for  
5 all those clarifications.

6 I see Dr. Stern has a hand up. Let's go to her  
7 question.

8 COMMITTEE MEMBER STERN: Yeah, just a quick  
9 comment, so -- to follow up on Dr. Zhang's discussions.  
10 So I saw a paper that I don't know -- I haven't heard if  
11 you included it, because it's pretty recent. I think it  
12 came out in October of this year is by Imir out of  
13 University of Illinois. And I don't know if you  
14 considered that one, because that was a study where they  
15 look at prostate cancer cell lines at xenograft models and  
16 they exposed them to PFOS and also high fat diets. And  
17 they actually saw that PFOS exposure increased  
18 proliferation of the cell lines.

19 And they did propose a mechanism through  
20 PPAR-gamma that was linked to -- I think to  
21 immunosuppression or something like that. So it kind of  
22 reminded me what you were discussing right now, so I  
23 wanted to make sure that that -- that you guys had  
24 considered that study, because it links PFOS to PPAR-gamma  
25 and to the immune system, particularly for prostate

1 cancer, which is the second cancer. So breast cancer was  
2 the main one, as you know, that was the focus of study for  
3 the human studies, but the other cancer for which there  
4 are three studies that reported on PFOS exposure was  
5 prostate. And one did report an intriguing association  
6 that was positive with a positive trend. So I was curious  
7 about that study and how -- whether you considered that  
8 one.

9 COMMITTEE MEMBER ZHANG: Could I ask what's the  
10 first author's name?

11 COMMITTEE MEMBER STERN: Imir. It's I-m-i-r.

12 COMMITTEE MEMBER LOOMIS: It looks like maybe Dr.  
13 Sun has a comment or an answer to that.

14 DR. SUN: Thank you, Dr. Loomis. I want to say  
15 two issues. One is a correction to what I said earlier  
16 about the historical control range for female rats, Dr.  
17 Eastmond. Yeah, for female rats, the liver adenoma range  
18 should be 1.1 to 3.07 percent. So it's above 1, instead  
19 of the 0 to 0.29 that I said earlier. So I want to  
20 correct that. Thank you.

21 COMMITTEE MEMBER EASTMOND: Thank you. I figured  
22 there was something wrong.

23 (Laughter.)

24 DR. SUN: The other thing is I want to answer Dr.  
25 Zhang's question on the KC 7, the human studies, I think



1 the only one we've found is the one on natural killer cell  
2 activity in cultured human peripheral blood mononuclear  
3 cells, there is a decrease in the natural killer cell  
4 activity.

5 COMMITTEE MEMBER ZHANG: Thank you.

6 DR. SUN: That's all for now. Thank you.

7 COMMITTEE MEMBER LA MERRILL: To answer Dr.  
8 Stern, I don't have in my notes about the Imir. I was  
9 just trying to log in to the portal with the primary  
10 publications to see if that was in there. But, you know,  
11 as far as -- the reason I called it strong was because of  
12 my experience with IARC, in terms of the fact that we do  
13 have three different human cell lines that have increased  
14 proliferation and three human cell lines that have  
15 increased occurrence in S phase. And so, you know, the  
16 fact that, you know, we're trying to say, okay, well, in  
17 this period of time, we're supposed to take this body of  
18 evidence that's organized by the KCs, which makes it very  
19 easy to efficiently not overlook any of the evidence, but  
20 it's still more comforting to us to make a decision if we  
21 can, you know, tie these things together.

22 From my perspective, when I think of estrogen  
23 receptor and being involved in proliferation, and then I  
24 see estrogen receptor-dependent proliferation upon  
25 exposure to PFOS, and I also see the cell cycle phase

1 being synched into that manner, and DNA methylation that's  
2 involved in cell cycle processes like the checkpoint RAD1  
3 DNA methylation mark that I mentioned, to me that, you  
4 know, tells a story. And it's not weaving in yet the  
5 oxidative stress, which can be, I think, sometimes a  
6 non-specific reaction. It need not be. I mean, clearly  
7 8-oxodG is a very specific type of DNA adduct. And the  
8 fact it came up consistently in two human studies with  
9 dose response, I think, is quite strong also. But I'm  
10 going to look for this Imir while other people talk.

11 COMMITTEE MEMBER LOOMIS: Okay. Let's see.  
12 Let's see, Dr. Sandy has a hand up.

13 DR. SANDY: Yes. Thank you. I'm pretty sure,  
14 and I'll ask Meng to confirm this, that we did not include  
15 that paper in our document. And it's probably not loaded  
16 on the FTP site, but if Meng can confirm that.

17 COMMITTEE MEMBER ZHANG: No.

18 DR. SUN: I think that's correct, Dr. Sandy.  
19 We're talking about the Imir paper, right?

20 DR. SANDY: Um-hmm, correct.

21 COMMITTEE MEMBER LOOMIS: All right. Let's go on  
22 and see if there are any other questions or comments from  
23 the Committee then.

24 I'm not seeing any hands. Does that mean that  
25 you are all satisfied with what we've heard do far and

1 ready to move on to public comments?

2 DR. MARDER: Dr. La Merrill has her hand raised.

3 COMMITTEE MEMBER LOOMIS: Okay. Thanks. I'm  
4 sorry I missed you. Go ahead, please.

5 COMMITTEE MEMBER LA MERRILL: No. problem.

6 Yeah. This is a question for you, Dr. Bush. I  
7 was wondering -- I know that you had said when you were  
8 giving your overview of the animal tumor work that you  
9 recognized that there was some statistical significance,  
10 but it sounded like you were on the fence about whether or  
11 not it was biologically significant and you wanted to hear  
12 more about the mechanisms, so I was wondering if maybe you  
13 could revisit some -- you know, that comment and really  
14 kind of reflect on some of the things that we've  
15 discussed. I'm just curious where you stand now.

16 COMMITTEE MEMBER BUSH: Sure. Yeah. Thanks for  
17 that, Dr. La Merrill. So the Covance study from 2002 was  
18 an independent study. And then 10 years later, Butenhoff  
19 and that published the data. The first three authors,  
20 including Butenhoff are all 3M employees. So when looking  
21 at this data, kind of looking at it -- you know, taking it  
22 with a grain of salt, they don't mention the pancreatic  
23 cancer or tumors profile. So, you know, thank you, OEHHA  
24 staff for generating that.

25 In reading the public comments though, I'm seeing

1 this discrepancy and Dr. Eastmond kind of alluded to it  
2 with respect to -- if PFOS is -- has a major route through  
3 the liver, and if -- if it is peroxisome proliferator,  
4 maybe that is responsible for these liver effects that  
5 we're seeing. I think it is controversial. But if we  
6 don't include those -- if we don't include that because of  
7 the controversy, the numbers don't look that exciting to  
8 me when looking at the Sprague-Dawley male and female  
9 mice.

10 I was trying to quickly scribble down some of the  
11 historical comment -- historical tumor profiles that Dr.  
12 Sun was mentioning. And I believe you said hepatocellular  
13 adenomas were about 0 to 8 percent in Sprague-Dawley  
14 males?

15 DR. SUN: Yes, 0 to 8 percent is the range.

16 COMMITTEE MEMBER BUSH: Okay. So looking at  
17 Table 6 in the -- in our document, you know, the point 0.5  
18 ppm is at 7 percent of adenocarcinomas, the 20 ppm is at  
19 16 percent. So, you know, roughly double what we'd expect  
20 spontaneously anyway. Is that relevant, you know, maybe?  
21 I think I indicated in my comments that it's suggestive,  
22 but it's borderline for me in that regard.

23 With the female liver cancers, you know, it's --  
24 we're not seeing this -- for me, I'm not seeing a  
25 compelling dose response. Yes, they can probably

1 statistically say that there's an increase with the 20 ppm  
2 treatment. But if this is a controversial area, we forget  
3 about liver effects altogether, then that really just  
4 leaves us with the pancreatic cancer -- pancreatic tumors,  
5 excuse me, and the thyroid tumors.

6           The thyroid tumors, as was indicated in the  
7 public comment from the American Chemical Society, right,  
8 U.S. EPA, has itself warned against the assessment of  
9 thyroid follicular cell tumors. And it may be considered  
10 less relevant to humans. So there's just not a lot of  
11 solid animal data that I can rely on.

12           So coming back to Dr. Eastmond's comments about  
13 the key characteristics, I do see bits and pieces there.  
14 And if that could be corroborated by the animal data, then  
15 a more compelling argument can be made, but I need more  
16 convincing. And some additional animal studies would  
17 certainly help. You know, it would be good to have, you  
18 know, teasing out whether there's a difference between the  
19 linear and branch versions of PFOS and derivatives.

20           And so at this point, hearing the mixed  
21 information about the human, the epidemiologic data, the  
22 bits and pieces with respect to the key characteristics  
23 and I would say mixed or marginal animal data, for me, I'm  
24 still not convinced when I weigh the evidence.

25           COMMITTEE MEMBER LOOMIS: Thanks. Dr. Landolph,

1 I'd like to hear from you now that you've had a chance to  
2 hear all this discussion, because at least initially, you  
3 were a bit more positive about the animal data, I think.  
4 Would you like to revisit your comments?

5           You're muted.

6           Can't hear you.

7           You're still on mute.

8           COMMITTEE MEMBER LANDOLPH: Can you hear me now?

9           COMMITTEE MEMBER LOOMIS: Yes.

10           COMMITTEE MEMBER LANDOLPH: Yeah. Yeah. You  
11 know, I'm looking at Table 6 and I'm going to assume just  
12 for ease of the discussion that the denominator is the  
13 same. It varies a little bit. But for liver,  
14 hepatocellular adenoma, they go 0, 3, 3, 1. So it goes 0,  
15 3, 3, 1, and then 7. So it's crudely dose dependent. The  
16 7 out of 43 at the 20 parts per million, that's the  
17 highest dose is -- let's see, they list that as  
18 statistically significant at P is less than 0.01. And the  
19 trend test P value is 0.006. I don't -- I don't feel  
20 compelled to throw that data way. To me, that data  
21 supports, you know, the hypothesis that it is a  
22 carcinogen.

23           The islet cell adenoma for pancreas, I indicated  
24 I would throw that away, because it's basically the same  
25 number that's a spontaneous result. The islet cell

1 carcinoma for pancreas goes -- there's one tumor at 0.  
2 There's two at 0.5. There's two at 2 parts per million.  
3 Then it jumps up -- so it goes 1, 2, 2, 5, and 5, so it's  
4 kind of crudely dose dependent. It's not perfectly,  
5 but -- and the trend test P value 0.048, so that looks  
6 like pretty good data to me.

7           And then the thyroid data on page 48, Table 7, it  
8 jumps up by a factor of three and they only did a 0 dose  
9 and a 20 parts per million dose. And that went from  
10 roughly 3 out of 31 to 9 out of 29, so that's like a  
11 threefold increase, but it's positive. I could not throw  
12 that data away.

13           And then the data on page 50, Table 8 for the  
14 female rats, the adenomas in the liver go 0 at 0 dose,  
15 then they go 1, 1, 1, and 5. So that's kind of a slight  
16 increase. And then it's flat and then it takes off at the  
17 20s, so there could be, you know, some toxicity or  
18 something at the end there. I'm not sure. But that data  
19 is statistically significant at the 20 parts per million  
20 dose. And the trend test is P is less than 0.01. So  
21 that's pretty good data.

22           The combined adenoma and carcinoma for the liver  
23 in the females goes 0, 1, 1, 1, and 6. And that's  
24 statistically significant at 6. And the trend test is P  
25 is less than 0.01. The follicular cell adenoma for the

1 thyroid goes 0, 0, 0, 2, and 1. So that's kind of a  
2 marginal increase there. The follicular cell carcinoma  
3 goes 0, 0, 0, 1, and 0. And if you add them together, it  
4 doesn't get you much more and it's not statistically  
5 significantly. And the fibroadenoma is statistically  
6 significant at the 0.5 dose, but not at the others, and  
7 the background is very high.

8           So -- and then the follicular cell adenoma in the  
9 female rats exposed to potassium PFOS goes 0 at 0 doses at  
10 0 parts per million, and jumps up barely to 1 at 20, so  
11 that's kind of marginal data.

12           And then we had that data from the aflatoxin.  
13 And then the summary, if you look at that, on page 126,  
14 the PFOS is positive in males and females for thyroid  
15 follicular cell adenoma and/or carcinoma. You didn't get  
16 anything for PFOA. For liver hepatocellular adenoma  
17 and/or carcinoma, it goes positive in the males and  
18 females for PFOS and PFOA. For pancreatic tumors, it's  
19 positive in the male -- and positive in the male and  
20 female. And for the testicular Leydig cell adenomas, it's  
21 positive in the male. And the mammary gland fibroadenoma,  
22 I think that data is marginal. They say it's positive in  
23 both females.

24           So to me, there is data there that's positive and  
25 I could not throw that out. I think the data more



1 supports the hypothesis that it is a carcinogen than it  
2 does the data that it's not a carcinogen, because there's  
3 dose dependence and there's statistical significance. So  
4 I haven't changed my mind on that.

5 I certainly would like, as Dr. Bush would and  
6 others would, to see more animal data, but we don't have  
7 it. We've got to deal with what we've got, and -- but I  
8 can't throw that data away, other than what I said I felt  
9 was marginal.

10 COMMITTEE MEMBER LOOMIS: Okay. Thanks.

11 Dr. Eastmond has another comment or question.

12 COMMITTEE MEMBER EASTMOND: Thank you. This is a  
13 question more for OEHHA, but I notice for everyone of  
14 these test doses there's approximately five statistical  
15 tests being done on each dose. You know, there's always  
16 this concern about multiple comparisons and error -- type  
17 1 error that accumulates. Has OEHHA looked at trying to  
18 control for multiple comparison when they do these  
19 analyses?

20 DR. SANDY: I'll take a stab at that. So  
21 typically when evaluating cancer bioassay data, as is done  
22 usually by the National Toxicology Program as well as by  
23 OEHHA, we're looking at running pairwise comparison tests  
24 and trend tests for individual tumor types in certain  
25 organs. And we do not usually do any correction for

1 multiple comparisons, because the assumption is you're  
2 testing something to see if it is causing an increase in  
3 tumors and you're -- oftentimes, the test chemical is  
4 going to target one, two, or three different target organs  
5 and that's -- and we're not running this -- these tests on  
6 every single organ in the animal's body, just --

7 COMMITTEE MEMBER EASTMOND: But they have been.  
8 The tests have been run on every single tissue. You only  
9 see the ones that were positive.

10 DR. SANDY: Well, we -- I'm not sure that's the  
11 case. We looked at the original study data, the Thomford  
12 et al. report, and looked at tumor incidence without  
13 running any tests, and looked at which sites we thought  
14 there might be something going on, and then we applied the  
15 test. I wonder if Dr. Cogliano has anything to add.

16 DR. COGLIANO: Well, I think you pretty much  
17 covered it. I think that, yeah, there's a lot of tumor  
18 sites where there's no increase and it's -- they're  
19 generally not reported in the published paper, and we  
20 don't -- we're not running it on 40 different sites.  
21 We're running on what we -- on what we see as positive. I  
22 think that's where the whole question of biological  
23 plausibility comes into play. And, you know, we look at  
24 the mechanistic data and key characteristics as indicators  
25 of biological plausibility of the tumor sites that we find

1 to have apparent increase.

2 COMMITTEE MEMBER EASTMOND: But essentially don't  
3 you mean then this is a post-hoc analysis, because you've  
4 already looked at the data and then you've chosen the ones  
5 to analyze based upon the results.

6 DR. COGLIANO: I think when there's only a couple  
7 of bioassays, that's pretty much the only thing you can  
8 do. It's not like this is something that's so well  
9 studies and then we go out and design another study with a  
10 priori hypothesis that would affect the thyroid and  
11 pancreas or some other organ.

12 COMMITTEE MEMBER EASTMOND: No, I mean, the  
13 reason I brought this up is, you know, it comes down to me  
14 the liver tumors are what's driving this story and they  
15 appear to be increased, both by a trend test at the high  
16 dose by pairwise comparison and they exceed historical  
17 control, so it makes some sense.

18 But the P values on some of these tumor  
19 incidences are really pretty modest, when you go into the  
20 pairwise comparisons. They're between 0.05 and 0.01. So  
21 they're not huge increases, but the consistency is there.  
22 I guess that's my thought.

23 DR. COGLIANO: No, I think those are good  
24 observations. This is -- this is something that's -- you  
25 know, it does require some discussion and we try to put

1 together the different types of evidence and see where you  
2 come out. The statistics is one part of it, the -- and  
3 plausibility is another part of it.

4 COMMITTEE MEMBER EASTMOND: It makes sense.

5 DR. COGLIANO: Thank you.

6 COMMITTEE MEMBER LOOMIS: If you're really  
7 concerned about those P values, you can divide by the  
8 number of tests and get a sort of rough approximation of  
9 what you would have otherwise.

10 So Dr. La Merrill has a hand up again.

11 COMMITTEE MEMBER LA MERRILL: Yeah. Just  
12 addressing that there was numerous rodent studies that  
13 observed pancreatic tumors and -- well, they're all rats.  
14 And in male and in females, it seems that the  
15 carcinogenicity of PFOS hasn't been studied (inaudible) in  
16 terms of multiple species.

17 But, you know, there -- I didn't see any  
18 mechanistic data in the body of literature that we were  
19 provided related to the pancreas, but I did just type in  
20 PFOS and insulin into the Internet and there's about four  
21 or five different studies that have just come out in the  
22 last couple years consistently showing in rodent models  
23 that PFOS can modulate insulin secretion from pancreatic  
24 beta cells. I don't -- I don't see anything addressing  
25 the pancreatic cancer aspect specifically, but it does

1 appear that it's a -- that the tissue, the pancreas, is a  
2 target of PFOS.

3 COMMITTEE MEMBER LOOMIS: Thank you.

4 Are there any other questions or comments from  
5 the Committee before we close this part of the discussion?

6 COMMITTEE MEMBER LA MERRILL: Can I just ask. I  
7 recall on the -- OEHHA's slides this morning that there  
8 was indication that somebody had done some studies related  
9 to genetic manipulation of the PPARs to try and address  
10 some of the doubts that are created about the relevance of  
11 PPARs in the context of PFOS associated liver tumors, but  
12 I didn't see what the outcomes were or the details of  
13 that. Do you all happen to have that handy, OEHHA?

14 DR. SUN: Yeah, I can briefly describe the  
15 PPAR-alpha related knockout mice study. I think  
16 PPAR-alpha knockout mice, this one study reported  
17 PFOS-induced hepatomegaly and one study reported  
18 upregulated genes in the liver of these mice. And also --  
19 yeah, and the Imir study that we discussed earlier, PFOS  
20 activated human PPAR-alpha in vitro and in this xenograft  
21 mouse model.

22 COMMITTEE MEMBER LOOMIS: Thanks.

23 Now, Dr. Crespi has a hand up.

24 COMMITTEE MEMBER CRESPI: Yeah. So I wanted to  
25 just a little bit relevant to the multiple testing issue.

1 I think that -- you know, I think it's fine not to correct  
2 for multiple testing here. I think that we should think  
3 of the P values as giving us some kind of rough evidence  
4 and we should not draw a bright line at 0.05. That we  
5 need to think of everything in the totality and in the  
6 context, but -- so like, for example, for this finding  
7 for -- in the male rats, the pancreas islet cell carcinoma  
8 0.048. You know, I wouldn't give that too much credence,  
9 given the number of tests that have been done here.

10 But thinking about the liver tumors where there  
11 are -- the trend tests do have relatively low P values,  
12 and also there's consistency across the two sexes. So to  
13 me that -- you know, there -- that gives it that  
14 association more weight because of the consistency perhaps  
15 across the two sexes. And that's why I'm a little bit  
16 stuck on this -- why I'm trying to think about how  
17 relevant that might be, if that's translatable to humans.  
18 That's kind of where I feel a little bit stuck.

19 COMMITTEE MEMBER LOOMIS: Would anyone like to  
20 comment on the question of human relevance?

21 COMMITTEE MEMBER LA MERRILL: Isn't that the  
22 finding at IARC that they consider tumors and rodents and  
23 tumors in people, that even if they're not at the same  
24 site, they still consider it kind of carcinogenic one spot  
25 at a time in that sense?

1           COMMITTEE MEMBER LOOMIS: It is. You know, the  
2 concordance between humans and animals isn't expected,  
3 according to the IARC criteria.

4           COMMITTEE MEMBER LA MERRILL: Does that help  
5 Kate -- Dr. Crespi?

6           COMMITTEE MEMBER CRESPI: Yeah. Definitely. I  
7 mean, we don't -- that part I understand. We don't expect  
8 if there's liver cancer in one species, then, you know,  
9 that means liver cancer in the other species.  
10 Necessarily, it could just indicate carcinogenic  
11 potential. Yeah, I guess -- yeah, I guess I just wonder  
12 more about like is there anything, you know, special about  
13 this in this species of rats, that would make us discount  
14 that or not?

15           COMMITTEE MEMBER LOOMIS: Dr. Eastmond, do you  
16 have a comment on this point?

17           COMMITTEE MEMBER EASTMOND: Yeah, just quickly.  
18 Even the guidance within this Committee is essentially --  
19 it's positive in rodents. You know, we go forward, unless  
20 we have evidence to conclude it's not relevant in humans.  
21 So that's the issue in so much -- you know, I think these  
22 may be caused -- these liver tumors may be caused through  
23 these mechanisms that are of questionable relevance to  
24 humans, but I don't think we have the evidence to conclude  
25 that. So that's the way I approach this.

1 COMMITTEE MEMBER LOOMIS: Thank you.

2 Okay. Let's see if we can close this portion  
3 out. One more time, is there a question or a comment that  
4 we haven't heard yet?

5 I don't see any raised hands.

6 DR. MARDER: No raised hands.

7 **PUBLIC COMMENTS**

8 COMMITTEE MEMBER LOOMIS: Okay. Very good. Then  
9 that concludes the Committee discussion and brings us to  
10 the public comment opportunity. So the way this will work  
11 is that we'll take comments from members of the public  
12 who've asked to speak. Those comments will be limited to  
13 five minutes each speaker. And to kick this off, I'll ask  
14 Dr. Marder to show the public comment slide.

15 So this is the housekeeping slide. So if anyone  
16 would like to make a comment, they can go to the URL shown  
17 on this slide and fill out a speaker request card. Some  
18 people may have done this already. Alternatively, you can  
19 click the raise hand icon on the zoom screen, if you would  
20 like to speak.

21 So first we'll go to those requests to speak that  
22 have already come in by speaker request cards. And I'll  
23 ask Julian whether we have any speaker request cards, and  
24 if so, how many?

25 MR. LEICHTY: Yes. So we have seven at the



1 moment. And the first, if we're ready for that, is Andrea  
2 Ventura of Clean Water Action.

3 COMMITTEE MEMBER LOOMIS: Okay. We'll go forward  
4 with that in just a moment, but I want to remind the other  
5 speakers that you have five minutes. And we'll take these  
6 speaker requests in the order in which they were received.  
7 So when one speaker finishes, Julian can announce the next  
8 one and we'll just go ahead in that order. So the first  
9 speaker you're welcome to go ahead.

10 DR. MARDER: Just a reminder to those speakers,  
11 they should have their name match that of the speaker  
12 card. So this speaker does not appear currently in our  
13 attendee list.

14 MR. LEICHTY: Okay. So the next is Jimena Diaz  
15 Leyva of the Center for Environmental Health.

16 DR. MARDER: I am allowing Jimena Diaz to speak.  
17 You must unmute yourself first.

18 DR. DIAZ LEIVA: Hello. Thank you for the  
19 opportunity to present comments. The Center for  
20 Environmental Health strongly supports the proposal to  
21 list perfluorooctane sulfonic acid and its salts and  
22 transformation and degradation precursors as known to the  
23 State to cause cancer under Proposition 65. We believe  
24 the weight of scientific evidence, including evidence of  
25 carcinogenicity in animals and mechanistic evidence of

1 carcinogenicity supports listing PFAS, its salts, and  
2 precursors. And we believe the listing is very crucial to  
3 protecting public health, because of widespread  
4 occurrence, persistence, mobility, and potential to cause  
5 health harms of PFOS.

6 Thank you.

7 COMMITTEE MEMBER LOOMIS: Thank you.

8 MR. LEICHTY: The next card is Amber Lee Woodby.  
9 Not someone I'm seeing on the attendee list. So that  
10 takes us to Steve Risotto of the American Chemistry  
11 Council.

12 DR. MARDER: Who is Present and I am allowing you  
13 to talk.

14 MR. RISOTTO: Thank you. Can you hear me okay?

15 DR. MARDER: We can.

16 MR. RISOTTO: All right. Awesome. Thank you for  
17 the opportunity to comment on the Committee's  
18 consideration of carcinogenic evidence for PF -- for PFOS.  
19 I'm Steve Risotto representing the American Chemistry  
20 Council.

21 ACC provided written comments in November. I  
22 would like to highlight a few points from those comments.  
23 As the Committee has discussed, the data from epidemiology  
24 studies and cancer bioassays are mixed and sometimes  
25 contradictory. The findings in human -- the finding in

1 human -- that appears in humans that appears to generate  
2 the most concern comes from the study of breast cancer  
3 among french women by Mancini et al. It is important to  
4 note that the association with estrogen receptor positive  
5 tumors was observed in only one of the three adjusted  
6 models applied by the researchers. The results from this  
7 model exhibit wide confidence intervals however that limit  
8 their interpretation.

9           In the one available animal study, the strongest  
10 evidence is for liver tumors. As has been noted, evidence  
11 exists that these tumors result from a rodent-specific  
12 mechanism or -- mechanism or mechanisms that may be of  
13 limited relevance to humans. This suggestion is  
14 strengthened by that fact liver tumors have not been  
15 reported in the available epidemiology.

16           In light of these equivocal data, OEHHA's focus  
17 is directed at the evidence for the 10 key characteristics  
18 of carcinogens as a basis for the proposed listing. The  
19 2017 publication by Becker et al. that applied the key  
20 characteristics to the data from high throughput studies  
21 for over 200 chemicals evaluated by U.S. EPA's pesticide  
22 office however, found that the ability to predict cancer  
23 hazard was quote no better than chance, unquote.

24           OEHHA staff have themselves acknowledged that the  
25 key characteristics of -- are of limited evidence --

1 limited value for cancer hazard identification. While the  
2 key characteristics are useful for identifying and  
3 organizing data, the use of these characteristics does not  
4 meet the criteria for listing under Proposition 65.

5 Given this information, the available data do not  
6 support a cancer hazard listing for PFOS. This is the  
7 conclusion reached by the European Food Safety Authority,  
8 Health Canada, and the U.S. EPA.

9 Thank you.

10 COMMITTEE MEMBER LOOMIS: Thank you.

11 Is there a next speaker?

12 MR. LEICHTY: Yes. The next speaker is Suzanne  
13 Hume of CleanEarth4Kids.org.

14 DR. MARDER: Suzanne, if you unmute, you have  
15 been given permission to speak.

16 MS. HUME: Hello and thank you. Thank you so  
17 much to everyone here today. I just would like to say  
18 thank you to the fantastic OEHHA staff. My name is  
19 Suzanne Hume. I'm the Educational Director and founder of  
20 CleanEarth4Kids.org. We're a nonprofit dedicated to  
21 children's health, public health, environmental, and  
22 social justice, clean air and water.

23 We ask you to please list PFOS as Prop 65.  
24 Studies have concern -- have confirmed the human health  
25 risks of PFOS, especially for exposure through food and

1 drinking water. The job of OEHHA and the role of Prop 65  
2 is to protect us. The American people are relying on you.  
3 And the American people do not need these studies in a  
4 neat package with a bow. Things are not always  
5 straightforward, but if they're causing cancer, if they're  
6 causing toxicity in animals and humans, we need you to  
7 take action. We rely on you to protect us.

8           When we talk about oxidative stress and cord  
9 blood in Chinese babies, it matters. When we talk about  
10 breast cancer, prostate cancer, pancreatic cancer, thyroid  
11 tumors, and liver cancer, it matters. We have deep  
12 concerns about PFOS causing oxidative stress and radicals  
13 that queue inflammation. Strong associations,  
14 epigenetics, and the number of methylation studies also  
15 demonstrated by a firefighter study that Dr. Zhang shared.

16           I'm deep concerned about DNA methylation --  
17 methylation changes of cells in the cell cycle. Relevant  
18 epigenetic data regarding the breast and alteration of  
19 microRNA and risk associations and ER positives. We have  
20 concerns about receptor mediation -- so sorry --  
21 mediation -- or mediated on ER-alpha promoter activity  
22 kidney and human breast cells, and increased proliferation  
23 of cells seen across human and animal studies, human cells  
24 being in S phase. We have concerns.

25           Studies have shown increased mutagenicity[SIC],

1 chromosomal effects, DNA damage, oxidate -- oxidation, DNA  
2 damage, increased ROS -- RNS, and more.

3 I'm a little nervous, I'm must say, presenting to  
4 this panel of experts and scientists. You've read the  
5 research and it's clear and we've read this research as  
6 well. So sorry that I'm one the presenting this data and  
7 not saying it as clearly as you would.

8 We're very concerned about oxidative stress.  
9 We're very concerned about studies showing exposure to  
10 PFOS, you know, problems with neurotoxicity, reproductive  
11 toxicity, immunotoxicity, thyroid disruption,  
12 cardiovascular toxicity, pulmonary toxicity, renal  
13 toxicity in laboratory animals and many in vitro human  
14 systems. You know, and so, of course, we're very  
15 concerned and we're asking you to take action.

16 We're very concerned about the human health risks  
17 and chronic toxicity, molecular mechanisms of PFOS. So,  
18 as you know, PFOS really must be treated as a class. DTSC  
19 has already determined the regulation of individual PFAS  
20 is ineffective, and California treats them as a class  
21 shown by SB 343, AB 1200, and AB 1201, which were signed  
22 by Governor Newsom on October 5th. PFAS is a class and  
23 share many characteristics and toxicity. PFOA was listed  
24 under Prop 65 in September 2019, because it cause birth  
25 defects and other reproductive harm.

1           According to the EPA, both PFOS and PFOA are  
2 toxic to laboratory animals, producing reproductive,  
3 developmental, and systemic effects in laboratory tests  
4 and was suggestive evidence of PFOS and PFOA causing  
5 cancer.

6           PFOS are known as -- I'm sorry PFAS, PFAS are  
7 known as forever chemicals, as they're extremely strong  
8 and don't breakdown in the environment in our bodies, are  
9 linked to liver damage, thyroid disease, developmental  
10 reproductive problems, high cholesterol, obesity, Immunity  
11 issues, hormone suppression, and several types of cancer.

12           So today, and not very eloquently, I apologize,  
13 we are asking you to please take action to protect public  
14 health and add PFOS as carcinogenic and consider them --  
15 consider adding all PFAS, PFAS as a class to Prop 65.

16           Additionally, just a quick note, of course, we're  
17 very concerned about the history and represent day of 3M  
18 and also the American Chemical -- Chemistry Council and  
19 manufacturers as well of PFOS being so intimately involved  
20 with the regulation of these chemicals. So we're asking  
21 you to please take action. The American public relies on  
22 you. Thank you so much for your dedicated work.

23           Thank you.

24           COMMITTEE MEMBER LOOMIS: Thanks for your  
25 comment.

1 Who's the next speaker, please.

2 MR. LEICHTY: John Bottorff also of  
3 CleanEarth4Kids.org.

4 DR. MARDER: You have been allowed to talk. You  
5 must unmute yourself. Thank you.

6 MR. BOTTORFF: Thank you so much. My name is  
7 John Bottorff with CleanEarth4Kids.org. I ask that you  
8 please list PFOS as carcinogenic under Prop 65. I also  
9 ask that PFAs be treated as a class and added to the Prop  
10 65 as well.

11 According to the EPA, both PFOS and PFOA quote  
12 "Are toxic to Laboratory animals producing reproductive,  
13 development, and systemic effects in laboratory tests",  
14 unquote, with quote, "suggestive evidence that PFOS and  
15 PFOA may cause cancer", unquote.

16 PFOS and PFOA have a long history of harm.  
17 DuPont was sued in 1999 over PFOS and PFOA contamination.  
18 Court documents showed DuPont and PFOA inventor 3M had  
19 secretly been doing medical studies on PFOA and PFOS for  
20 decades. 3M researchers stated in 1978 that PFOA and PFOS  
21 quote, "Should be regarded as toxic", unquote. In 1981,  
22 3M found that PFOA caused birth defects in rats. DuPont  
23 knew PFOA causes cancerous tumors in lab animals by the  
24 1990s. In 2005, DuPont reached a settlement with the EPA  
25 for concealing their knowledge of PFOA toxicity.



1           And a lot of these -- this is all important,  
2 because a lot of these manufacturers block studies. They  
3 make sure that these studies are not funded. So just  
4 because we don't have studies has nothing to do with the  
5 fact that these may cause cancer. There is evidence that  
6 PFOS are a health risk, just like PFOA, and all the PFAS  
7 across the class.

8           Please take action to protect public health and  
9 add PFOS as carcinogenic and also consider adding all PFAS  
10 as a class to Prop 65. Thank you so much for your time.

11           COMMITTEE MEMBER LOOMIS: Thank you. Who's the  
12 next speaker, please?

13           MR. LEICHTY: The last speaker card is from  
14 Evelyn of CleanEarth4Kids, but I not seeing that speaker  
15 in the list.

16           DR. MARDER: And as a reminder, you may rename  
17 yourself in Zoom, if any of you have been called who have  
18 presented a speaker card, but don't have a matching name.  
19 I am not seeing any changes in names in the list.

20           Dr. Loomis.

21           COMMITTEE MEMBER LOOMIS: Very good. Thank you.  
22 So now, let's see if there are any raised hands.

23           DR. MARDER: There are no raised hands at this  
24 time.

25           COMMITTEE MEMBER LOOMIS: And have we received

1 anymore speaker cards in the last minute or two?

2 MR. LEICHTY: We have not.

3 COMMITTEE MEMBER LOOMIS: Okay. Thank you very  
4 much. Thank you to all the speakers. That concludes the  
5 public comment opportunity.

6 DR. MARDER: We do have a raised hand from one  
7 of -- from Dr. Sandy.

8 COMMITTEE MEMBER LOOMIS: Okay. Please go ahead.

9 DR. SANDY: Thank you. I just wanted to take a  
10 moment to correct a misstatement by the commenter for the  
11 American Chemistry Council. OEHHA has not made any  
12 comments questioning the usefulness of the key  
13 characteristics of carcinogens. The commenter seems to be  
14 confusing two very different things. The key  
15 characteristics of carcinogens with a set of high  
16 throughput screening assays, the ToxCast and Tox21 assays.

17 So as you know, the key characteristics of  
18 carcinogens were identified based on a comprehensive  
19 review of more than a hundred agents classified by IARC as  
20 known to cause cancer in humans. And there's many sources  
21 of data -- mechanistic data that can inform those key  
22 characteristics, including data from humans, human cells,  
23 animals, animal cells, and cell-free systems, and other  
24 high throughput screening assays.

25 And so in looking at the ToxCast and Tox21 high

1 screening -- high throughput screening assays, it's been  
2 noted by many people, including OEHHA, that those assays,  
3 which were not designed to cover the key characteristics  
4 of carcinogens, don't fully cover them. And  
5 recommendations have been made that those ToxCast assays  
6 should be improved and -- to be a better set of  
7 information that might inform the key characteristics.  
8 That's all. Thank you.

9 **COMMITTEE DISCUSSION AND DECISION**

10 COMMITTEE MEMBER LOOMIS: Thanks for that  
11 clarification. Let's move on, if there are no more raised  
12 hands, to Committee discussion and vote on the question  
13 before us.

14 So first before we proceed to a vote, I wanted to  
15 see whether committee members had any other comments that  
16 they would like to make?

17 Dr. Landolph.

18 COMMITTEE MEMBER LANDOLPH: Yes, sir. Thank you.  
19 Yeah, I wanted to go over just briefly again -- I had  
20 discussed slightly, which is that the PFOS and the PFOA  
21 cause oxidative damage the 8-hydroxydeoxyguanosine,  
22 there's data on immunosuppression, there's data that  
23 apoptosis is inhibited, senescence is inhibited, and the  
24 gap junctional intercellular communication is inhibited.

25 So all these characteristics are consistent in

1 the fact that they cause transformation in Syrian hamster  
2 embryo cells and normal human breast epithelial cells. So  
3 I come out of a cell transformation background and all  
4 these characteristics are ancillary data compared to the  
5 heart data like animal carcinogenesis, but they're all  
6 consistent with cell transformation, and therefore  
7 carcinogenesis.

8           So in my mind, I add this to the animal  
9 carcinogenesis data that's positive and that moves me  
10 towards not a perfect answer, but an answer that's  
11 consistent with support for the hypothesis that these are  
12 carcinogens.

13           COMMITTEE MEMBER LOOMIS: Thanks, Dr. Landolph.  
14 Any other comments from the Committee?

15           Go ahead, please, Dr. Stern.

16           COMMITTEE MEMBER STERN: I just want to add that  
17 there is one additional study that OEHHA excluded from  
18 review for good reasons, because, you know, a priori they  
19 made a decision that they would include only studies that  
20 met certain criteria. One of them was measuring PFOS at  
21 the time of diagnosis or before diagnosis. This  
22 particular study measured -- is a case control study in  
23 Taiwan that measured PFOS at various times between time of  
24 diagnosis and after. Yet, they measure to me -- measure  
25 it before treatment, so the concern is reverse causation.

1           That said, if there were indeed reverse  
2 causation, the more likely scenario is that it would bias  
3 the result towards the null, right? So that's kind of how  
4 we interpret that. In this particular study, they also  
5 found a positive association with breast cancer among ER  
6 positive women. So even though we did not include that in  
7 our review, I keep coming back to that in light of all the  
8 discussions that we've been having and I wanted to share  
9 that with the -- with the Committee.

10           COMMITTEE MEMBER LOOMIS: Thank you.

11           Are there any other comments from the Committee?

12           DR. MARDER: Dr. La Merrill has her hand raised  
13 as well, Dr. Loomis.

14           COMMITTEE MEMBER LOOMIS: Thanks. Go ahead,  
15 please.

16           COMMITTEE MEMBER LA MERRILL: And I just wanted  
17 to bring up the thyroid again. I was hoping that some of  
18 the public comments would help clarify some of my  
19 confusion about why we were supposed to discount the  
20 thyroid tumors in the rodents, but I do know that the  
21 thyroid in a systematic review of epidemiology studies,  
22 they found evidence of a positive association between PFOS  
23 exposure and TSH. And so, you know, I had summarized  
24 something I had found earlier on that level, but that does  
25 mean, you know, we've looked at numerous human studies

1 that are seeing changes in thyroid hormone. I'm a little  
2 concerned about that -- you know, that that might be  
3 getting glossed over a little bit, since we're seeing  
4 lesions in the animals that are -- I believe I remember  
5 reading that they were suggested to be rare. And it  
6 seemed like they were in both sexes. And then there's  
7 some evidence that this is operating in humans. So I just  
8 wanted to make sure that was known about the human study.

9 COMMITTEE MEMBER LOOMIS: Thank you.

10 Dr. McDonald has a hand up.

11 COMMITTEE MEMBER McDONALD: Yes. Thank you.

12 I really appreciate OEHHA compiling all this  
13 primary source information. You've done a Herculean job  
14 as well. I did want to speak to the thyroid tumors.  
15 Seeing we're on that topic, I wanted to make one point.  
16 With respect to male rats and thyroid tumors, there was an  
17 increase in adenoma benign tumor at the 52-week recovery  
18 group, but there was no tumors seen in the 104-week group,  
19 which as you would expect those to progress.

20 I also wanted to point out that if you look at  
21 the follicular cell carcinomas, they actually decreased.  
22 There's a dose-related decrease over all doses relative to  
23 control. And so for me, I just don't see much evidence  
24 for male rat thyroid. Female rats, granted they are rare,  
25 but there were no statistical significance.

1           So I'm kind of in the camp that, you know, these  
2 are suggestive, but limited evidence.

3           Thanks.

4           COMMITTEE MEMBER LOOMIS: Is there anything else?

5           So Dr. Crespi has a hand up.

6           COMMITTEE MEMBER CRESPI: Yeah. So I found what  
7 Dr. Stern said very interesting and relevant. And it  
8 makes me concerned that the HID may not have provided  
9 information on studies where the exposure assessment was  
10 undertaken after diagnosis. And I just wonder whether it  
11 might have made sense to include such studies, considering  
12 that this is a chemical with a very long half-life. My  
13 understanding is it's not really metabolized in the body  
14 or well excreted. So like the -- a reverse causation  
15 seems -- the hypothesis seems very unlikely. So I wonder  
16 if excluding such studies might have been, you know,  
17 not -- might have led to us missing some relevant  
18 information.

19           COMMITTEE MEMBER LOOMIS: Just to be clear, those  
20 studies that measured exposure at the time of diagnosis or  
21 afterward were included. They're reviewed in the section  
22 of breast cancer, but not in very much detail. However,  
23 the papers are available for the Committee to review. So  
24 they are there.

25           DR. SUN: Yes. Sorry to interrupt. I'll just

1 clarify that the study Dr. Stern mentioned, Tsai et al.,  
2 the title says is a case control study, but it is of a  
3 cross-sectional design. So we have a list of studies that  
4 we initially identify, but we excluded, listed in the HID  
5 in the Appendix B, and we list the reason for exclusion.

6 COMMITTEE MEMBER LOOMIS: Thanks. Thanks for  
7 that.

8 Okay, Dr. Crespi?

9 COMMITTEE MEMBER CRESPI: (Nods head.)

10 COMMITTEE MEMBER LOOMIS: Does that address your  
11 question?

12 It looks like Dr. Landolph has another comment.

13 COMMITTEE MEMBER LANDOLPH: Yes. Thank you, Dr.  
14 Loomis. Dr. Stern, my friend and colleague from USC, you  
15 straddle both worlds as an epidemiologist and molecular  
16 carcinogenesis researcher, so my impression is that the  
17 epidemiology studies are somewhat insensitive. Is it  
18 possible that they're not sensitive enough yet to catch  
19 these materials, but the simpler things like the animal  
20 carcinogenesis, the gap junctional inhibition of  
21 intercellular communication, and assays like this could be  
22 sensitive to cancer and the epidemiology just is not big  
23 enough and has -- doesn't have the sensitivity to catch  
24 them yet, is that possible?

25 COMMITTEE MEMBER STERN: Yeah, I can provide an



1 answer and then I would like for my colleagues -- my  
2 epidemiology colleagues, Drs. Loomis and Reynolds, to also  
3 comment on this. But, yes, it is challenging with the  
4 epidemiological studies, because they're -- all of them  
5 did a one-time measurement, except for the Alabama cohort,  
6 which used a job matrix. The other ones did a one-time  
7 serum measurement.

8           So you are assuming that that one measurement  
9 captures the typical exposure of those individuals that  
10 may have contributed to the development of cancer. So  
11 that's always a challenge in epidemiology. In spite of  
12 that, we do see some studies that show positive  
13 associations, others don't show anything, and others show  
14 positive associations that are not significant, so we tend  
15 to get concerned when we see that, because we worry about  
16 confounding, we worry about particularly confounding by  
17 other PFAS such as PFOA. So, yes, it is tricky and is not  
18 as clean as the experimental studies.

19           COMMITTEE MEMBER LANDOLPH: Thank you.

20           COMMITTEE MEMBER STERN: I don't know if that  
21 answers your questions, but --

22           COMMITTEE MEMBER LANDOLPH: Yeah, It does. It  
23 does. Thank you.

24           COMMITTEE MEMBER LOOMIS: And I would just add  
25 that the limitations of exposure in a specimen are

1 probably the greatest limitation on the sensitivity of the  
2 studies that we have now. And that primarily relates to  
3 interindividual variability and the excretion of these  
4 compounds. You know, if it's true that half-life is  
5 relatively long, then perhaps within an individual, it  
6 doesn't matter that much when they're sampled, even though  
7 there's only one measurement in time, unless there are  
8 critical windows like we've missed that are somehow by a  
9 single sample, but there may be quite a bit of variation  
10 between individuals and how they process the chemicals and  
11 we really don't have any information at all about that.

12 Anything else?

13 Let's see, Dr. Reynolds.

14 COMMITTEE MEMBER REYNOLDS: I just wanted to  
15 piggyback on that comment that the one-time sample  
16 problem, I mean, some people have taken a look at that and  
17 it seems to be fairly high correlations in studies which  
18 have had multiple samples in multiple periods of time.  
19 But the one-time sampling, one issue is the persistence of  
20 the chem -- persistence of the chemical in the body, the  
21 other is the persistence of exposure, which in many of  
22 these cases is ongoing. So just to add that element.

23 COMMITTEE MEMBER LOOMIS: Thanks. Very good  
24 point.

25 Let's see, Dr. McDonald, did you have your hand

1 up again or was that from before?

2 COMMITTEE MEMBER McDONALD: No. I did -- I did  
3 want to ask my epidemiology colleagues on the panel. One  
4 thing that I noticed in a number of the studies was the  
5 quartiles -- you know, the difference between exposure  
6 amongst one group to the next was really tiny, like 20  
7 percent difference -- 15, 20 percent difference. And I  
8 just wondered if those are meaningful?

9 I mean, you know, they just seem like such a  
10 narrow range within the population, that it's hard to  
11 break up into meaningful groups. Just wondered if that  
12 plays a role at all.

13 COMMITTEE MEMBER LOOMIS: Anybody want to field  
14 that one?

15 COMMITTEE MEMBER STERN: So, Dr. McDonald, are  
16 you referring to the change from one quartile to the next?

17 COMMITTEE MEMBER McDONALD: Yes.

18 COMMITTEE MEMBER STERN: Yeah. Often when we see  
19 a -- so ideally if there's a causal relationship between  
20 an exposure and disease, we like to see that -- as  
21 quartiles increase, we see nice increases. And a test of  
22 trend will give us a significant finding. Now, when we  
23 don't see that, they could be a dif -- there could be  
24 multiple reasons. One of them is that there is procedural  
25 confounding by something that we're not capturing or that

1 there's not a linear -- we're assuming a linear  
2 relationship when we do those tests. The relationship  
3 does not have to be linear. We know that from other  
4 examples, right, in epidemiology often exposures do not  
5 follow a linear relationship. There might be a plateau  
6 point, and above that point there's no further increase or  
7 there might be all kinds of complicated relationships that  
8 we still have not figured out.

9           So we do -- I personally think that it accounts  
10 in assessing causality, but we have to keep an open mind  
11 that there could be explanations for that. But the first  
12 thing that comes to my mind when I look at that, is that  
13 it could be residual confounding. I don't know what  
14 others feel like, Dr. Reynolds, or Loomis, or Dr. Mack.

15           COMMITTEE MEMBER REYNOLDS: I do think your point  
16 about linearity is a good one, because we do see for all  
17 kinds of exposures they're often not linear. And in epi  
18 studies sometimes there's some variability in measurement,  
19 so that it's useful, rather than trying to take a  
20 continuous variable to break it up into quartiles or  
21 quintiles to see whether or not there's some evidence of  
22 differences in extremes. So there are a variety of  
23 reasons I think for doing that and that does help  
24 interpret the evidence.

25           COMMITTEE MEMBER LOOMIS: So just to add onto

1 that, you know, one of the other challenges we had with  
2 sensitivity is that exposures in the general population  
3 tend to be quite low. And so that means whether you  
4 created quartiles or use a continuous exposure variable,  
5 well, you know, the changes are indeed likely to be small.  
6 So what we would really like to have would be some  
7 occupational studies, you know, of workers with higher  
8 exposures that would help to corroborate the evidence from  
9 the general population, but perhaps with greater  
10 sensitivity. Unfortunately, we just don't have those in  
11 this instance.

12 Dr. Zhang, comment, question.

13 COMMITTEE MEMBER ZHANG: Yes. Just to follow Dr.  
14 Reynolds comment on that one-time sample. I know Dr.  
15 Loomis is the first one to mention that. I thought I'd  
16 just add on that. For a lot of epidemiological studies,  
17 you know, lots of times we may not even have any  
18 biological samples. So one thing I think listed study has  
19 some, you know, biological samples and the date of  
20 exposure assessment. So that's -- I would still put it as  
21 a positive point for that study.

22 And the second I think also for the chemicals is  
23 whether it's stable, so it's not like some chemical like,  
24 you know, it could be, you know, half-life is really  
25 short, then that would be a problem. So I sort of -- on

1 that sense, so I still think give it credit to the study.

2 Another point I want to make is maybe just trying  
3 to make sure I express the -- previously one was comment  
4 on the KC 2, the genotoxicity of the PFOS, I think I want  
5 to do another, number one, is a self correction. I would  
6 say if even though it could be a weak genotoxic compound,  
7 but I think -- I think -- you know, I just look over the  
8 data one more time and I think the -- even though some  
9 data is contradictory, but overall I think the in vivo  
10 data exposing humans and exposed animal generally see as  
11 pretty strong. So what I'm saying is I was trying to say  
12 if PFOS can cause cancer, it may not just going through  
13 epigenetic pathway, or genotoxic pathway, or could be  
14 epigenetic, or could also play the role in non-genotoxic  
15 pathway.

16 So I don't think at this point, we -- I could to  
17 identify, if the PFOS is really a strong or weak genotoxic  
18 compound. So I want to take that back. Just try to get  
19 on the record.

20 COMMITTEE MEMBER LOOMIS: Thank you. I don't see  
21 any other hands raised at this moment. So unless there  
22 are any burning last-minute comments or questions from the  
23 Committee, I would propose we move on to a vote and  
24 decision.

25 Is everyone ready?

1 CHIEF COUNSEL MONAHAN CUMMINGS: Dr. Loomis, this  
2 is Carol Cummings. I just wanted to interject and remind  
3 the Committee, if you're not comfortable making a decision  
4 today -- it sounds like there's a lot of discussion back  
5 and forth, and you probably understand it better than I  
6 do, but I just want to make sure that you know that you  
7 don't have to make a decision today. If you aren't  
8 comfortable with that, you can ask for, you know, more  
9 data, you can table the question, or you can go ahead and  
10 vote. It's entirely up to you.

11 Thanks.

12 COMMITTEE MEMBER LOOMIS: So would it be  
13 appropriate then to ask if there is any proposals to table  
14 the decision?

15 CHIEF COUNSEL MONAHAN CUMMINGS: Sure.

16 COMMITTEE MEMBER LOOMIS: Is there a proposal to  
17 table the decision?

18 DR. MARDER: You have Dr. Bush --

19 COMMITTEE MEMBER ZHANG: Yes.

20 DR. MARDER: -- with his hand raised.

21 COMMITTEE MEMBER BUSH: Thank you. I'm not  
22 proposing that. I wanted to know is abstention an option  
23 for us?

24 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah, you can --  
25 this is Carol again. You can always abstain, if you're --

1 if you're not comfortable saying yes or no. It has the --  
2 essentially the effect of a no answer however.

3 COMMITTEE MEMBER BUSH: Thank you.

4 CHIEF COUNSEL MONAHAN CUMMINGS: Um-hmm.

5 COMMITTEE MEMBER LOOMIS: All right. I don't  
6 hear any other proposal to table.

7 So let us proceed to the vote. The question for  
8 decision is this -- has perfluorooctane sulfonic acid,  
9 PFOS, and its salts and transformation and degradation  
10 precursors been clearly shown through scientifically valid  
11 testing, according to generally accepted principles, to  
12 cause cancer?

13 So on that question you can vote yes, no, or  
14 abstain. And I'll go through and call for your votes in  
15 alphabetical order.

16 Dr. Bush?

17 COMMITTEE MEMBER BUSH: Abstain.

18 COMMITTEE MEMBER LOOMIS: Dr. Crespi?

19 COMMITTEE MEMBER CRESPI: No.

20 COMMITTEE MEMBER LOOMIS: Dr. Eastmond?

21 COMMITTEE MEMBER EASTMOND: Yes.

22 COMMITTEE MEMBER LOOMIS: Dr. La Merrill?

23 COMMITTEE MEMBER LA MERRILL: Yes.

24 COMMITTEE MEMBER LOOMIS: Dr. Landolph?

25 COMMITTEE MEMBER LANDOLPH: Yes.



1 COMMITTEE MEMBER LOOMIS: Dr. Loomis votes yes.  
2 Dr. Mack?

3 We can't hear you.

4 CHAIRPERSON MACK: Yes.

5 COMMITTEE MEMBER LOOMIS: Dr. Mack votes yes.  
6 Dr. McDonald?

7 COMMITTEE MEMBER McDONALD: No.

8 COMMITTEE MEMBER LOOMIS: Dr. Reynolds?

9 COMMITTEE MEMBER REYNOLDS: Yes.

10 COMMITTEE MEMBER LOOMIS: Dr. Stern?

11 COMMITTEE MEMBER STERN: Yes.

12 COMMITTEE MEMBER LOOMIS: Dr. Zhang?

13 COMMITTEE MEMBER ZHANG: Yes.

14 COMMITTEE MEMBER LOOMIS: Very good.

15 So I count one, two, three, four, five, six,  
16 seven, eight votes to list, two votes against listing, and  
17 one abstention. So that accounts for a majority vote in  
18 favor of listing PFOS.

19 So with that done, we'll turn to the next part of  
20 agenda -- the agenda, which is a consent item updating the  
21 California Code of Regulations, Title 27, Section 27  
22 triple zero, list of chemicals which have not been  
23 adequately tested as required. This is essentially a  
24 ministerial item, meaning that the Committee has asked to  
25 affirm changes in response to submissions from the

1 Department of Pesticide Regulation and the EPA.

2           So I'll ask Julian Leichty now to present this  
3 item.

4           MR. LEICHTY: Thank you, Dr. --

5           CHIEF COUNSEL MONAHAN CUMMINGS: I'm sorry,  
6 Julian.

7           MR. LEICHTY: Oh.

8           CHIEF COUNSEL MONAHAN CUMMINGS: If you could  
9 just hold for a second. Dr. Loomis, when you -- when you  
10 ask for the vote and when you summarized it, you only said  
11 PFOS, and I'm just wondering whether or not you meant to  
12 include the whole group or that -- just that one --

13           COMMITTEE MEMBER LOOMIS: Well, I meant to -- I  
14 meant to include the whole group, because that was the  
15 question.

16           CHIEF COUNSEL MONAHAN CUMMINGS: Okay.

17           COMMITTEE MEMBER LOOMIS: So it was PFOS, its  
18 salts, transformation, and degradation precursors. I  
19 think we can't vote on anything else, right, because  
20 that's the question in front of us.

21           CHIEF COUNSEL MONAHAN CUMMINGS: Well, you  
22 could -- you could split them out. I mean, you've done  
23 that before when there was a group, but I just wanted to  
24 clarify that for the record, that that's what you  
25 intended.

1 COMMITTEE MEMBER LOOMIS: Well, I read the  
2 question as it was -- as it was put in front of us --

3 CHIEF COUNSEL MONAHAN CUMMINGS: Right.

4 COMMITTEE MEMBER LOOMIS: -- so presumably that  
5 is what the Committee understood, that they were voting on  
6 that entire group of chemicals, named in the question.

7 CHIEF COUNSEL MONAHAN CUMMINGS: Right.

8 (Nodding heads.)

9 COMMITTEE MEMBER LOOMIS: I see heads nodding.

10 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. Thank  
11 you.

12 **UPDATE OF THE CALIFORNIA CODE OF REGULATIONS TITLE 27**

13 **SECTION 27000 LIST OF CHEMICALS WHICH HAVE NOT**

14 **BEEN ADEQUATELY TESTED AS REQUIRED**

15 COMMITTEE MEMBER LOOMIS: Okay. So I think  
16 that's what we've done. So if we're agreed on that and no  
17 one wants to revisit the vote, let's proceed with the  
18 staff presentation on the consent item.

19 (Thereupon a slide presentation.)

20 MR. LEICHTY: All right. Thank you, Dr. Loomis.

21 So this slide indicates the proposed change based  
22 on information received from the California Department of  
23 Pesticide Regulation. The removal of triethylene glycol  
24 detailed in the staff report provided to the Committee. I  
25 will now turn this back to Dr. Loomis.

1 DR. MARDER: Dr. Loomis you are muted. I believe  
2 you were reading the questions, but you were muted.

3 COMMITTEE MEMBER LOOMIS: Okay. Sorry about  
4 that. So thanking Julian for that very quick but  
5 informative presentation. Again, this is a consent item,  
6 but it does require a formal vote on the following  
7 question. But before we go to that question, would any  
8 member of the Committee like to comment or ask a question  
9 about it?

10 Okay. Hearing and seeing nothing.

11 The question that requires a vote then is should  
12 Section 27000 of Title 27, California Code of Regulations  
13 be amended as indicated in the staff report?

14 So again I'll call your names in Alphabetical  
15 order and ask you to vote yes, no, or abstain.

16 Dr. Bush?

17 COMMITTEE MEMBER BUSH: Yes.

18 COMMITTEE MEMBER LOOMIS: Dr. Crespi?

19 COMMITTEE MEMBER CRESPI: Yes.

20 COMMITTEE MEMBER LOOMIS: Dr. Eastmond?

21 COMMITTEE MEMBER EASTMOND: Yes.

22 COMMITTEE MEMBER LOOMIS: Dr. La Merrill?

23 COMMITTEE MEMBER LA MERRILL: Yes.

24 COMMITTEE MEMBER LOOMIS: Dr. Landolph?

25 Dr. Landolph, if you're voting, we can't hear

1 you.

2 COMMITTEE MEMBER LANDOLPH: Did you hear? Okay.

3 Yes. Sorry.

4 COMMITTEE MEMBER LOOMIS: Thanks. Got you.

5 Dr. Loomis votes yes.

6 Dr. Mack?

7 CHAIRPERSON MACK: Yes

8 COMMITTEE MEMBER LOOMIS: Could you repeat that.

9 We couldn't hear you.

10 DR. MARDER: You're unmuted. We just -- it was a  
11 little garbled, Dr. Mack. Just repeat.

12 COMMITTEE MEMBER LOOMIS: Sorry, Dr. Mack. Can  
13 you say it again? We just couldn't understand it. If  
14 you're having difficulty, would it be okay for you to type  
15 it into the chat?

16 CHAIRPERSON MACK: Yes. I'm sorry.

17 COMMITTEE MEMBER LOOMIS: We heard you that time.

18 (Laughter.)

19 DR. MARDER: Thank you.

20 COMMITTEE MEMBER LOOMIS: Thank you.

21 Dr. McDonald?

22 COMMITTEE MEMBER McDONALD: Yes.

23 COMMITTEE MEMBER LOOMIS: Dr. Reynolds?

24 COMMITTEE MEMBER REYNOLDS: Yes.

25 COMMITTEE MEMBER LOOMIS: Dr. Stern?

1 COMMITTEE MEMBER STERN: Yes.

2 COMMITTEE MEMBER LOOMIS: Dr. Zhang?

3 COMMITTEE MEMBER ZHANG: Yes.

4 COMMITTEE MEMBER LOOMIS: Okay. The vote is  
5 unanimous, so the change is affirmed.

6 **STAFF UPDATES**

7 **CHEMICAL LISTINGS VIA THE ADMINISTRATIVE LISTING**

8 **MECHANISMS AND SAFE HARBOR LEVELS**

9 COMMITTEE MEMBER LOOMIS: And now we'll move on  
10 to the next item on the agenda, staff updates. We'll have  
11 updates on Proposition 65 listings, regulations, and  
12 litigation since the last meeting. So Julian Leichty  
13 again has the first presentation on listings and safe  
14 harbor levels. Please go ahead, Julian.

15 MR. LEICHTY: Thanks, Dr. Loomis. So since the  
16 Committee's last meeting, we have administratively added a  
17 reproductive toxicity endpoint, developmental toxicity to  
18 the listing of bisphenol A. And we've added two chemicals  
19 to the Proposition 65 list as causing cancer. These  
20 chemicals are molybdenum trioxide and indium tin oxide.

21 Next slide, please.

22 NEXT SLIDE

23 MR. LEICHTY: I'll now move to the chemicals  
24 currently under consideration for administrative listing,  
25 which are perfluorooctanoic acid (PFOA), tetrahydrofuran,

1 2-ethylhexyl acrylate, methyl acrylate, and  
2 trimethylolpropane triacrylate, technical grade.

3 Next slide, please.

4 NEXT SLIDE

5 MR. LEICHTY: Turning to safe harbor levels.  
6 Since last meeting, four safe harbor levels have been  
7 adopted in regulation. No significant risk levels were  
8 adopt for p-Chloro-alpha,alpha,alpha-trifluorotoluene,  
9 Dibromoacetic acid, dichloroacetic acid, trichloroacetic  
10 acid.

11 Next slide, please.

12 NEXT SLIDE

13 MR. LEICHTY: We have lastly proposed safe for  
14 level -- a safe harbor level for one chemical,  
15 1,3-dichloropropene for the inhalation and oral routes.

16 And I'll now turn things to Carol.

17 **OTHER REGULATIONS AND LITIGATION**

18 COMMITTEE MEMBER LOOMIS: All right. Carol,  
19 please go ahead.

20 NEXT SLIDE

21 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. Good  
22 afternoon again. For our other regulatory actions besides  
23 the safe harbor levels, we've been primarily working on  
24 safe harbor warnings for various chemicals, but we've also  
25 done a couple of other things. As you may recall, last

1 meeting, we were in the process of wrapping up some  
2 changes to the warnings for alcoholic beverages. It  
3 wasn't the content of the warning, it was the way to  
4 provide the warning that took into account that companies  
5 are now selling alcohol over the internet and through  
6 apps. So that became effective April 1st of 2021.

7           We also have two regulations that are being  
8 considered for approval by the Office of Administrative  
9 Law. One is a regulation that would establish  
10 concentration levels for certain foods that are cooked or  
11 heat processed. And the first set of concentrations would  
12 be for acrylamide in those foods. And as I said, it's  
13 under review for -- hopefully for approval and filing.

14           We also have at the Office of Administrative Law  
15 what we call tailored warnings for cannabis and THC  
16 products. There's four different versions of the warning  
17 depending on the type of -- mostly the route of exposure  
18 whether it's smoking, edibles, topical, that sort of  
19 thing. So we've adopted warnings and methods for  
20 providing warnings for those two chemicals.

21           We are in the process of modifying our safe  
22 harbor warnings that we call short forms. We have longer  
23 warnings, then we have this short form. And we determined  
24 that the short-form warnings needed some modifications,  
25 including restricting the use of them to small products or





1 State, because we are not, as OEHHA, part of these two  
2 case. But certainly Prop 65, given that we implement it,  
3 we have an interest in both of them.

4 As you'll see on the slide here, the -- this  
5 litigation is about providing warnings for glyphosate  
6 exposures and acrylamide exposures from food. And this --  
7 these cases were part of the impetus for us to propose the  
8 specific warning language that I mentioned on the prior  
9 slide

10 The arguments in the cases are that providing a  
11 warning for these chemicals under Prop 65 would violate  
12 the company's First Amendment right against compelled  
13 commercial speech. Both of them, or at least parts of  
14 them, are in court -- of the Ninth Circuit Court of  
15 Appeal, other parts are still in the trial courts.

16 We have the very long running Council for  
17 Education and Research on Toxics versus Starbucks case,  
18 which, as you may recall, has been on our list for some  
19 time. It was -- it's been about 10 years in litigation.  
20 It was recently decided by the trial court and is now on  
21 appeal in the California court -- courts of appeal. And  
22 it has to do with whether warnings are needed for coffee.

23 As you may recall, we adopted a regulation last  
24 year, or maybe it was 2019, that determined that a warning  
25 is not required for coffee, even though there's acrylamide

1 and other chemicals in coffee.

2 But that's still -- that case is on appeal. We  
3 have this case -- the Physicians Committee for Responsible  
4 Medicine I think was already on the list last time. And  
5 that is a request by this group for us to list processed  
6 meats as carcinogens under Prop 65, which we have declined  
7 to do. And we are in early stages of that case. We're  
8 negotiating discovery requests from PCRM.

9 And lastly, we did resolve another long-running  
10 case of the American Chemistry Council versus OEHHA, which  
11 had to do with the early listing of BPA as a development  
12 toxicant. And after the trial court and court of appeal  
13 upheld that listing, the -- I believe that the -- it was  
14 the State Supreme Court declined to hear the case, and so  
15 now it's final, and we did relist bisphenol A for  
16 developmental effects.

17 That's all I have, unless you have questions.

18 COMMITTEE MEMBER LOOMIS: Thank you, Carol. Are  
19 there any questions?

20 DR. MARDER: Dr. Eastmond has his hand raised,  
21 Dr. Loomis.

22 COMMITTEE MEMBER EASTMOND: Yes, I have a  
23 question. Carol, thanks for that overview.

24 CHIEF COUNSEL MONAHAN CUMMINGS: Uh-huh.

25 COMMITTEE MEMBER EASTMOND: Over the years, we've

1 been asked to hang on to paperwork that we had related to  
2 some of these cases.

3 CHIEF COUNSEL MONAHAN CUMMINGS: Um-hmm.

4 COMMITTEE MEMBER EASTMOND: And I have forgotten  
5 which ones we're suppose to have. Could you send us out  
6 an email reminding us, which --

7 CHIEF COUNSEL MONAHAN CUMMINGS: We will.

8 COMMITTEE MEMBER EASTMOND: -- materials, we were  
9 supposed to be hanging on to. I mean, it's been years and  
10 years.

11 CHIEF COUNSEL MONAHAN CUMMINGS: Sure.

12 COMMITTEE MEMBER EASTMOND: So I never can quite  
13 keep track of it. Thanks.

14 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. Yeah, I  
15 don't think you have very many, but we'll send you a list.

16 COMMITTEE MEMBER EASTMOND: Thanks.

17 CHIEF COUNSEL MONAHAN CUMMINGS: Um-hmm.

18 COMMITTEE MEMBER LOOMIS: Any other questions?  
19 Okay. I can't see everyone on this screen.

20 DR. MARDER: No more questions or at least no  
21 more hands raised indicating questions.

22 **SUMMARY OF COMMITTEE ACTIONS**

23 COMMITTEE MEMBER LOOMIS: Right. Okay. If there  
24 are none then, we will move to the very last item on the  
25 agenda. And with that, I'll go back to Director Lauren

1 Zeise to summarize what we've done today.

2 DIRECTOR ZEISE: Okay. Good afternoon.

3 So the Committee voted to add perfluorooctane  
4 sulfonic acid (PFOS) and its salts and transformation and  
5 degradation precursors to the Proposition 65 list. The  
6 vote was eight yes, two no, and one abstain. So the  
7 chemicals will be added to the Proposition 65 list as  
8 known to cause cancer.

9 Then the second item was the consent item,  
10 section 2700[SIC]. And it was amended per as indicated in  
11 the staff report and the vote was unanimous.

12 I guess I'd like to close by just thanking the  
13 audience, the public, for their participation in the  
14 meeting and preparing with us their views. And also we  
15 really do appreciate the written public comments we  
16 receive. It really helps with the whole body of evidence  
17 and helps the Committee to consider it. So thank you very  
18 much for all of that input.

19 Then I'd like to thank the Committee for  
20 participating in the meeting today. Understand the amount  
21 of time it takes, taking time out of your very, very busy  
22 schedule, so we really very much appreciate it and all the  
23 preparation that goes into these meetings. Thank you.

24 And thank you, Dr. Loomis for chairing the  
25 meeting today. We appreciate that. And then I'd really

1 like to thank the staff for all the effort to put the  
2 document and this meeting together, and it was really  
3 gratifying to hear the comments on our hazard  
4 identification documents. So thank you, staff, for all of  
5 that effort and for all that went into this meeting.

6 And with that, I'd like to wish you all a good,  
7 and healthy, and Happy Holiday, and looking forward to a  
8 very good 2022. And we will be seeing you in the next  
9 year. And with that, I'll turn it back over to you, Dana.

10 COMMITTEE MEMBER LOOMIS: Thank you, Lauren.

11 Well, I would just like to close by echoing all  
12 those comments. Thanks to the members of the public who  
13 took the time and effort to read the documents, and  
14 comment, and to listen into the meeting. Thanks to the  
15 Committee members for all the work taken to review and  
16 work through the evidence. Really a very impressive job.  
17 And thanks especially to all of the OEHHA staff for  
18 compiling these materials. It really was a heroic effort.  
19 A lot of information on this particular substance that was  
20 not at all easy to sort through. So thanks for all of  
21 that.

22 And in order to wish all of you a happy and  
23 healthy holiday, it's my pleasure to declare this meeting  
24 adjourned.

25 //

(Thereupon the Carcinogen Identification  
Committee adjourned.)

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

