

VIDEOCONFERENCE MEETING
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
CARCINOGEN IDENTIFICATION COMMITTEE

ZOOM PLATFORM

TUESDAY, JUNE 13, 2023

10:00 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

COMMITTEE MEMBERS:

Dana Loomis, PhD, Chairperson

Ahmad Besaratinia, PhD, MPH

Jason Bush, PhD

David A. Eastmond, PhD

Joseph Landolph, PhD

Thomas McDonald, PhD, MPH

STAFF:

Lauren Zeise, PhD, Director

Vince Cogliano, PhD, Deputy Director, Division of
Scientific Programs

Amy Gilson, PhD, Deputy Director, External and Legislative
Affairs

Carolyn Nelson Rowan, Chief Counsel

Esther Barajas-Ochoa, Proposition 65 Implementation
Program

Jennifer Hsieh, PhD, MS, DABT, Staff Toxicologist, Cancer
Toxicology and Epidemiology Section, Reproductive and
Cancer Hazard Assessment Branch

Martha Sandy, PhD, MPH, Chief, Reproductive and Cancer
Hazard Assessment Branch

Rose Schmitz, MS, Research Scientist III, Cancer
Toxicology and Epidemiology Section, Reproductive and
Cancer Hazard Assessment Branch

Meng Sun, PhD, MS, Chief, Cancer Toxicology and
Epidemiology Section, Reproductive and Cancer Hazard
Assessment Branch

APPEARANCES CONTINUED

STAFF:

Kiana Vaghefi, Proposition 65 Implementation Program

SPEAKERS:

Kathryn Guyton, PhD, National Academies of Sciences,
Engineering and Medicine

Ivan Rusyn, Texas A&M University

ALSO PRESENT:

Jessica Ryman-Rasmussen, PhD, American Chemistry Council

INDEX

	<u>PAGE</u>
I. Welcome and Opening Remarks	1
II. Key Characteristics of Carcinogens and Their Use in Cancer Hazard Identification	7
Presentation: Dr. Vincent Cogliano, OEHHA	8
Presentation: Dr. Kathryn Guyton, National Academy of Sciences, Engineering and Medicine	22
Q&A	40
Presentation: Dr. Ivan Rusyn, Texas A&M University	52
Q&A	80
Afternoon Session	99
Public Comments	99
Committee and Speakers Discussion	104
III. Analysis of Tumor Data from Animal Carcinogenicity Studies	116
Staff Presentation: Ms. Rose Schmitz, OEHHA	117
Q&A	124
Staff Presentation: Dr. Jennifer Hsieh, OEHHA	144
Q&A	149
Committee and Speakers Discussion	150
Public Comments	152
IV. Staff Updates	
- Chemical Listings via the Administrative Listings Mechanisms	153
- Safe Harbor Levels	154
- Other Regulations and Litigation	154
V. Summary	160
Adjournment	161
Reporter's Certificate	162

PROCEEDINGS

1
2 DIRECTOR ZEISE: Good morning, everyone and
3 welcome to this June 2023 meeting of the Proposition 65
4 Carcinogen Identification Committee. Welcome to the
5 Committee members, to our invited speakers, to staff, and
6 to the public. This meeting is being held virtually. I'm
7 Lauren Zeise. I'm Director of the Office of Environmental
8 Health Hazard Assessment, or OEHHA. OEHHA is a department
9 within the California Environmental Protection Agency and
10 is the lead agency for the assessment of the health risks
11 posed by environmental chemicals. And OEHHA is also the
12 lead agency for Proposition 65 implementation.

13 Our first agenda item for today is the key
14 characteristics of carcinogens and their use in hazard
15 identification. OEHHA has been, for several years, using
16 key characteristics approach in our hazard identification
17 work. We're looking forward to the presentations of the
18 invited speakers and to the discussions of the Committee.
19 Today's conversation will help inform future hazard
20 identification work at OEHHA. The second agenda item is
21 on the analysis of tumor data from animal carcinogenicity
22 studies. And staff will present our approach, the
23 analysis of tumor data from animal studies and we are very
24 much looking forward to the Committee discussion. For the
25 third and final agenda item, staff will present updates on

1 various Proposition 65 regulatory and other activities.
2 So for today, there won't be Proposition 65 listing
3 decisions, no decisions are before the Committee today.

4 We'll be taking a 45-minute break around lunch
5 time. And then we'll take a brief 15-minute break
6 sometime in the afternoon. This meeting is being
7 reported -- recorded and transcribed, and the transcript
8 will be posted on OEHHA's website.

9 (Thereupon a slide presentation).

10 DIRECTOR ZEISE: So now I'll say a few words
11 about how the public can comment during the meeting. So
12 there will be an opportunity to provide public comment
13 after the key characteristics agenda item and the analysis
14 of tumor data item. And individuals who wish to make an
15 oral comment at today's meeting are asked to join the Zoom
16 by webinar. Information on how you can join the Zoom is
17 shown on this slide. You go to the URL and register and
18 you'll receive a link on how to join at the end of the
19 registration process. And if you provided a working email
20 address, you'll also receive an email with a link to join
21 the webinar.

22 Those of you watching by CalEPA webcast will be
23 able to watch the meeting, but you'll need to join the
24 meeting by Zoom in order to comment. When requested by
25 the Chair, individuals may queue to provide oral comment

1 by using the raise hand function. When your name is
2 called, please unmute yourself, state your name -- state
3 your name and affiliation if you wish. You're not
4 required to state your name and affiliation, and then you
5 can you provide your comment. And if you'd like to
6 present slides during your public comment and have not
7 already sent them, please email them now to Proposition --
8 to the address shown on the slide,
9 p65public.comments@oehha.ca.gov. Public comment will be
10 limited to five minutes per commenter.

11 Okay. So now I'd like to introduce the members
12 of the Carcinogen Identification Committee. First, I just
13 want to note that Drs. Crespi, Stern, and Wang are not
14 able to join us today. But now we will introduce the
15 participating members today. As I introduce you, if you
16 could please turn on your camera, state your name and
17 affiliation. So first, Dr. Ahmad Besaratinia.

18 COMMITTEE MEMBER BESARATINIA: Good morning. I'm
19 Ahmad Besaratinia. I'm a professor at the Department of
20 Population and Public Health Sciences at the University of
21 Southern California.

22 Thank you.

23 DIRECTOR ZEISE: Thanks.

24 Dr. Jason Bush.

25 COMMITTEE MEMBER BUSH: Good morning, Lauren and

1 Panel members. Jason Bush, professor and Chair of the
2 Biology Department at California State University, Fresno.

3 DIRECTOR ZEISE: Dr. David Eastmond.

4 COMMITTEE MEMBER EASTMOND: Good morning. Nice
5 to be with you. My name is David Eastmond. And I'm a
6 professor emeritus, University of California, Riverside.

7 DIRECTOR ZEISE: Dr. Joe Landolph.

8 COMMITTEE MEMBER LANDOLPH: Hi. I'm Joe
9 Landolph, associate professor within the Departments of
10 Molecular Microbiology and Immunology and Pathology. I'm
11 also a member of the USC Norris Comprehensive Cancer
12 Center at the University of Southern California in Los
13 Angeles, California. And I study chemically-induced
14 morphological and neoplastic transformation of mammalian
15 cells.

16 DIRECTOR ZEISE: Okay. Dr. Dana Loomis.

17 Dana, you're -- you'll have to unmute.

18 CHAIRPERSON LOOMIS: It takes a long time to
19 learn Zoom apparently.

20 (Laughter).

21 CHAIRPERSON LOOMIS: Dana Loomis, Director of the
22 Plumas County California Public Health Agency.

23 DIRECTOR ZEISE: And Dr. Loomis will be serving
24 as our Acting Chair today.

25 And Dr. Tom McDonald.

1 COMMITTEE MEMBER McDONALD: Good morning,
2 everyone. Tom McDonald, Associate Research Director at
3 the Clorox Company.

4 DIRECTOR ZEISE: Okay. Thank you. So welcome
5 Committee members. We really appreciate your taking the
6 time to participate in this meeting.

7 So now, I'd like to turn to OEHHA staff and
8 introduce the staff. And similarly, I'd like to invite
9 them to turn on their cameras as I do. So Carolyn Nelson
10 Rowan, our Chief Counsel.

11 CHIEF COUNSEL NELSON ROWAN: Good morning.

12 DIRECTOR ZEISE: Vince Cog -- Dr. Vince Cogliano,
13 Deputy Director for Scientific Programs.

14 And then from the Reproductive and Cancer Hazard
15 Assessment Branch, Dr. Martha Sandy, Branch Chief.

16 DR. SANDY: Good morning.

17 DIRECTOR ZEISE: Dr. Meng Sun, Section Chief of
18 the Cancer Toxicology and Epidemiology Section.

19 DR. SUN: Good morning.

20 DIRECTOR ZEISE: And then staff of the section
21 that the Committee will be hearing from today, Dr.
22 Jennifer Hsieh, staff toxicologist.

23 DR. HSIEH: Good morning, everyone.

24 DIRECTOR ZEISE: And Ms. Rose Schmitz,
25 biostatistician.

1 MS. SCHMITZ: Good morning.

2 DIRECTOR ZEISE: And then from the Office of
3 External and Legislative Affairs and Prop 65
4 Implementation Program, Dr. Amy Gilson, Deputy Director
5 for External and Legislative Affairs.

6 DR. GILSON: Hello.

7 DIRECTOR ZEISE: Kiana Vaghefi.

8 MS. VAGHEFI: Yes, hello.

9 DIRECTOR ZEISE: Good morning. And Esther
10 Barajas-Ochoa --

11 MS. BARAJAS-OCHOA: Good morning.

12 DIRECTOR ZEISE: -- analyst Prop 65
13 Implementation Program.

14 And I should note Kiana is our new Environmental
15 Scientist in the Proposition 65 Implementation Program and
16 this is her first meeting.

17 Okay. Now, I'd like to turn it over to Carolyn
18 Rowan for some introductory remarks about Bagley-Keene or
19 other legal issues related to participation in the virtual
20 meeting of this Committee.

21 Carolyn.

22 CHIEF COUNSEL NELSON ROWAN: Good morning.
23 Thanks, Lauren. I just have a few points to make before
24 we get underway today. First, a reminder that the
25 Bagley-Keene Act applies to this meeting. That means that

1 all deliberations for the group should be conducted during
2 the meeting and not on breaks, or at lunch, or offline.
3 Please feel free to ask me or any OEHHA staff clarifying
4 questions during the meeting. If we don't know the
5 answer, we'll do our best to find out for you and report
6 back.

7 And I'll be here the whole time. If I do have to
8 step away for any reason, Senior staff Counsel Kristi
9 Morioka will cover for me. So there will always be an
10 attorney here if you have any legal questions.

11 And with that, does anyone have any questions at
12 this point?

13 Okay. Great. I'll pass it back to Lauren.
14 Thank you.

15 DIRECTOR ZEISE: Okay. Thanks, Carolyn.

16 And with that, I'll turn the meeting over to Dr.
17 Loomis the Acting Chair for the meeting today.

18 CHAIRPERSON LOOMIS: Okay. Thank you very much.
19 Again, I'd like to thank Lauren and Carolyn for their
20 remarks and welcome everybody, Committee members, members
21 of the public who are joining, and the invited speaker --
22 speakers.

23 So with that, we're now ready to move on to the
24 first agenda item on key characteristics of carcinogens
25 and their use in cancer hazard identification. So to get

1 that started, I'd like to turn the floor over to Dr.
2 Cogliano from OEHHA, Deputy Director of Scientific
3 Programs.

4 (Thereupon a slide presentation).

5 DR. COGLIANO: Thank you very much, Dana. I'd
6 like to add my welcome to the Committee and to thank you
7 all for attending this morning and also for allowing me to
8 speak about the key carcinogens.

9 Can people see my screen? I think so.

10 Yes. Okay.

11 So let me get the slide show. So the key
12 characteristics, I want to -- the message I want to give
13 you is that the key characteristics of carcinogens are
14 based on a lot of research that's been done over many
15 years.

16 --o0o--

17 DR. COGLIANO: In the past, most cancer
18 evaluations depended on studies of cancer in humans and
19 cancer in laboratory animals.

20 --o0o--

21 DR. COGLIANO: But one of the issues is that
22 laboratory animal studies are becoming less and less
23 common. This graph shows the number of NTP technical
24 reports by each five-year period. And you can see there's
25 been a steady decline over the last several decades --

1 --o0o--

2 DR. COGLIANO: -- and at the same time more types
3 of data becoming available. Human studies now are more
4 often looking at molecular markers, genetic epidemiology.
5 We have genome-wide association studies. Animal studies
6 including other animals like zebrafish, a lot of cell
7 culture studies, and we're getting to be -- getting high
8 through-put screening and robotics to speed up testing of
9 in vitro.

10 --o0o--

11 DR. COGLIANO: About 15 years ago, IARC
12 recognized the changing dynamics of -- the changing
13 dynamics of the type of data that we were getting. And we
14 set out to bridge the old and the new to look at the data
15 on humans, and cancer in animals, and try to bridge that
16 with what we knew at the time about mechanisms of
17 carcinogenesis.

18 --o0o--

19 DR. COGLIANO: What were the IARC monographs?
20 Many of you know and have been there. But it's a
21 worldwide endeavor that since 1971 has involved over 1,200
22 scientists from 53 countries. A lot of people have gone
23 into assessing cancer hazards, and writing them up, and
24 getting a good summary of the human, the animal, and the
25 mechanistic data.

1 --o0o--

2 DR. COGLIANO: So in the late 2000s, IARC saw
3 vol -- was coming up upon volume 100. And IARC saw this
4 as a milestone, a milestone volume because it was numbered
5 100, but also it was an opportunity to formulate a very
6 meaningful topic. And so what IARC chose to do was to
7 review all the human carcinogens that have been identified
8 to date, while developing new information that could be
9 pertinent to questions in cancer research and in risk
10 assessment. So volume 100 reevaluated more than 100 human
11 carcinogens that had been identified in volumes 1 through
12 99. And these include chemical agents, biological agents,
13 physical agents, mixtures, and related occupations. So it
14 was a very rich data set to be looking at the
15 correspondence between human, animal, and mechanistic
16 information.

17 --o0o--

18 DR. COGLIANO: The IARC review of human
19 carcinogens in volume 100 addressed some overarching
20 questions. First, what have we learned about tumor sites
21 in humans and animals over many years of doing evaluations
22 and what have we learned about the mechanisms of known
23 human carcinogens?

24 So to address these questions, volume 100
25 compiled information that had not been looked at in

1 previous monographs. Volume 100 identified specific tumor
2 sites that had sufficient evidence in humans or sufficient
3 evidence in animals. And they also asked the experts at
4 the meetings to identify established and likely
5 mechanistic events. The sufficient evidence of tumor
6 sites in humans has already been used a lot in cancer
7 research. When people do case control studies or other
8 studies about a particular cancer site, they often cite
9 now the IARC monographs and saying what are the known
10 agents that do cause that particular kind of cancer? So
11 that was a really good piece of information that you have
12 cancer researchers, but we also tried to answer risk
13 assessment questions.

14 --o0o--

15 DR. COGLIANO: So after the volume 100 monographs
16 were completed, scientists at IARC checked all of those
17 monographs and put them into final form. And then in
18 2012, IARC convened another working group to address some
19 overarching questions. So the findings of volume 100 were
20 built into IARC's Scientific Publication 165, which
21 synthesized the results of volume 100, which in turn built
22 on volumes 1 through 99.

23 --o0o--

24 DR. COGLIANO: So the scientific publication on
25 *Tumour Site Concordance and Mechanisms of Carcinogenesis*.

1 There are two main parts of this scientific publication.
2 One was tumor site concordance, and we were trying to
3 understand the correspondence across animal species, which
4 tumor in animals had a good predictive value for tumors in
5 humans and which ones don't. And we could also look at
6 that to determine whether we have a good animal model for
7 certain kinds of human tumors.

8 The second part, which is more relevant to the
9 key characteristics today is on mechanisms of human
10 carcinogens. And we tried to understand how carcinogens
11 act. We explored some issues of susceptibility. We
12 identified biomarkers that might be useful for further
13 research or for preventive monitoring. The question we're
14 basically asking though was how can we identify
15 carcinogens without testing for tumors? This was being
16 mindful that the number of animal studies was declining
17 and we don't want to wait 30 years for humans to be
18 exposed to determine -- that detect cancers in
19 epidemiological studies. We would like to be able to
20 detect markers that are predictive of carcinogenesis in
21 humans and we would like to have other test methods, other
22 than waiting for bioassays to determine whether a chemical
23 might cause cancer.

24 --o0o--

25 DR. COGLIANO: So in IARC volume 100, the

1 monographs of the more than 100 human carcinogens, many
2 mechanisms were identified for different agents. And this
3 is a list. You probably recognize a lot of them. Maybe
4 you've even studied these. But what IARC's scientific
5 publication 165 did was grouped these mechanisms into 10
6 key characteristics that you're probably familiar with.
7 And this is a list of those 10 key characteristics. There
8 are two publications where you can find them. First is
9 IARC Scientific Publication 165 and the other is a
10 publication by Dr. Martyn Smith and many collaborators, in
11 Environmental Health Perspectives.

12 --o0o--

13 DR. COGLIANO: So what are key characteristics?
14 Firstly and briefly, they are properties that are shown by
15 carcinogenic agents. They may be considered analogous to
16 the properties of tumors or of cancer cells, which are
17 known as the hallmarks of cancer. But these are not
18 properties of tumors, they are properties of can -- of
19 agents that cause cancer. They're based on the 100 or
20 plus human carcinogens that were known. So we're not
21 putting data into this -- these key characteristics that
22 are on possible animal carcinogens that are maybe
23 suspected. These are really known human carcinogens that
24 have been identified over the years.

25 The key characteristics can encompass many kinds

1 of mechanistic endpoints. You saw that large list a
2 couple slides ago. And they're being used by several
3 agencies and -- as an approach to identify, to organize,
4 and to summarize results from mechanistic studies.

5 We believe they can introduce objectivity to an
6 analysis, because the key characteristics are not limited
7 to looking only at hypotheses by our expert reviews. We
8 look at all the mechanistic data. We classify it into
9 different key characteristics, and then we can look at
10 what key characteristics have information and start to
11 think about, well, what does this mean for how this agent
12 might cause cancer? So in this way, the key
13 characteristics are meant to promote structured
14 evaluations of the strength of mechanistic evidence.

15 --o0o--

16 DR. COGLIANO: IARC Scientific Publication 165
17 also did several analyses of the key characteristics. The
18 method of doing this was to first group similar agents to
19 list 86 distinct agents for analysis. For example,
20 phenacetin, the pharmaceutical is listed as a chemical as
21 causing cancer, but also analgesic mixtures that contain
22 phenacetin are also listed as a Group 1 agent. So those
23 are grouped into one agent for the purpose of this
24 analysis, so we wouldn't be double counting.

25 Other agents that were grouped were, for example,

1 the alpha emitters or the beta emitters of ionizing
2 radiation, so that we weren't double counting certain
3 agents that were clearly acting through the same
4 mechanisms.

5 We mapped the mechanistic endpoints for each of
6 these 86 distinct agents into the 10 key characteristics.
7 And as we did this, we classified the source of the key
8 characteristic data as human in vivo, in vitro, or animal
9 in vivo, or animal in vitro, but we could also look at
10 whether key characteristic data were coming from humans,
11 from animals, how much in vivo and how much in vitro by
12 each key characteristic. The reference you can find for
13 these analyses are again in Scientific Publication 165 and
14 you see the links there, or a group of papers published by
15 Dan Krewski and some collaborators in the Journal of
16 Toxicology and Environmental Health.

17 --o0o--

18 DR. COGLIANO: So some of the findings from these
19 analyses. Concordance was also a -- was often observed
20 between human and animal sources. That -- that is we
21 might see a lot of animal data that showed that an agent
22 had a particularly key characteristic. But also, we often
23 did have human data that very -- that matched that. Most
24 carcinogens appear to act through multiple mechanisms as
25 evidenced by the key characteristics that they showed.

1 And the observed patterns that we may see caveats may not
2 be representative of future analyses.

3 Several reasons for that were given. One is that
4 carcinogens identified many years ago from the 1970s,
5 1980s might not be representative of the newly identified
6 carcinogens that are emerging today. The initial
7 carcinogens that were looked at in the first 10 volumes of
8 IARC monographs were a lot of clearly carcinogenic
9 occupations, where there was a lot of cancer observed in
10 the workplace, or some very prominent carcinogens, you
11 know, like benzene, like hexavalent chromium, like
12 asbestos. And they were almost all genotoxic at that
13 time.

14 And today, we're finding other carcinogens that
15 might not be operating through a genotoxic mechanism. So
16 some of the graphs you're going to see of what we look at
17 with the key characteristics of the first 100 carcinogens
18 may not necessarily be representative of what we see
19 today.

20 Also, interest in further testing had waned for
21 some of the agents, particularly some of the old
22 chemotherapeutic agents that are no longer heavily used.
23 And also, there was a lot of new toxicity tests that are
24 being -- continuing to be developed. So the types of
25 information we'll have for key characteristics in the

1 future will continue to evolve as testing methods evolve.

2 --o0o--

3 DR. COGLIANO: So one of the things we did for
4 the analysis in Volume 1 -- in IARC's Scientific
5 Publication 165 was to make a database of agents in KC,
6 key characteristics. Now, I don't expect anybody to be
7 able to read all of this, but across all the columns are
8 the 86 agents that were analyzed. And down the rows are
9 the 10 key characteristics. And what you see is a bit of
10 a heat map. The reds are where we have human in vivo and
11 in vitro and animal in vivo and in vitro data for those
12 particular -- each carcinogen and key characteristic. The
13 orange, the yellow, and the green show where we have just
14 three, two, or one of those four sources, and then there's
15 white spaces where we did not have information on the key
16 characteristics defined.

17 You'll see the red bar across the second key
18 characteristic. That's genotoxicity. That's by far the
19 key characteristic that's been the most studied. And
20 particularly for the early carcinogens, which most likely
21 were genotoxic.

22 --o0o--

23 DR. COGLIANO: We looked at the source of key
24 characteristic data. So across the bottom you see the 10
25 key characteristics. And on the right you see a legend

1 that you have human data in vivo and in vitro, and animal
2 data in vivo and in vitro. And what you actually see is
3 that for each key characteristic, there is roughly the
4 same amount of data from each of those four sources. A
5 lot more data for key characteristic 2. A lot more agents
6 had data on key characteristic 2, which is genotoxicity.
7 Fewer agents had data on key characteristic 8, receptor
8 binding, or some of the other key characteristics.
9 Sometimes you do see like key characteristic 9 very little
10 human data on immortalization or in vivo data on
11 immortalization. It's mostly in vitro.

12 But for something like genotoxicity, key
13 characteristic 2, you see roughly the same amount of in
14 vivo and in vitro data, agents with in vivo and in vitro
15 data, and agents with human and with animal data, which
16 means that genotoxicity was really very well covered in
17 these agents, and some of the other agents were -- had
18 data in, you know, generally 25 percent or fewer of the
19 agents.

20 --o0o--

21 DR. COGLIANO: We were able to look at a count of
22 agents exhibiting each key characteristic, so you see the
23 key characteristics along the bottom. Eighty-five out of
24 86 agents, almost 100 percent, did show evidence of
25 genotoxicity. But for the others, it was generally around

1 50 percent, and some of them were a bit lower than that,
2 fewer agents showing those key characteristics.

3 --o0o--

4 DR. COGLIANO: Another one is the count of key
5 characteristics exhibited by an agent. Sometimes you hear
6 people doing -- make a comment that, well, this doesn't
7 hit all of the key characteristics. Well, that's not
8 really necessary. An agent does not have to act through
9 every single one of these key characteristics or every
10 type possible mechanism. This is a way to organize them
11 to see which ones act through each kind of key
12 characteristic or each type of family of mechanisms, and,
13 you know, help with the analysis.

14 You can see that the agents so far have exhibited
15 from 1 to 9 key characteristics. We haven't seen --
16 hadn't seen an agent used all -- exhibited all 10 key
17 characteristics.

18 Now, let's look at the ones that showed only one
19 key characteristic.

20 --o0o--

21 DR. COGLIANO: There were seven agents. And you
22 see them listed on the left, three of them are
23 pharmaceuticals, three of them are occupational
24 carcinogens, and one is a set of mixtures of mildly and
25 lightly treated mineral oils. Each one of these seven

1 agents though has sufficient evidence in humans, which
2 means they are really carcinogens. They're not any kind
3 of a weaker carcinogen, because they exhibit only one key
4 characteristic. They have sufficient evidence in humans.
5 They've been classified for a long time as human
6 carcinogens. I guess because people might be curious, the
7 agents on the right with eight or nine key characteristics
8 are DES, trichloroethylene, and diesel engine exhaust.
9 Again, there is sufficient human evidence for all of those
10 as well. So key characteristics can exhibit from one to a
11 large number of key characteristics. Agents can exhibit
12 the large -- from one to a large number of key
13 characteristics. But these are all human carcinogens.

14 --o0o--

15 DR. COGLIANO: Key characteristics have been
16 developed for other health outcomes. So the carcinogens
17 were the first. The publication is Dr. Martyn Smith,
18 2016, in Environmental Health Perspectives. But since
19 then, they've been developed for male and female
20 reproductive toxicants, endocrine disruptors, liver
21 toxicants, cardiovascular toxicants, and immunotoxic
22 agents. And you see the publications for those in various
23 journals.

24 --o0o--

25 DR. COGLIANO: So in summary, what I'd like to

1 leave you with was -- is that the key characteristics for
2 carcinogens have been developed using a lot of data from
3 the last 40 years. First, they started with the first 99
4 elements of -- 99 volumes of IARC monographs. Those went
5 into volume 100 of the IARC monographs and IARC Scientific
6 Publication 165, which developed the 10 key
7 characteristics that we're familiar with today.

8 --o0o--

9 DR. COGLIANO: The key characteristics therefore
10 distill 40 years of scientific knowledge about the
11 mechanisms through which human carcinogens operate.
12 They've been useful in identifying, organizing, and
13 summarizing mechanistic evidence. They've been developed
14 for other health outcomes and they've been accepted and
15 used at IARC, at the NTP, and at OEHHA.

16 So with that, I'd like to conclude and thank you
17 for your interest and attention.

18 And I will stop sharing my screen and I will turn
19 the meeting back over to our Chair.

20 CHAIRPERSON LOOMIS: Thank you, Dr. Cogliano for
21 a very interesting introduction to the topic we'll be
22 discussing this morning. I wanted to see whether there
23 are any questions of clarification from the Committee? If
24 you have a question, Committee members, feel free to just
25 come on camera and speak up.

1 Okay. It appears there are no questions at this
2 stage. So we'll move on to the next part of the agenda.
3 I'm pleased to introduce our next invited speaker, Dr.
4 Kathryn Guyton. She is currently Senior Program Officer
5 at the National Academies of Science, Engineering, and
6 Medicine. And before joining the National Academies, she
7 was Senior Toxicologist at IARC for seven years during
8 which that time it was my pleasure to work closely with
9 her. While at IARC, she was quite intimately involved in
10 the development and deployment of the concept of key
11 characteristics of carcinogens for IARC monograph
12 evaluations and also participated in implementing the key
13 characteristics into the IARC monographs preamble. She is
14 coauthor of several major publications on the application
15 of the key characteristics.

16 So at this time, I will turn the floor over to
17 Dr. Guyton.

18 (Thereupon a slide presentation).

19 DR. GUYTON: Thank you very much for that very
20 kind introduction. And Dana, it's a great pleasure to see
21 you as well as other colleagues today. And I will -- I
22 will be discussing some of the work that some of you
23 contributed to. So I'll start by thanking everybody and
24 acknowledging the many people who contributed to the topic
25 I'm going to cover today, which is really how the key

1 characteristics of carcinogens are applied in cancer
2 hazard identification.

3 So as Dana mentioned, I am a Senior Program
4 Officer at the U.S. National Academies of Sciences,
5 Engineering, and Medicine. I will be discussing work that
6 was done while I was at IARC. Most of it has been
7 published or I will be referencing the IARC monographs
8 preamble. I'm happy to provide further information and
9 references, if you'd like to read more.

10 --o0o--

11 DR. GUYTON: I'd like to just begin by saying I
12 have no financial interests related to the subject of my
13 presentation.

14 --o0o--

15 DR. GUYTON: So I think Vince gave a very
16 wonderful introduction to the IARC monographs, which he
17 knows very well. But some of you may wonder, well, how
18 does this process occur whereby carcinogens are
19 identified? And really that's all covered in the preamble
20 to the IARC monographs, which was updated in 2019.

21 --o0o--

22 DR. GUYTON: And it really comes down to three
23 distinct lines of evidence. Cancer in humans, this is
24 more the domain of people like Dr. Loomis who are
25 epidemiologists. I will only give this a glancing blow

1 today. We also have cancer in experimental animals, which
2 is the traditional - excuse me - bioassays that are
3 conducted in the lifetime. And then we have carcinogen
4 mechanisms, and that's really where I'm going to focus.

5 --o0o--

6 DR. GUYTON: So this table kind of provides the
7 grid of how these decisions and overall evaluations are
8 reached by IARC. I don't want to dwell on it, except to
9 say for the most of the Group 1 carcinogens that Vince
10 referred to, those have been identified based on
11 sufficient evidence of cancer in humans and a smaller set
12 have been identified based on this mechanistic evidence of
13 when it's strong in exposed humans.

14 --o0o--

15 DR. GUYTON: And Vince covered some of the
16 agents, but I think this gives you a sense of really over
17 the course of the -- of the history of the program how
18 many of these different agents have been -- have been
19 classified.

20 --o0o--

21 DR. GUYTON: And I'm just going to focus on these
22 126 agents that are in the highest category Group 1, to
23 give you a sense of what causes cancer. What do we know
24 are the causes?

25 So in this little picture you'll see things that

1 have a chemical structure. There are chemicals. We also
2 have occupations, fibers, metals, different types of
3 pollutions, and pollutants in air pollution, tobacco in
4 its various forms, radiation, drugs, and viruses. So it's
5 really a very diverse group of agents. And as Vince
6 mentioned, these were all reviewed in the Volume 100.
7 This is a really fantastic resource.

8 --o0o--

9 DR. GUYTON: And this is another way. I don't
10 expect you to read all of it, but this is just another
11 way to consider this is by cancer site what do we know
12 about what causes cancer, which is shown in red, and what
13 may prevent it such as quitting smoking in green.

14 --o0o--

15 DR. GUYTON: And just to kind of dive into this a
16 little bit more to remind you that what we know is very
17 disparate across cancer types. So for lung cancer, we
18 actually have a number of different agents that have been
19 identified in the environment, but mostly from
20 occupational settings. Now, breast cancer is a totally
21 different story. We have very few known identified causes
22 and I had the pleasure to publish a commentary on this in
23 2021, with Mary Schubauer-Berigan, who is the head of the
24 monographs program. And we have to recognize that
25 occupational studies tend to be limited for women's

1 cancers when the endpoint is cancer.

2 Women tend to come in and out of the workforce
3 and there's different reasons why -- why they're not the
4 subject of these long-term studies. And as a result, the
5 studies are different. They tend to be in dietary
6 settings, medical, pharmaceutical. These are really
7 different database. And I just want to remind you that in
8 2020, breast cancer became the most common cancer in the
9 world. And it's really about one in 10 of all cancers
10 diagnosed and we have a very poor understanding of the
11 causes that can inform actions to prevent things actually
12 getting worse.

13 --o0o--

14 DR. GUYTON: So the reason I highlight that is
15 these -- what's the role of mechanistic data and how can
16 this help with some of these problems that we face when
17 trying to understand what does and also what does not
18 cause cancer. That's another relevant question that's
19 kind of at the opposite end of what I'm talking about.

20 --o0o--

21 DR. GUYTON: So coming back to carcinogen
22 mechanisms, a number of years ago -- it might feel like
23 yesterday to Vince, but it was actually in 2009. And we
24 published this paper with many colleagues, some at OEHHA
25 and elsewhere, and what we noted was there's just a huge

1 gap between what we know in terms of chemicals in commerce
2 and these publicly available reviews. So IARC has done
3 more evaluations as I just showed you. IRIS may be not so
4 many more and we're adding to this -- to this database,
5 but we actually have very, very many unknowns.

6 --o0o--

7 DR. GUYTON: And I think Vince showed a different
8 way to think about this in terms of how the data is
9 shifting, but this really raises some key questions and
10 challenges. So first of all, if there is no assessment,
11 does it mean there's no hazard? And how -- amongst these
12 many, many things that have never been evaluated, how
13 would we select and prioritize them? And at the time that
14 we wrote this article, the existing mechanistic
15 approaches, I apologize, were really asking a different
16 question. They were asking is the data relevant to humans
17 and does it support a non-linear dose response? And there
18 were no examples where mechanistic data could answer the
19 question does this substance cause cancer? And that was
20 really -- that's the focus of the IARC monographs program
21 and that was really what we were interested to do.

22 --o0o--

23 DR. GUYTON: And through this volume 100
24 exercise, it was clear that there was no method to search
25 systematically for mechanisms. And that led to a lot of

1 lack of uniformity across assessments for different agents
2 by different groups of people.

3 Additionally, it's a huge database and growing in
4 complexity. And how can this be done efficiently? And
5 how -- with all these top three challenges, how do you
6 actually avoid bias? This is one of the main things you
7 want to avoid in any kind of systematic review.

8 --o0o--

9 DR. GUYTON: So coming back to our carcinogens I
10 showed you earlier, you know, the essential concept of the
11 key characteristics was could this provide new insights
12 for identifying cancer causes? So this picture, you can
13 think of things as a list, but this is more showing that
14 these things are -- they're interrelated and they're not
15 necessarily prioritized, one way or the other, depending
16 on your carcinogen of interest. And some of these things
17 are sitting near the key characteristic they may have. We
18 have these drugs, which are immunosuppressive. That's a
19 good thing. We need immunosuppressive -- immune
20 suppression at certain times, but it has a dark side and
21 that is that it can cause cancer.

22 So as you think around this circle, we have a lot
23 of knowledge, and Vince showed this, agents that are
24 genotoxic, those who are easy -- have been easier ones to
25 focus on, but obviously, this has left a lot of gaps. So

1 that was really the -- some of the concept that I had the
2 pleasure to explore with Martyn Smith and many -- many,
3 many other esteemed colleagues. And just a couple
4 references here if you want to look further.

5 --o0o--

6 DR. GUYTON: So one of the things that we thought
7 when I first got to IARC and I heard about these KCs was,
8 well, could we -- you know, being involved in reviews,
9 which are really based around a key question. If you want
10 to go into PubMed and you want to ask give me the data on
11 the thing I'm looking at, you have to phrase this in a way
12 that the -- that the database can answer. And a lot of
13 people use this as a PECO question. That stands for
14 Population, Exposure, Comparator, and Outcome. And really
15 these things are completely amenable to this type of
16 format. You can just simply ask is the agent genotoxic in
17 whatever system you want. You can design some search
18 terms and then you can organize those results across the
19 key characteristics, species, whatever your population of
20 interest is. And this is really just helping to organize
21 your database in a way that helps to structure that expert
22 judgment that goes into these decisions about what causes
23 cancer.

24 --o0o--

25 DR. GUYTON: So we published this in 2016 and

1 really this was a concept that we took forward. And after
2 we had gotten through about eight monograph meetings
3 covering a diversity of different agents, we decided,
4 well, let's kind of sit back and think upon what we've
5 learned so far as a way to inform progress. So this
6 report was really, really, really helpful. And I had the
7 pleasure to author it with the chairs of those particular
8 meetings who really had to face these decisions.

9 --o0o--

10 DR. GUYTON: So as a result of that, this led and
11 stimu -- really stimulated -- this experience really
12 stimulated the modification to the preamble. And the
13 preamble modification really brought in a little bit more
14 of a structured approach in terms of these different steps
15 that I'm showing here. Not a surprise to anybody who's
16 ever been involved in systematic review. And that
17 basically the key characteristics could be used as a seed
18 to identify, screen, and organize the information for the
19 first two steps. And then it -- the preamble provides
20 guidance on how to evaluate the studies for quality and
21 for importance.

22 And importantly, all of the judgments that are
23 done during the synthesis, which considers evidence across
24 the different key characteristics, the preamble already
25 intimating that some key characteristics are more

1 standalone. As I mentioned, we know about -- a lot about
2 genotoxic carcinogens. And when you see the next one
3 coming down the road, it's not that hard to identify it
4 from a distance, but not possibly as true for other agents
5 where we have less experience.

6 But importantly, conclusions can be strengthened
7 when there's evidence of multiple key characteristics.
8 Oxidative stress which is KC 5. That one is something
9 that is a really critical type of -- type of key
10 characteristic that applies to many, many different types
11 of toxicities. So how do you kind of make sure that what
12 you're seeing is relevant to carcinogenicity. And I'll
13 show you one example of that through this additional
14 supporting evidence of the preamble, as it did in prior
15 versions, emphasizes when you have that experimental
16 evidence showing suppression of tumor development when key
17 mechanistic processes are suppressed, that can really
18 elevate the strength of the conclusions.

19 The preamble also introduced new classification
20 categories. Previously, it was strong, moderate, and
21 weak, which were actually not defined. But now, it was
22 possible to align the terms retaining strong, but aligning
23 limited and inadequate more with the other two evidence
24 streams in preparing for that integration step.

25 So let me just, in the next few slides, kind of

1 go into a little bit more detail --

2 --o0o--

3 DR. GUYTON: -- about some of these steps. And
4 this is where you'll find it in the preamble, if you'd
5 like to open it and read it. It's really quite short and
6 you could probably do that while I'm talking. It might
7 even be more entertaining. But for -- there's different
8 considerations obviously for studies in humans, studies in
9 experimental animals. And I've just listed them here.
10 And these are not -- the kind of obvious things that you
11 would think. You know, we are interested in cancer. We'd
12 love to see those for experimental systems. We'd love to
13 see those chronic studies and some of these other issues
14 that are relevant. If you're a toxicologist like I am,
15 for studies in humans, it's a little bit more about how
16 the study was designed, exposure assessment, and some of
17 these other factors.

18 --o0o--

19 DR. GUYTON: So I -- a question that I think
20 comes up a lot, and I think is really key to the
21 application of this concept in decision-making is really
22 how do I tell if I have a limited data set versus a
23 strong. And limited really covers a narrow range of
24 experiments, endpoints, and species. There could be
25 unexplained inconsistencies of -- in studies of similar

1 design. So one person published some -- one thing. In
2 the same model, somebody else publishes the opposite
3 result and you really -- you really can't explain why
4 those two individuals got different results. And you can
5 also have incoherence. So, you know, different endpoints
6 are showing different answers and the issue is really
7 unresolved.

8 And strong by contrast means there's really
9 consistent results in several experimental systems. There
10 is an emphasis in the preamble on mammalian systems. So
11 just wanted to highlight that. The coherence of the
12 overall database, having a substantial number of studies.
13 A lot of times, you know, with some of these Group 1
14 carcinogens, you're talking thousands maybe tens of
15 thousands of studies. So you really have a lot of
16 confidence in coming up with that strong conclusion.
17 Different alleys have been explored. All kind of things
18 have -- rocks have been turned over and you have a
19 confidence that you really know what's happening, and as I
20 mentioned, the suppression of the tumor development.

21 --o0o--

22 DR. GUYTON: And I'd like to just come now to two
23 examples of this. So first, epidemiology. Always
24 dangerous when I talk about this, but was Volume 106 and I
25 was a member of the working group. And there are other

1 members here, so I -- I'm feeling a little confident. But
2 in any case, for this KC 1, which has to do with an agent
3 being electrophilic, that is clearly a property of -- a
4 key property of carcinogens, but other things are
5 electrophilic and also bind. A lot of different things
6 that cause acute inflammation may have this property, et
7 cetera.

8 So if you wanted to make a decision about this or
9 have influential data, I think this is a good example,
10 where for trichloroethylene, the people -- in
11 epidemiological studies, people who had at least one
12 intact GSTT1, the glutathione transferase, allele had a
13 different risk from those than -- that had two deleted
14 alleles. So you've got two different genetic situations
15 and you're really seeing a different in risk.

16 In that, it's a different question than what the
17 epidemiologist consider as a whole in their consideration
18 of cause and effect. But for the -- from the mechanistic
19 side, this is really a convincing type of evidence that
20 could support a strong conclusion.

21 --o0o--

22 DR. GUYTON: Now, similarly, in the animal
23 studies, you may have studies in a knockout mouse
24 situation. We've seen this with several carcinogens of
25 great interest that have been listed by the State of

1 California. I just want to highlight pentachlorophenol,
2 where for this KC 5 oxidative stress, which as I said has
3 been a little bit of a conundrum, because it's -- it lacks
4 that specificity for carcinogenicity, but when you have a
5 cancer study in the knockout mice, this can really help to
6 strengthen those conclusions. And I would also emphasize,
7 it's not just any kind of oxidative stress. You're
8 looking for oxidative damage to DNA specifically. And
9 that -- that is a little bit getting -- adding to your
10 specificity, and as well this particular agent had other
11 key characteristics of carcinogens. So altogether, you
12 had a stronger database. So sometimes those examples can
13 really help to illuminate how -- what the intent was in
14 drafting this in this preamble language.

15 --o0o--

16 DR. GUYTON: So just coming back to how these
17 decisions are made in the preamble, I just want to
18 emphasize that the strong evidence of the key
19 characteristics can support classifications. And again,
20 for some cancers like breast cancer where we possibly
21 don't and may not have these occupational studies of
22 cancer, we still have that opportunity to go into an
23 occupational cohort, for example, and look at key
24 characteristics of carcinogens in exposed women in the
25 workplace and try to assess is this evidence suggestive,

1 is it strong, will it support a conclusion that could
2 possibly lead to a classification.

3 At the same time, you can have strong evidence
4 coming from different types of systems that can
5 complement, let's say, epidemiology studies, if it's in
6 experimental systems, it could be in human cells and
7 tissues to complement sufficient evidence from cancer in
8 experimental animals. This, in another way to phrase it,
9 is about external validity. It's about is your
10 mechanistic evidence supported by another data stream?
11 And usually that's going to come from a totally different
12 system. If it's in humans, it needs to be complemented by
13 the cancer in experimental animals. Likewise, if it's in
14 the animals, it needs to be complemented by the humans.
15 That creates that external validity and that strengthens
16 conclusions.

17 --o0o--

18 DR. GUYTON: So the other way that the key
19 characteristics were considered when I was -- when I
20 was -- had the pleasure to be at IARC was when we were
21 looking at all these recommendations that came in for
22 setting priorities for the monographs program, which is
23 done by an external advisory group about every five years.
24 And what happened was there were a number of these that
25 were recommended for evaluation really based on

1 mechanistic evidence alone or based on mechanistic
2 evidence in combination with the other data streams. So
3 this really is just another way to be -- to provide some
4 specificity and transparency about the basis for
5 recommendations.

6 --o0o--

7 DR. GUYTON: And it's also possible, which we did
8 in this -- in -- as part of this advisory group exercise
9 and published key author is Dinesh Kumar Barupal. And
10 essentially he's really more of a database person with his
11 perspective in kind of running these queries based on the
12 KCs in different databases in trying to illustrate across
13 agents, not what the conclusion is going to be, but where
14 is there evidence on these different KCs. And that --
15 that helps when you're on the staff and you're trying to
16 say, well, which of these -- if we're going to pull in
17 experts who understand epigenetic mechanisms, what are all
18 the agents where that may be a -- that may be a
19 consideration. So it really just helps fill in blanks in
20 the -- in the planning stage. This is a really
21 interesting and it's really all credit to Dinesh this
22 approach.

23 --o0o--

24 DR. GUYTON: So this -- I just want to just say
25 some -- share some emerging lessons that once we

1 implemented this preamble when I was at IARC, what did we
2 find? So I would share similarly as we published in 2019
3 there's very few human biomarker studies. This is an
4 opportunity I think where we could fill gaps. Most of
5 these have been done on adducts, which are really relevant
6 to that KC 1, which, as I mentioned, better if you have
7 some kind of cause and effect study that would really
8 clarify its role. It is possible to make a classification
9 into Group 2B based on the KCs, again relying on that KC
10 2, whether it's genotoxic. Not a big leap of faith to do
11 that I don't believe.

12 And I think this -- you may want to have a look
13 at this later for more details, but what this poster
14 shows: these studies that are shown in this yellow color
15 for KCs 6 through 10, these are all studies that are done
16 in vivo in animals and essentially our working groups were
17 relying on chronic bioassays to make those strong
18 conclusions. So these were effects that were seen in
19 chronically exposed animals. And I think that's -- that's
20 a really interesting point to contemplate as we think
21 about new approach methods and they're coming online and
22 how can we develop and design assays that can really probe
23 some of these things that we're currently relying today in
24 2023 on in vivo animal studies that are in a chronic
25 setting, very expensive, long term, and other concerns

1 about them. The high throughput data that we had
2 available had very little impact overall. And again, that
3 could be considered through design issues.

4 --o0o--

5 DR. GUYTON: So just in closing, I want to
6 highlight some guidance that's come forth from the U.S.
7 National Academy of Sciences. This very influential
8 report, one of our most popular downloads, really
9 highlighted what's the value of the KC and noted that
10 these key characteristics could be developed for other
11 types of toxic endpoints. And that has already led to a
12 lot of progress, I feel.

13 --o0o--

14 DR. GUYTON: Another one that came out more
15 recently gave advice to the IRIS Program that these KCs
16 are useful when you're searching and organizing your
17 mechanistic data. It certainly helps you identify those
18 gaps and also evaluating biological plausibility.

19 --o0o--

20 DR. GUYTON: I think there's a lot of recent
21 progress and future prospects for the KCs. I highlighted
22 this invited perspective that really was dealing with --
23 with breast carcinogens in the gaps and potential
24 opportunities. I think the KCs are very amenable to
25 automation. And we've seen some exciting work in that

1 area as well. And I think obviously more need to develop
2 best practices for evaluations as more experience is
3 gained and continue to advance KCs for other hazard
4 classes.

5 --o0o--

6 DR. GUYTON: So just in closing, I want to thank
7 the different IARC Monograph Working Group members that
8 spent many, many years. It was definitely an exercise
9 where we took advantage of their expertise to refine what
10 was going on, and really, really all -- each and all of
11 them contributed as to the staff who were there, past and
12 present, all the co-authors and the reviewers of the work
13 that I presented, and most of all thank you for listening.

14 CHAIRPERSON LOOMIS: And thank you, Kate. It's
15 really great to see how the KCs have been applied and
16 evolved in the years since I was at IARC, five years ago
17 now.

18 Again, we have time for clarifying questions from
19 the Committee. So Committee members, if you have a
20 comment or a question, please feel free to speak up.

21 COMMITTEE MEMBER McDONALD: Yeah. Dr. Guyton,
22 this is -- this is Tom McDonald. Thank you. It's a super
23 interesting presentation. Very much appreciated that. I
24 wanted to explore, I saw on your criteria slides that you
25 always, it seemed, to have either animal or human evidence

1 along with mechanistic. But then in your examples, you
2 had some where IARC was considering just mechanistic -- or
3 just key characteristics. Has IARC approached a chemical
4 without animal or human-sufficient data and -- or for
5 towards a listing?

6 DR. GUYTON: Yeah. That is a great question.
7 And perhaps I could have been more clear in how I
8 presented it. So let me say it this way. If you only
9 have mechanistic data, you have strong evidence of KCs,
10 and it's appropriate, you could make a classification on
11 that basis alone. But as you go higher into the
12 classifications, if you want to get into Group 2A or up to
13 Group 1, then you really need that complementary evidence
14 showing, what I called, external valid -- a stronger sense
15 of external validity, so that you're really seeing
16 supporting evidence from different lines of evidence, if
17 that -- if that makes sense.

18 COMMITTEE MEMBER McDONALD: Yeah, that does.
19 Thank you.

20 DR. GUYTON: Yeah. But at the same time, you
21 can't use animal mechanistic evidence to complement animal
22 bioassay evidence. Those are actually the same. Those
23 are kind of viewed at the same level. You would have to
24 have that mechanistic evidence coming from a human system.
25 That's kind of the IARC thinking there. But it's a place

1 dominated by epidemiologists, I would say, over time, so
2 there's always a lot of weight given to studies of cancer
3 in humans, I think, more so than many classification
4 systems. But again, that is one of its -- one of its
5 great strengths. And certainly, the Group 1 agents based
6 on -- based on those studies, it -- you know, it creates a
7 very solid evidence base from there. And if you don't
8 have that evidence, you certainly have options for lower
9 level classifications, so...

10 COMMITTEE MEMBER McDONALD: Great. Thank you.

11 CHAIRPERSON LOOMIS: Are there any other
12 questions or comments from the Committee?

13 It looks like there is one. Go ahead, please

14 COMMITTEE MEMBER BESARATINIA: Yeah. Hi.
15 Wonderful talk. Really enjoyed it. Well, I believe you
16 touched upon this issue that I'm going to speak about in
17 one of your last slides. As you we all know, we are
18 living in the age artificial intelligence and the use of
19 AI is becoming increasingly popular in research. I'm sure
20 you know better than anyone else how laborious and
21 time-consuming KC evaluation of potential carcinogens is.

22 My question is does IARC have any future plan on
23 the potential use of AI and incorporating machine learning
24 methods and computational modeling into evaluation of KCs
25 for future monographs?

1 DR. GUYTON: Oh, Ahmad, that is -- that's a
2 fantastic question, very thought provoking. I will say
3 that IARC is convening a workshop in July looking at
4 issues related to the KCs and I do expect this issue of AI
5 machine learning will come up. I would say to date some
6 of the work I showed you that Dinesh Barupal really
7 spearheaded has really been about gathering the evidence
8 together and not making -- and not making the judgment, if
9 you will.

10 But some of the work from Ruud Ter Meulen, that
11 paper that I cited, and I'm happy to get back to that or
12 I'll put it in the chat, was really trying to say could
13 you -- could you really base on -- could your machine
14 learning get to where your expert working group did, based
15 on, you know, this method, which is actually -- it's a
16 reproducible method, right? We have the search terms. We
17 have the criteria. Could you build a system? I think
18 some of the work that we published was really more on the
19 side of you could do those kinds of things as a way to
20 prioritize, but not really replace the human element in
21 this judgment, because it is so complex.

22 And I think those are -- that's a strength of
23 systematic review approaches, they make those judgments
24 transparent, but they don't replace them. It takes -- it
25 takes some balancing of strengths and weaknesses of your

1 evidence based on reaching your conclusion and
2 understanding limitations.

3 COMMITTEE MEMBER BESARATINIA: Thank you.

4 CHAIRPERSON LOOMIS: So it looks like Dr.
5 Eastmond has a question. Please go ahead.

6 COMMITTEE MEMBER EASTMOND: Hi, Kate. Nice
7 presentation. I just had a follow-up question. You had
8 mentioned that some of the key characteristics, oxidative
9 stress can be sort of nonspecific. And could you comment
10 a little bit more about how one might make decisions when
11 that's the primary effect that's being seen and what
12 other -- you know, how you would evaluate this. You
13 mentioned that oxidative damage to DNA would be one
14 consideration, but have you thought about if that's how
15 that would be considered in a sort of hazard
16 identification decision-making process, when that's the
17 primarily or sole characteristics that's being involved?

18 DR. GUYTON: Yeah, Dave, great question. And I
19 think many of us, especially those who are toxicologists,
20 you know, we love oxidative stress and we really think
21 it's super important, but I would say according to the
22 preamble, it's not a standalone KC. You really would need
23 some other evidence that would really strengthen your
24 conclusion, even if you had -- if you only had oxidative
25 damage to DNA and you'd explored it under multiple systems

1 and you really just had that, I still think it would be a
2 little bit of a leap, because what if -- you know, is this
3 really -- you know, that can happen and it can -- it can
4 maybe not go to the next step of truly causing mutations,
5 right? This is what these lesions would do in theory,
6 right?

7 COMMITTEE MEMBER EASTMOND: Sure.

8 DR. GUYTON: Or would you actually get -- could
9 you suppress it and see more such lesions through
10 antioxidants or through genetic manipulation? Some of
11 those types of experimental approaches might strengthen,
12 but, you know, ultimately, if you think, well, in your
13 mind, this would cause a mutation. Well, then why didn't
14 I see that mutation? Did nobody study it or did it -- was
15 it not found?

16 So that might be where you might go to limit it,
17 because you think there's still some aspects of the
18 database that need to be explored. Does that make sense?

19 COMMITTEE MEMBER EASTMOND: Yeah. No, I think.
20 I just wanted your thoughts. Thanks.

21 DR. GUYTON: But others might disagree. I mean,
22 we haven't seen every data set. So there could be one
23 that would push you over the edge.

24 CHAIRPERSON LOOMIS: Other questions or comments?
25 Well, I don't see any raised hands yet, so I'm

1 going to take advantage of the Chair's seat here, since we
2 have a little bit of time and make a comment and, Kate,
3 see how you would like to react to it.

4 So you and Vincent both gave a bit of historical
5 perspective on the IARC monographs mentioning in
6 particular that -- and we've found a lot of the -- many of
7 the carcinogens that were identified in the first 40 years
8 of that program through epidemiologic studies,
9 particularly occupational studies, and that also
10 historically important have been rodent cancer bioassays,
11 of which there are fewer and fewer. And I would also
12 point out that there are actually fewer epidemiologic
13 studies of the kind that we used to do. Just imagine if
14 you looked back at the early monographs from IARC, some of
15 the epidemiologic studies that discovered, if we can use
16 that word, some of the known carcinogens are actually
17 really crude, bad studies that we would never do now.

18 And, in fact, there are studies that couldn't
19 even be done, because the kind of workplaces where those
20 occupational studies in particular were done hardly exist
21 any more around the world. You know, that's partly due to
22 different economics. It's due to automation efforts to
23 clean up exposures. Those old studies were done in
24 situations of, you know, really gross exposures to highly
25 toxic agents. And those things still occur in the world,

1 but not so much. And the work forces aren't nearly as big
2 as they were. And as the speakers pointed out, you know,
3 modern work forces are also different from the ones that
4 got studied back in the seventies.

5 So I would argue that we probably can't expect to
6 use epidemiologic studies in the same way going forward
7 that we did for the pre-Volume 100 history of the IARC
8 monographs say. So we really need a different toolkit in
9 order to make progress now with different kinds of agents,
10 different exposure situations, and different data streams.

11 Any thoughts about that?

12 DR. GUYTON: Well, Dana, I agree with everything
13 that you -- that you're saying. And we've certainly seen
14 that, I think. I think some of the challenges that you
15 experienced when we were -- when we were both at IARC and
16 people have urgent questions does -- you know, does this
17 agent cause cancer? I'm seeing an uptick of -- uptick of
18 this cancer in my country and I want an answer. Well,
19 even if you launched your cancer epidemiology study today,
20 it -- by the time you get the answer that the urgency of
21 it may be gone and the opportunity for intervention may be
22 gone.

23 So I think, you know, every -- every type of
24 study has a different, you know, fit -- we could call it
25 fit for purpose. We could call it a domain of

1 applicability. They answer different questions. I think
2 the beauty of the KCs is, first of all, you could do these
3 studies with a KC-relevant endpoint, and you could do that
4 in an occupational setting. You could do that in your
5 dietary exposure study or you could do it in your
6 pharmaceutical study, if you wanted to do -- to try to get
7 a more human relevant type of scenario with the
8 epidemiology context in mind and designing a high quality
9 epidemiology study. But your answer may come in a much
10 more timely way and it may provide different insights,
11 right? It's not just what type of cancer, but it may give
12 you an insight into, well, how is this -- how is this
13 agent acting and what might be susceptibilities that could
14 be different between men and women, between older and
15 younger populations, or with different types of
16 co-exposures.

17 So, yeah, I think -- I think we have to really be
18 thinking ahead. And it may not be that long on the
19 horizon, especially if you're watching regulations in
20 Europe and in the United States where we're not going to
21 be having these long-term bioassays in animals even, to
22 the extent that we did. And that's been a great tool.
23 We've made many, many decisions on those types of data and
24 how can we make those same decisions with new data
25 streams.

1 And we have to start building that confidence to
2 get there. But I think it's -- for epidemiology, I would
3 say it's more same -- you know, it's using the same tool
4 and all those lessons, but perhaps with a different
5 outcome that may still be just as informative. That's
6 what we actually need, right, to answer this yes -- it can
7 be no answer. That's fine, but we need -- you know, we
8 need these answers timely.

9 CHAIRPERSON LOOMIS: Yeah. Well, those are
10 really good points. Thanks.

11 Let's see if the Committee has any other
12 questions or comments before we close.

13 Dr. Landolph is raising his hand there. So go
14 ahead.

15 You're muted. Can't hear you.

16 COMMITTEE MEMBER LANDOLPH: Yeah. How about now?
17 Can you hear me? Yeah.

18 Kate, that was a great talk. I enjoyed it. I
19 just wanted to point up that a lot of these hunts for
20 carcinogens, they're not only screening exercises. We've
21 been working on nickel for a long time just trying to look
22 for the molecular mechanisms of nickel carcinogenesis.
23 And, of course, they had epi and they had animal studies a
24 long time ago. But, you know, we want to know how does it
25 work? And it turns out now with the new whole genome

1 sequencing, we find deletions and amplifications of
2 chromosomes, as well as the regular chromosome breakage.
3 We found ROS and Max Costa's lab has found a lot of
4 epigenetic effects by nickel.

5 So a lot of these compounds that you're looking
6 for to find out whether they're carcinogens or not,
7 they're really research projects, you know, if you want to
8 get a clear, clean, and crisp, and comprehensive answer.
9 So it's going to take a lot of work, but it's coming.

10 DR. GUYTON: Yeah. Yeah. Totally appreciate
11 that. I'm -- what I heard you say was Key Characteristic
12 2 and 5, which, you know, that can get you -- that can get
13 you at least to first base in the IARC terminology. So I
14 think it's also, you know, more on the evaluation end of
15 data, rather than doing those studies or funding those
16 studies. But this conversation between what's influential
17 to assessors and what -- you know, what researchers can
18 do, I also feel that's extremely valuable.

19 So I really appreciate all the good work you've
20 done. I think nickel is one that continues to be -- to be
21 of concern. So appreciate your thoughts.

22 COMMITTEE MEMBER LANDOLPH: Yeah. It's also
23 interesting that a lot of these carcinogens have mixed
24 mechanisms. They're not simple mechanisms. They're
25 multiple mechanisms. Nickel is one of those which does

1 genotoxic and epigenetic effects. So it's -- you have to
2 look really hard to get to the actual ultimate mechanisms
3 of -- by which they act.

4 DR. GUYTON: Yeah. That's a really, really good
5 point. And I think with the KCs, you know, we looked at
6 benzene as an example. And that one had eight of these
7 KCs. You know, it's really ticking so many -- so many
8 different boxes, but in part that's because it's really
9 well studied, so we understand. For many others, we just
10 don't have the data and it's difficult to say. So that's
11 where I say when people look at a data set and they say
12 aha it's strong, well, you know, there may be -- there may
13 yet be a number of blind alleys you haven't ex -- you
14 know, checked out to make sure, you know, you aren't
15 misled. You know, it's not -- it's not really a one study
16 leads to -- leads to a strong conclusion type of paradigm.
17 It's actually much, much different than that. So -- well,
18 I appreciated the chance to address all of you. This is
19 really a great pleasure for me.

20 CHAIRPERSON LOOMIS: Well, thank you very much.
21 It's great to have you with us.

22 Well, we will now move on to the next part of the
23 agenda. And so it's my great pleasure to induce our next
24 invited speaker, Dr. Ivan Rusyn. He is a professor in the
25 Department of Veterinary Integrated Biosciences in the

1 College of Veterinary Medicine and Biomedical Sciences at
2 Texas A&M University, which is in Texas, U.S.A. He's also
3 Chair of the Interdisciplinary Faculty of Toxicology and
4 Director of an NIEHS T32 training program in regulatory
5 science and environmental health and toxicology, and
6 Director of the university's Superfund Research Center.

7 Dr. Rusyn has also served on several IARC
8 monograph working groups, including as Chair of the
9 Working Group for Volume 125 where the concept of key
10 carcin -- key characteristics was applied. And he's
11 authored several of the publications, including some we've
12 reviewed today, on application of the KCs. So, Dr. Rusyn,
13 the floor is yours.

14 (Thereupon a slide presentation).

15 DR. RUSYN: Dr. Loomis, thank you so much and I
16 really appreciate the Committee members all the hard work
17 that you are doing, and some of you have been doing for
18 decades. So thank you.

19 And thank you for agency staff to also inviting
20 me to give you maybe again more of a retrospective --
21 retrospective view on the last 10 years of key
22 characteristics since they have been put in place. And I
23 really would like to thank also Vince and Kate for
24 providing excellent foundation for what I will be
25 discussing today.

1 I have had a lot of help from a colleague of
2 mine, Fred Wright at North Carolina State University with
3 some of the statistical analysis that I'll be presenting
4 today. And the types of analyses and the visualizations
5 that I'll show you have been kind of bounced off, you
6 know, a number of my colleagues as well that -- whose
7 names you've already seen a couple of times today, Dr.
8 Wei-Hsueh Chu here at Texas A&M, Dr. Guyton, and also Dr.
9 Zeise as well.

10 As it is important for us in this public forum to
11 acknowledge all of the possible conflicts, I want to share
12 with you that my laboratory right now is funded
13 exclusively by NIH and U.S. EPA. But in the past year --
14 10 years, we received funding from American Chemistry
15 Council and from some of the trade associations in Europe
16 for some of the work with petroleum substances.

17 I engage in a number of venues, advisory
18 committees, and other things with IARC, with U.S. EPA,
19 with American Chemistry Council, California EPA and other
20 State and local partners. American Chemistry Council
21 funds part of my lab's research right now, together with
22 seven other members of the consortium the tests tissue
23 chip application. So these funds are pooled together and
24 our funders have no role in directing the research and
25 publications. Albeit, it's a very interesting interaction

1 with a number of them. We'll have a speaker later today
2 from American Chemistry Council. Dr. Ryman-Rasmussen has
3 been very kind to come and teach at Texas A&M to our
4 students in toxicology and our Practice Risk Assessment
5 course. So again, we've been engaged with a broad swath
6 of different stakeholders.

7 And a final disclaimer is that Texas A&M, on
8 behalf of myself and Dr. Chu, and CalEPA are in the
9 process of somewhere -- I'm not sure really where that is.
10 It's so far above my pay grade -- negotiating a support
11 contract for work that is again unrelated to key
12 characteristics at some of the agency advice on
13 pharmacokinetics and inhalation exposures. But I'm not
14 sure whether that contract will or will not be successful.

15 --o0o--

16 DR. RUSYN: So but today, what I really wanted to
17 talk about is the last 10 years. So if one looks at the
18 work that has been put in place since 2015 and the reality
19 it's really, you know, 2014, so almost 10 years, IARC has
20 gone and at least the monographs have been published,
21 which means that they can be examined in full text, rather
22 than just the summaries for 73 of those agents. So
23 Volumes 112 through 130 included 67 chemicals for dietary
24 life factors to occupations. And Dr. Guyton, if you paid
25 attention, showed a table including up to Monograph 133.

1 So volume 131 came out recently. My analysis did
2 not include that, but, you know, more substances are added
3 three times per year. So this is a growing database. And
4 what I'm showing there are the years. The first meeting,
5 the Volume 112, happened in 2015, the monograph was
6 published in 2017. And the last one that I evaluated,
7 130, both the meeting and the monograph came out in 2022.

8 But IARC is not the only organization that uses
9 key characteristics. It was already mentioned that U.S.
10 EPA IRIS Program includes them and their cancer hazard
11 evaluations. What you see there in yellow is the link to
12 their handbook. And the handbook does mention key
13 characteristics and how to use them. But IRIS program has
14 already been using them in a number of assessments. As
15 you can see, these are in process, but they have links to
16 documents or scoping reviews. So if you kind of add up
17 all of these things, that's another dozen or so. And the
18 National Toxicology Program Report on Carcinogens part of
19 it also has public guidance and search strings on key
20 characteristics. And this is the link to this document.

21 And they also included key characteristics
22 already in a couple of updates to report on carcinogens.
23 It came out in 2018. And they're working on a number as
24 well that will be included in the next update to the
25 Report on Carcinogens.

1 So when you add all of these things up, there is
2 a hundred or so agents that have used key characteristics.
3 And this really is a robust database that has been put
4 together by a variety of individuals. And I only looked
5 at the IARC monographs. But even if you count all of the
6 individuals who participated in these working groups, this
7 is well probably over 115 individuals that come from
8 countries, different occupations, and different parts of
9 science. And they all have, you know, experienced the
10 advice that is provided in the preamble. And before 2019
11 revision, it was provided in the instructions for authors.
12 But they all had to kind of, you know, learn this, and
13 apply this, and use it. And now we can take a look as to
14 what actually has transpired.

15 --o0o--

16 DR. RUSYN: So as already was mentioned by Dr.
17 Cogliano, there is, you know a lot that a number of risk
18 assessors embrace about the concept. This is information
19 about agents that are known to cause cancer in humans.
20 They are inclusive of mechanisms that operate at the
21 different doses and across different tissues and organs,
22 as is really, you know, was -- key characteristic is
23 something that really enables systematic review to come to
24 mechanistic and other evidence evaluation, which is very
25 voluminous and is prone to bias, because toxicologists

1 study a particular mechanism. They really think that's
2 the mechanism, the most important one. And they frown
3 upon others who, you know, go to a church of a different
4 mechanism.

5 This is really a finite set of -- you know, a
6 short list of things to search for. You know, unlike some
7 of the other mechanistic constructs, which can be endless,
8 this really is providing a very robust start and finish.
9 And as Dr. Guyton already mentioned, this means that the
10 search terms can be defined, so you can really apply PECO
11 criteria and PECO statements across different groups of
12 people and different agents.

13 This still is just a start of the weight of
14 evidence approach. As again, Dr. Guyton has shown, the
15 key characteristics is just the data and assembling data,
16 and, you know, looking at where it fits. And then experts
17 get together and then really look at those, you know,
18 strong, limited or inadequate characterizations. So
19 there's still a weight of evidence approach. And it
20 really gives assessors peace of mind.

21 So we are looking at known mechanisms and really,
22 you know, some of the unknowns, you know, we're learning
23 about mechanisms every day. But in reality, you know, if
24 we look at whether that's truly a new mechanism or whether
25 that's just a vignette of something that already has been

1 defined, really we're dealing with known knowns. But we
2 may or may not have data for each one of those, but at
3 least we can then use ToxCast and other data to understand
4 where maybe we are missing research or funding from a
5 particular mechanism. So there's a lot of positives.

6 But, you know, we all need to acknowledge that
7 there has been a lot of criticism and the criticism has
8 come from, you know, a few individuals and organizations.
9 And that's -- you know, it doesn't make that advice any
10 less valid. And that advice or criticism has evolved in
11 the last five or six years. So some of the early
12 criticisms were that this is really -- you know, there was
13 no guidance how to do this. Well, in reality, there is
14 very detailed guidance in the instructions for authors and
15 also IARC staff was there to really, you know, guide all
16 the working group members on the principles and then let
17 them apply those principles.

18 The early criticism was that these are not
19 predictive of cancer. And these key characteristics were
20 never actually meant to be predictive of cancer. They
21 were meant to be a data organization tool that then will
22 be used in the weight of evidence approach.

23 You know, again, the oxidative stress criticism
24 some even question whether it's a plausible human cancer
25 mechanism. I think we can have a good discussion and

1 robust discussion about that and I'll have a last slide on
2 that on how these key characteristics cut across different
3 icities.

4 --o0o--

5 DR. RUSYN: But some of the more recent
6 criticisms have been that really this has been some sort
7 of a conclave of experts who are not regulators. I think
8 if one does really take time to look at those who are
9 listed as authors on key characteristics publications,
10 they'll see that it's really an incredibly diverse, both
11 internationally and kind of, you know, stakeholder type
12 collaborative of individuals. And then this whole
13 overlap, you know, that cannot be discriminating that we
14 already had a little bit of that discussion and I hope
15 that we can have that after my presentation as well.

16 So all of these, you know, positives and
17 criticisms need to be taken into account. And I wanted to
18 be, you know, incredibly transparent with you, not to say
19 that this is something that everybody just, you know,
20 thinks is, you know, better than sliced bread.

21 --o0o--

22 DR. RUSYN: So what actually was done?

23 So these 19 monographs, they're voluminous, you
24 know, they're hundreds of pages. You know, Chapter 4
25 where mechanistic data is described, you know, is -- you

1 know, some of these monographs are longer than others.
2 But basically, you know, I took a lot of time to go
3 through each one of these books and, you know, I've tried
4 to look my expert judgment to supplement some of the
5 decisions that were really made by the working groups
6 themselves.

7 So there is a, you know, spreadsheet that has 19
8 tabs for each of the monographs. And each tab has all the
9 agents that were evaluated in that particular monograph.
10 So I'm just showing pretty much a random screenshot of one
11 of those. I don't even remember which monograph this is.
12 But here is an agent. Here's final classification.
13 Human, animal, and mechanistic evidence strength as
14 described by the working group in Chapter 5 of the
15 monograph. And then you already have seen from Dr.
16 Cogliano's presentation that -- and especially it's in the
17 current preamble, IARC working groups have been really
18 trying to be diligent in separating model system evidence,
19 exposed humans, and human cells, and mammalian. And
20 really it's rodent studies, in vivo rodent studies, in
21 vitro. And then other in vivo. Sometimes there is, you
22 know, fish and other organisms, other in vitro, a lot of
23 data. It would be bacterial studies and genotoxicity and
24 other types of studies.

25 The ToxCast data has really come to fore since

1 about 2015, 2016. Some of the monographs also looked at
2 ToxRefDB as EPA was querying all of their 90 day and
3 two-year cancer bioassays and putting that into the
4 database. There are a couple of monographs that actually
5 looked into ToxRef database. And then this overall
6 strength, this is -- again, in the Chapter 5, the working
7 groups have drawn conclusions on each of the key
8 characteristics. And the terminology evolved a little bit
9 pre- the last revision of the preamble versus post, but
10 it's really not that different.

11 What is expert judgment is this stuff in the
12 middle, as you can see these no, yes, equivocal, or
13 empties. This is me using my best 20 years of not only
14 toxicological knowledge, but also, you know, dozens of
15 IARC monographs and National Academy working groups
16 looking at risk assessments in trying to read, evaluate --
17 and evaluate the data. And when it's empty, meaning that
18 there was no data on that particular key characteristic
19 from that particular model system, when it says no, the
20 working group enlisting all of the evidence evaluated
21 pretty much was saying that there was really no evidence
22 from mammalian in vivo, for example, for is it
23 electrophilic or it can be metabolically activated.

24 It's not simply there was no evidence. No. That
25 there is evidence and there is no evidence for that

1 particular agent, you know, acting through this key
2 characteristic. Now, when I put a yes, preponderance of
3 evidence was positive. When I put equivocal, it meant
4 that there were some studies that were showing that it
5 could be involved, some studies meant -- showing that it
6 wasn't involved. So it's -- you know, it can go either
7 way and I coded it as such.

8 And so I used that, you know, same idea going
9 through all of these. And really because I, you know,
10 haven't done research on most of these chemicals, I was
11 just taking the information at the face value using my
12 best expert judgment. And then some of the statistical
13 analysis was done on this evidence pivoted in a slightly
14 different way. So it's the same data, but this other
15 table assembled the mechanistic conclusions, so at least
16 agent lists the final classification.

17 And then I'm focusing on the mechanistic data
18 role, as you can see here, mechanistic strong, mechanistic
19 limited. Sometimes it says it's supportive. Sometimes it
20 says it was not used. Sometimes it was used to upgrade
21 the classification. And then for each of the key
22 characteristics, I'm listing the strong, moderate, or
23 weak. And this basically is -- you know, here it says
24 suggestive. And again, it's a different way of three
25 different names for largely the same thing. So to deal

1 with slight evolution of terminology, I re-coded
2 everything as strong, moderate, and weak. And I think
3 this is pretty much in spirit of what both the preamble
4 and the previous evaluations have done.

5 And then there are really two types of analysis.
6 One is descriptive statistics, kind of similar to what was
7 published in Krewski et al. And I'm a co-author of that
8 paper of the kind of retrospective evaluation, putting the
9 key characteristic mindset and looking back at all of the
10 known human carcinogens.

11 And now here we're actually looking forward with
12 working group members specifically instructed to actually
13 use this terminology. And we looked at by chemical, by
14 cancer hazard classification, kind of, you know, how key
15 characteristics were used for classification, and then
16 which of type of evidence was used. And then, together
17 with Dr. Fred Wright, we looked at some of the patterns,
18 because I think a lot anxiety in the outside world is
19 that, you know, when there is oxidative stress, then kind
20 of everything -- the whole, you know, hell breaks loose
21 and this ends up being a Group 1 carcinogen. So to look
22 at this using information retroactively for 19 different
23 monographs, I think that can give us some idea as to how
24 different groups of experts were actually calling things
25 and to see whether there was some patterns or not.

1 --o0o--

2 DR. RUSYN: So first let me kind of look -- walk
3 you through some of the descriptive statistics. So first
4 question really is how often were key characteristics
5 used? Dr. Cogliano showed you that for known human
6 carcinogens, there was a lot of use of key
7 characteristics. Well, in reality, for these last 19
8 monographs, out of those 73 agents, only nine were
9 classified as Group 1. So there were lots of agents
10 Classified as 2A, 2B, and then Group 3. So that really is
11 a more representative look at how things are.

12 And what's remarkable, and again this wasn't
13 something that I had a preconceived notion of, as -- you
14 know, when I embarked on this, was that the experts in
15 these IARC monograph working groups are extremely cautious
16 in calling key characteristics, you know, strong or
17 moderate, because only 25 percent of all possible chemical
18 key characteristic combinations, so 73 agents times 10 key
19 characteristics, only 25 percent of the time it was either
20 strong or moderate.

21 And as you can see is, you know, on average, you
22 know, it was 1.3 key characteristics that were called
23 strong for agent. And on the opposite what is important
24 is that the working groups have actually said that it was
25 either weak or no evidence whatsoever. So the

1 preponderance of evidence in this database actually shows
2 that actually, you know, most of the key characteristics
3 for most of the agents, there was no data for them.

4 And then if you look at the individual ones, then
5 you also are seeing some interesting patterns. So here,
6 these are 10 key characteristics and the colors represent
7 them being called strong, moderate, weak, or no
8 conclusion. And what you can see is, you know, these
9 seven ones are the classical mechanisms of carcinogenesis.

10 You know, this is, you know, straight from the
11 lectures that I teach our students in the first year on
12 the kind of basic mechanisms of, you know, toxic effects
13 of chemicals. And we all agree that these are very
14 important and they can and known to participate in cancer
15 mechanisms. The question becomes is whether it's one or
16 many of them working together. But here nonetheless, you
17 can see that it is genotoxic, because really it has the
18 most data across all different agents, not just known
19 human carcinogens. But among these 73 and the X axis here
20 is the number of substances, and you can see that almost
21 all of them had some evidence on genotoxicity. The second
22 one most populous is really cell proliferation. The third
23 one is oxidative stress. But metabolic activation, and
24 immune-mediated effects and receptor-mediated events are
25 also pretty well covered.

1 What is also interesting is that three key
2 characteristics really have little data. It's DNA repair,
3 epigenetics, and immortalization. And, you know, you'll
4 see that pattern as I go through the data for you.

5 --o0o--

6 DR. RUSYN: So the second question is really how
7 many key characteristics were available for Group 1 or 2A,
8 2B agents. And here, you know, as you can see from the
9 data, Group 1 carcinogens have more key characteristics
10 than were deemed to be strong moderate as opposed to
11 Groups 2A and 2B. And this is really again a new type of
12 analysis, because what Dr. Cogliano showed from Krewski et
13 al. paper, we were only looking for Group 1 carcinogens.
14 So here this is a comparison between something that goes
15 to Group 1. And again, you know, there's not a lot of
16 these, you know, only five, and then Group 2A, 23, Group
17 2B, 41, and the other ones. You know, if you subtract
18 those from 73 were Group 3.

19 So, you know, you see that strong is
20 significantly more in Group 1 and Group 2A compared to
21 group 2B. But this is pretty much where the patterns
22 actually end, because for 2A and 2B classifications,
23 there's really too wide of a range from any key
24 characteristics were deemed strong, moderate, or weak. So
25 again, this fear that at the moment you have a key

1 characteristic that is strong, you immediately zip up to
2 Group 1 is really not supported by the historical data.
3 And again, this is, you know, dozens and dozens of experts
4 looking at, you know, different types of data sets,
5 different types of agents. This really is just not true.

6 And among the KCs that were deemed strong,
7 there's clear trend for an average number of KCs depending
8 on cancer hazard class. So here, you can see Group 1, 2A,
9 2B, and 3. And as you kind of move left to right, you see
10 that the color intensity diminishes. So most of the
11 strong ones are in Group 1. And this is a fraction. So
12 again, it's somewhat misleading, because, you know, for
13 this particular one, a hundred percent is five agents, and
14 for this one a hundred percent is 23 agents. So one
15 should not really, you know, delve too much into the
16 length of these bars, but not of the last patterns are
17 pretty clear. You go left to right, you have less or
18 fewer strong key characteristic calls and you have more
19 moderate, weak, or no calls at all.

20 So again, I think this is highly informative, but
21 also what you see is that there is a -- you know, this
22 strength of evidence is across different key
23 characteristics. And some of them have more strong for
24 Group 1, but other ones you again still see genotoxicity
25 as a strong key characteristic for both agents in 2A and

1 2B. So again, you know, fearing that an oxidative stress
2 or genotoxicity strong will automatically elevate this,
3 really you require other types of evidence to really be in
4 Group 1 and Group 2A.

5 Now, this comes to mechanistic upgrades. This
6 was already a question that Dr. McDonald asked, you know,
7 can you classify an agent using mechanistic data alone.
8 And as Dr. Guyton mentioned, according to the new
9 preamble, you can do that into Group 2B. But again, you
10 know, let's look at where in the individual monograph
11 working groups were.

12 When a mechanistic upgrade was exercised, and
13 this was done nine out of 73 times, and so not every time
14 it went to actually Group 1. Most of the time, it went,
15 you know, from 3 to 2B or from 2B to 2A. Strong evidence
16 for several key characteristics was in place. And, you
17 know, a lot more were supportive when working group had
18 enough evidence from human and animal in vivo studies, but
19 they looked at the mechanistic study, and they said, yeah,
20 mechanistic data is supportive of this classification as
21 well. So I think that's also fair to look at.

22 For the upgrades, you really, you know, need to
23 have a lot of strong key characteristics, but the number
24 varies from seven to one. And if you look at the upgrade
25 versus supportive versus non-used, you see clear

1 statistical, you know, significant difference. And again,
2 as you kind of, you know, go down into these supportive,
3 or moderate, or not used, it's really a wide range of
4 different individual numbers.

5 Among the key characteristics that were used to
6 exercise mechanistic upgrade and the most impactful were
7 strong calls for genotoxicity, cell proliferation, and
8 metabolic activation. So you can see is genotoxic, you
9 know, a hundred percent of those nine compounds were
10 upgraded, but also, you see that cell proliferation, cell
11 death, and metabolic activation, and it's really not
12 oxidative stress, right?

13 So oxidative stress is an important key
14 characteristic, but working groups were really not
15 automatically upgrading using oxidative stress key
16 characteristics. So again, I think this data is quite
17 informative and should alleviate some of the concerns that
18 have been expressed repeatedly by the critics of key
19 characteristics.

20 --o0o--

21 DR. RUSYN: Now, I'm kind of, you know, going to
22 show you two slides looking at this in a slightly
23 different way. Now, I'm asking a question what data --
24 you know, from which model system, from humans, from
25 rodents, from in -- you know, other types of models, in

1 vitro versus in vitro really went into each key
2 characteristic?

3 And so here, this is where again, these are my
4 expert judgments. You know, until now, I was showing you
5 all working group conclusions. So here again, yes, I have
6 looked at what the monograph says and what it lists as
7 individual studies. And it was concordant studies for
8 that particular key characteristic from that particular
9 model system. Equivocal meant that again there were some
10 positive, some negative studies, none is self-explanatory.
11 There is no studies. And this one is basically the worst
12 data to show that that key characteristic was not involved
13 for that particular agent.

14 And here again, there's a number of conclusions
15 we can draw. You know, one is that it is quite -- you
16 know, unfortunate, is that data from exposed humans is
17 really scarce, which means that we -- as going forward, we
18 cannot really rely on data from exposed humans, because
19 we'll have less and less of it, unless biomonitoring
20 efforts really go forward.

21 Now, for the Key Characteristic 2 is genotoxic,
22 the most informative data were human and rodent in vitro
23 studies, not bacterial mutagenicity assays. So as you can
24 see here these green ones, that's actually when you have
25 these studies in mammalian cells, not in bacteria, because

1 in bacteria, there was a lot of studies that go, you know,
2 positive. They go negative. They are, you know, with S9,
3 without S9. There's usually a lot of data. And, you
4 know, when there's a lot of data. There's more equivocal
5 information than positive or negative information.

6 And rodent in vivo studies were really the most
7 informative for the cell death proliferation. That's
8 again something that Dr. Guyton already mentioned. You
9 really need to have a 90-day study or a two-year cancer
10 bioassay. And cell proliferation and cell death is
11 evaluated in those. And that's really when most of the
12 information was actually impactful. As you can see, more
13 than 50 percent of -- or actually almost 75 percent of
14 times when this key characteristic 10 was called as a yes,
15 this was data coming from in vivo animal studies.

16 And what's already also was mentioned by Dr.
17 Guyton, ToxCast data, really was this peace of mind data.
18 It largely was used to say that key characteristic, you
19 know, probably not involved for this particular agent. So
20 is it useless? Absolutely not. It's incredibly useful,
21 because it also tells you that there's probably very
22 little out there that is unknown to us. So it's useful
23 data, but it's not useful date to classify with respect to
24 cancer hazard. It's actually to ensure that that
25 particular mechanism or key characteristic are actually

1 not involved.

2 Now, it's the same information, but looked at in
3 a different way. Now, I'm actually looking at each key
4 characteristic and trying to look at where the information
5 is coming from. And here again, I think, when you look at
6 this way, there's a lot of conclusions that can be drawn.
7 Most data rich key characteristic is genotoxic. But most
8 impactful of this, you know, is human and animal in vivo
9 and in vitro. Again, this bacterial assay is a lot of
10 equivocal data, and most of the agents had rodent or human
11 in vivo or in vitro information.

12 Now, for this particular, you know, key
13 characteristic, a lot of data is equivocal, much larger
14 proportion than for anything else. And again that pretty
15 tells us that there's a lot of different assays, there's a
16 lot of different endpoints that can be studied from
17 adducts to, you know, higher order damage. And when you
18 have a lot of information, you're likelier to actually see
19 inconsistent studies. And data from exposed humans
20 actually did contribute to seven of the 10 key
21 characteristics. So however little there was, it was
22 actually highly impactful and was highly impactful across
23 the spectrum of key characteristics.

24 And finally, again, I cannot support more what
25 Dr. Guyton was saying that rodent in vivo mechanistic data

1 was by far the greatest contributor to most key
2 characteristics. So for those of -- you know, those of
3 regulators or scientists out there who are trying to --
4 you know, to eliminate this evidence stream completely, I
5 think they have to, you know, think long and hard about
6 what we will be missing, and whether or not we can
7 actually make health protective decisions without this
8 particular data stream.

9 --o0o--

10 DR. RUSYN: So last one -- type of analysis I
11 want to show you before I conclude with a comparison
12 across different KCs is this kind of more statistical
13 approach. So really we're looking at patterns here,
14 associations. And we've done this in four different ways.
15 And you can think of this as -- again, if I see one, is it
16 likely for me to see the other? And this means it could
17 be yes and yes or it could be no and no. So again, this
18 does not preclude that the evidence always has to be of
19 one type.

20 So the first type of analysis, Dr. Wright and I
21 looked at was really for this overall strength call. And
22 this is a call by the key -- by the monograph working
23 group. When you have this overall strength strong for
24 one, does it mean that the other key characteristic will
25 be strong as well. And essentially, this is all pairwise

1 comparisons.

2 Now, ToxCast data was really the most filled in
3 data set, because, well, we have mapped ToxCast assays to
4 seven out of the 10 key characteristics, which means that,
5 you know, there's hundreds of assays and there are usually
6 dozens or maybe at least, you know, six or seven ToxCast
7 assays that could be mapped to a key characteristic, and
8 there's a lot of information. So I think it's instructive
9 as well to see when one is yes is the other yes as well,
10 and when one is no is the other no?

11 Now, Comparison C is really kind of, you know,
12 going vertically. If I have a certain type of evidence,
13 am I more likely to call it a strong, a limited, or
14 something else? So again, is it -- is there bias for us
15 or, you know, when we see human evidence, are we more
16 likely to call it strong or not. And then finally, this
17 upgrade, when the overall strength in a particular key
18 characteristic is strong, is it likely or not that this
19 will be a mechanistic upgrade, again not necessarily to
20 Class 1 to Group 1, but it could be from 3 to 2B, to
21 from 2B to 2A, or from 2A to 1.

22 So there are four types of analysis and I'm going
23 to, you know, quickly go over them.

24 --o0o--

25 DR. RUSYN: This biggest surprise I had was

1 actually how little association have we actually
2 discovered. So for this association of the overall
3 strength, really there are only three most kind of, you
4 know, known things that every student should know that
5 come together -- appear to actually go together using these
6 data. When an agent is electrophilic or can be
7 metabolically activated, it's also likely to be genotoxic.
8 When it's metabolically activated or electrophilic, it's
9 also likely to cause cell death and compensatory cell
10 proliferation. And then genotoxicity and oxidative stress
11 also were co-occurring.

12 But what's important is that 42 other pairwise
13 comparisons were actually not significantly, you know,
14 associated with each other. And this was done, you know,
15 using, you know, again a particular type of analysis and
16 multiple testing correction to really have statistical
17 rigor in this comparison.

18 Now, when you look at just ToxCast data, you see
19 a few more patterns. Again, three of these key
20 characteristics had no data, so they were excluded from
21 the analysis. And again, it's, you know, not surprising
22 there is a lot more ToxCast data actually being
23 concordant.

24 --o0o--

25 DR. RUSYN: And I wanted to show you in a

1 different way, that it's not actually when one is yes, the
2 other one is yes as well. It's actually for seven out of
3 the -- for four out of those seven significant pairwise
4 comparisons, most of the information was actually driven
5 by not genotoxic, not epigenetic. And as you can see very
6 few of them were yes and yes positive. But for some of
7 them -- for some of these interactions between
8 receptor-mediated events, between cell proliferation, and
9 between oxidative stress, these were actually more
10 balanced. When one was no, the other one was no. And
11 when one was yes, the other one was yes. Again, these are
12 highly significant associations and I think they are
13 worthy of us thinking as to whether we may need to
14 actually run this many assays, while maybe some of the
15 assays actually are redundant, if we're thinking about
16 screening more compounds in the future.

17 --o0o--

18 DR. RUSYN: Now, this model system, you know,
19 when we have human data or rodent data, in vivo or in
20 vitro, are we more likely to call something strong, or
21 moderate, or weak? And really there are four different
22 types of comparisons we tried. So one is say when I'm
23 calling something strong versus something else, is the
24 particular type of data important? And the answer is no.

25 When you look at strong or moderate versus weak,

1 really there's only one significant result, you know, it's
2 more likely that there will be concordance or when -- is
3 genotoxic will be called a particular way, depending on
4 the mammalian and in this particular case again, it's
5 mostly rodent in vitro data. So I think it's an
6 interesting observation.

7 And in looking just strong and weak on the
8 opposites, it's the same trend as just genotoxicity in
9 mammalian in vitro. And then these moderate versus weak
10 again, there's really no significant result. So again,
11 what this tells me is that weight of evidence and expert
12 judgment is really, really important. And I don't think
13 AI can really kind of, you know, learn these trends and
14 then immediately start calling things. I think working
15 groups really spend a lot of time discussing and making
16 sure that they carefully call these things and they're not
17 just simply, you know, looking at patterns necessarily.
18 So again, these pairwise correlations being so weak tells
19 us that each agent is different, each data set is
20 different, and you really need to have expert judgment.

21 --o0o--

22 DR. RUSYN: So finally, it's the -- you know, the
23 question that I think worries most is whether the overall
24 strength, something being strong, moderate, or weak is
25 actually going to drive a mechanistic upgrade. And again,

1 of all of these pairwise correlations, only one is
2 significant. When something is genotoxic and the strength
3 of that evidence in a genotoxic key characteristic will or
4 is significantly determining the mechanistic upgrade.
5 When it's strong, you know, it is going to be upgraded,
6 but not always. As you can see, there is, you know, many
7 more were called strong and then these data were not used.
8 But when it's weak, then again it's highly likely they
9 will not be used.

10 So again, genotoxic is, as Kate called it, no
11 brainer key characteristic, but it still has to be applied
12 with a lot of caution, because a strong call in genotoxic
13 does not always, and actually less than half of the times,
14 leads to a mechanistic upgrade.

15 --o0o--

16 DR. RUSYN: So last slide that I have is I wanted
17 to again address this persistent comment that the -- those
18 who critique key characteristics have brought up, is that
19 these key characteristics lack specificity. And Dr.
20 Eastmond already asked this question as well. So myself,
21 and Dr. Chu, and a couple of our students recently put
22 together kind of, you know, these key characteristics
23 across seven different -icities that have been called, so
24 these were, that Dr. Cogliano showed already, from
25 carcinogens to cardio toxicants and others.

1 And then what you can see if again it's expert
2 judgment putting key characteristic and linearizing them,
3 because they're kind of the same at cell proliferation or
4 cell death. You can see that six out of seven -icities
5 for them this is a very important mechanism. Now,
6 oxidative stress and receptor-mediated events are
7 occurring in five out of seven. So again, this is
8 common -- you know, these are common types of mechanisms.
9 When you look at epigenetics and chronic inflammation, you
10 can see that this is across four different -icities than,
11 you know, genotoxicity and electrophilic activation and
12 hormone receptors really are occurring, you know, fewer
13 and far between. Even genotoxicity is not unique to
14 cancer. It also was -- it also was identified as a key
15 characteristic of both male and female reproductive
16 toxicants.

17 So again, you know, specificity is one issue.
18 How it is being used and interpreted is a completely
19 different one. Now, as you can see, there's, you know, a
20 few more that occur maybe in two. And then there's some
21 that are truly specific or selective. But again, they're
22 pretty narrowly focused. Most are for immunotoxicants.
23 These are very, you know, small things in immune cell, you
24 know, propagation and, you know, maturation.

25 The second one is for cardiotoxicity, this, you

1 know, receptor-mediated events and also the excitability
2 and, you know, ion channels. And then, you know, some
3 others have unique ones. But it's not surprising that
4 these key characteristics actually co-occur in different
5 -ities. What I think guarantees specificity for
6 everything except for human cancer is which cell types
7 that have -- they have been studied in. When you study
8 something in a hepatocyte and in a Kupffer cell, you know
9 you can pretty much actually attribute that to liver. But
10 for carcinogenicity, you have to look holistically. And
11 to add to Dr. Guyton's answer, I think that working groups
12 that I've participated on have always looked for target
13 tissues in animals and in humans and then looked for key
14 characteristics in cells from those particular target
15 tissues.

16 And then altogether, that actually provided some
17 additional specificity, but I don't think we can say that
18 oxidative stress is not a cancer mechanism. And the fact
19 that it's a mechanism of different -ities does not
20 diminish the information that we can actually get from
21 mechanistic studies. So with that, thank you very much
22 for your attention. I'll be happy to answer any
23 questions.

24 CHAIRPERSON LOOMIS: Thank you very much, Dr.
25 Rusyn. Let's go to the Committee and see if there are

1 again any questions of clarification or comments?

2 Well, I'm not seeing any immediately, so I'll
3 offer a comment and a question. So you mentioned that
4 there seems to be a preference for making the calls of
5 strong evidence based on data from exposed humans or
6 animals in vivo, mammals in vivo. And I think you
7 referred to it as a bias. I would say that's actually not
8 a bias, it's a desirable feature, you know, since it
9 should be getting us as close as possible to, you know,
10 the right test system.

11 DR. RUSYN: What I said it was out -- through
12 this analysis, we examined whether this was a bias or not.
13 For example, if we have human data, do we more likely to
14 call something as strong evidence for key characteristic.
15 And I think it's not really having those data, but
16 actually having the strength of the database and other
17 evidence as well. And so what my analysis shows that
18 there is actually very little bias in these evaluations.
19 So I am sorry if I was not clear in that.

20 CHAIRPERSON LOOMIS: Well, thanks. It looks like
21 Dr. Landolph has his hand up, so please ask your question.

22 You're muted. We can't hear you. You're muted.

23 COMMITTEE MEMBER LANDOLPH: Sorry. Yeah. Ivan,
24 very nice talk. What is -- what are the largest number of
25 key characteristics you've ever found in a carcinogen?

1 DR. RUSYN: So I'm going to the data, and I want
2 to say that for Group 1 carcinogens, that were evaluated
3 in that batch, again, 19 monographs, 73 different agents,
4 five agents went to Group 1. And two of those agents had
5 five key characteristics, two of the agents had two key
6 characteristics, and one of those agents had no key
7 characteristics. But again, it was, you know, the type of
8 dietary exposure that really is impossible to study
9 mechanistically. So again, you have to interpret all this
10 with obviously caution and appreciating the diversity of
11 things that IARC monographs are looking at.

12 COMMITTEE MEMBER LANDOLPH: All right. Thank
13 you.

14 DR. RUSYN: If you recall, from Vincent's
15 presentation, there were a couple three agents that had
16 eight or nine. But those were again known human
17 carcinogens that have been studied to death for the last
18 50 years, right? So -- and some of the things that the
19 IARC monographs have looked at more recently do not enjoy
20 as extensive of a database as some of the historical calls
21 by IARC.

22 COMMITTEE MEMBER LANDOLPH: And I'm guessing, you
23 know, for aflatoxin, which sticks in my mind, because it's
24 so disproportionately mutagenic once it's activated by
25 orders of magnitude over some of the carcinogens, I'm

1 guessing it's primarily activation and DNA adducts, and
2 mutation coming out of that, and you don't really need all
3 the other things. It's just so damn strong and
4 genotoxicity.

5 DR. RUSYN: But people do study things. And IARC
6 monograph working groups are looking at the entire
7 evidence base. So one would think that we will close the
8 book on some of the agents and stop studying them, but
9 that unfortunately is yet to happen, so...

10 COMMITTEE MEMBER LANDOLPH: Yeah, I agree
11 completely. Thank you very much.

12 CHAIRPERSON LOOMIS: Okay. I think Dr. Bush also
13 has a question.

14 COMMITTEE MEMBER BUSH: More of a comment than
15 anything. I just -- thank you Dr. Rusyn. This really
16 helps with our framework. I think, you know, our
17 challenge is trying to, you know, map these discrepancies.
18 You know, we have to make a black or white call on, you
19 know, a gray area. And, you know, I think this does help
20 very much in that deliberation, at least in my opinion.
21 So thank you for the presentation. It's very helpful.

22 CHAIRPERSON LOOMIS: Are there any other comments
23 or questions from the Committee?

24 Okay. Dr. Landolph, your hand is still up. I
25 don't know if you wanted to say something else or just

1 forget to put it down.

2 COMMITTEE MEMBER LANDOLPH: Thank you. I can't
3 get this thing to go down. Sorry.

4 CHAIRPERSON LOOMIS: Let's see. Dr. McDonald, it
5 looks like you just came on camera. Did you want to
6 comment or --

7 COMMITTEE MEMBER McDONALD: Yeah, I did want to
8 explore the topic a little bit further, Dr. Rusyn. You
9 know, there's the criticism of counting key
10 characteristics and doing limited versus strong. I just
11 want to get your perspective about -- I'm glad that you
12 brought in professional judgment and how -- I'm curious
13 how the IARC committees were viewing a lot of this where
14 do they view it in terms of a mechanistic story or an
15 adverse outcome pathway that leads to a specific tissue
16 type? I mean, are the upgrades to the observed tumor
17 types -- can you go into a little bit more about how
18 different groups have approached that?

19 DR. RUSYN: Yeah. So I cannot speak on behalf of
20 all of the groups, but since key characteristics were put
21 in place, I think I participated in three or four
22 monographs, and before that, and a handful as well, so --
23 and I chaired a mechanistic subgroup and chaired overall
24 monograph once, again in that period from 112 to 130. So
25 Dr. Guyton can kind of comment more from the staff

1 perspective, because staff's role is really to enforce the
2 rules and to make sure that the working groups are
3 sticking to the preamble, and not veering into these
4 endless mechanistic conversations.

5 So working groups really are instructed to,
6 first, collate the evidence using the systematic
7 literature search approach and then kind of look through
8 each of the key characteristics and the papers that have
9 been identified as relevant and containing data, and then
10 start making calls on strong, moderate, or weak, or again,
11 you know, whatever the strong, limited, and inadequate
12 terminology they were using.

13 So working groups would take these data in
14 isolation and look at each key characteristic and then,
15 you know, reach conclusion through the debate of the
16 strength of evidence, the internal/external validity
17 considerations, and other things.

18 And then altogether, then they would put this
19 information, and then they would look as to, you know,
20 what types of evidence you would have, and whether or not
21 this type of evidence would lead to a classification into
22 2B. As you've seen from the preamble, each of the
23 sections proposes its own classification using data within
24 their domain. So first, you kind of do the
25 classifications in your subgroup and then when you get

1 into plenary, you are actually comparing classifications
2 and you're saying, oh, we all came up with 2B. Great. So
3 there's, you know, human and animal data say this and
4 mechanistic data is supportive or human and animal data is
5 inadequate or limited, but mechanistic subgroup feels that
6 there's strong evidence for a number of key
7 characteristics, and they all come together. And with
8 whatever little data we have in animals, it's actually
9 highly concordant.

10 So then the discussion would be had to propose a
11 mechanistic upgrade. And there has to be a vote from
12 the -- you know, from the entire working group. And
13 sometimes you would have a minority opinion or, you know,
14 someone who's a dissenting vote, and that is written up in
15 the monograph. And it's an incredibly structured but open
16 and kind of logical process where you make decisions
17 internally, and then you actually, you know, compare your
18 decisions to other strength -- streams of evidence, and
19 then collectively you ultimately arrive at the final
20 classifications.

21 So I hope that describes it. And Dr. Guyton,
22 would you like to weigh in.? If my memories of last
23 in-person pre-COVID meeting are correct.

24 DR. GUYTON: Yeah. So, Dr. Rusyn, I think you
25 described it perfectly. I think the only thing I would

1 say to complement is that depending on the type of
2 evidence being considered, let's say it's a mechanistic
3 study in exposed workers and it's really an epidemiology
4 study. Well, then you may be able to pull in some
5 expertise who have that field experience to weigh in.
6 What's the quality of this study? Well, how does this
7 relate to other types of studies?

8 At the same time, I think both Dr. Rusyn and I
9 emphasized with so many of the -- for so many of the KCs
10 evidence coming from these chronic be it 90-day or the
11 longer term bioassay, you may want to get your veterinary
12 pathologist to go ahead and help review that information
13 before you -- as you're trying to judge, as I said, intern
14 -- it's the internal validity, how good is that study, how
15 strong is that evidence stand alone, and how does it fit
16 with the rest of what you're trying to wrestle with?

17 And I think that's one of the great strengths of
18 the monographs is really this interdisciplinary
19 opportunities where you have different experts from the
20 field who are able to say, hey, I know you don't really
21 know anything about maybe mechanistic information, but
22 you've done a lot of epi studies and I'm looking at this
23 epi study and, you know, what should I look for -- when --
24 you know, is this a really strong study or maybe it's not.
25 Maybe it's totally uninformative and I should just set it

1 aside. So I think those -- some of those, in addition to
2 all the points that Dr. Rusyn made, are part of this
3 expert judgment process.

4 DR. RUSYN: And, Dr. McDonald, again just to add
5 to your comment whether this is a really box checking
6 exercise, and we're counting cards, and then there's a
7 magic number. That is a very common concern of those who
8 really have not participated in the process either as a
9 participant or an observer. And I invite again those on
10 the kind of members of the general public who are, you
11 know, interested in how the -- these things happen as to,
12 again, you know, participate, you know, to submit their
13 name to the working group observer and then go and
14 actually have access to all of the deliberations and all
15 of the drafts. It's an incredibly open process for those
16 who have not experienced it.

17 But retrospective analysis that I've presented,
18 over 19 monographs and 73 different agents is really, you
19 know, looking in the past and asking a question, you know,
20 would we be able to check the boxes and call Group 2B, 2A,
21 or 1 just based on the type of evidence that we have as
22 kind of Dr. Besaratinia said, can we train AI to do this?
23 The answer, in my opinion is no. It's always context
24 dependent. It's always an expert judgment. It's always a
25 group decision, and you can have zero to five key

1 characteristics, and you can have five key characteristics
2 that are strong and still end up in 2A or 2B. So it's
3 really not a -- you know, a box check -- checking exercise
4 and hopefully again this analysis going retrospectively
5 over, you know, more than decade will, you know, appease
6 some of the criticisms and concerns that have been levied
7 on this particular process.

8 COMMITTEE MEMBER McDONALD: Thank you for those
9 perspectives. Appreciate it.

10 CHAIRPERSON LOOMIS: You know, as former IARC
11 staff, I might also comment on this notion of counting
12 KCs. You know, we have the same problem with
13 epidemiologic studies, right? There's a simplistic
14 tendency to say, well, we have this many positive and that
15 many negative, you know, and count the votes that way.

16 I don't think that's at all what happens with the
17 KCs. Just like with epidemiologic studies, the evaluation
18 of study quality is extremely important and we really need
19 to pay attention to that. Rather, I think this
20 retrospective analysis shows that the greater challenge is
21 that it's really difficult to get to strong unless you
22 have a lot of studies. And that also is probably a
23 necessary feature. You know, it makes sense logically
24 that you feel more confident in making a call when you
25 have more information, and that information is higher in

1 quality.

2 But I think that's one of the messages I took
3 away from looking at Dr. Rusyn's analysis that more data
4 is better, and, you know, that the more we have the more
5 likely we are to feel confident to make a call of strong
6 evidence.

7 DR. RUSYN: But we can also -- with more data, we
8 can actually lead to no relevance of that KC or more data
9 can lead to equivocal conclusions. So more data does not
10 mean a certain classification, I wanted to point that out
11 as well.

12 CHAIRPERSON LOOMIS: No, absolutely. And I think
13 that's, you know, the other side of the challenge, that
14 it's hard to say no effect when you don't have enough data
15 to demonstrate that. In fact, it's probably harder to do
16 that than to say, well, there is an effect or there might
17 be an effect.

18 Dr. Besaratinia, I think you had your hand up as
19 well.

20 COMMITTEE MEMBER BESARATINIA: Yeah. Dr. Rusyn,
21 great talk. Really enjoyed it. My question is, as you
22 know, there are numerous assays to evaluate each of these
23 KCs, and each of these assays have their own strength and
24 limitations. I'm wondering if there is any explicit
25 guidance on what methodological strengths and limitation

1 to consider when evaluating a potential agent -- an agent
2 for potential carcinogenicity? Does IARC provide such
3 guidance to its panel or working group?

4 DR. RUSYN: Excellent question. And I will
5 invite Dr. Guyton to weigh in on this as well, because she
6 actually has done some of the analysis that she showed in
7 those posters along those lines. Let me just start by
8 saying there's really two types of mechanistic evidence
9 that the working groups have looked at since key
10 characteristics were put in place. One is just kind of
11 your regular as you go publications. People do, you know,
12 whatever they are funded to do or they're please to do.

13 They use assays and methods that they think are
14 most appropriate and that's largely unstructured, you
15 know, data set. But it's -- you know, it's organic data
16 set. It's incredibly rich and informative. And then on
17 the other hand, you have ToxCast data that is standard
18 package that most of the compounds have been run through.
19 And when we map those ToxCast assays to key
20 characteristics, as I already said, only seven out of 10
21 could be met. And in reality, if you really press a
22 mechanistic toxicologist, like are those assays really
23 relevant? Probably, there's three or four key
24 characteristics, and the coverage of that particular key
25 characteristic is still limited.

1 So it's a great data set. It's standardized.
2 You have hundreds of chemicals run through it, which means
3 that you can put your chemical on the ranked scale with
4 other known carcinogens, you know, oxidative stressors, or
5 receptor activators, and really say, well, yeah, it
6 activates receptors, but it's in the bottom 80 percentile
7 and I probably should not really pay too much attention to
8 it.

9 So those two different things are what working
10 groups are looking at. What you're asking is is number
11 three, is this perfect list of assays that if we would
12 only arrive at it then, and run every chemical, then we
13 truly can make an informed decision. And that's largely
14 unattainable. However, what the AOP universe is trying to
15 do, so adverse outcome pathways, they're trying to really,
16 you know, put these boxes together in sequence for a
17 particular, you know, exposure to the outcome, and then to
18 see which of the in vitro assays really match those boxes.
19 So kind of can you reconstruct an entire process with in
20 vitro assays and maybe some in vivo assays?

21 And this is an incredibly painstakingly and long
22 and hard process. And in the last 10 plus years that AOP
23 concept has been around, there are only really two adverse
24 outcomes for which that exists to a degree where
25 regulators are now comfortable using it. One is a skin

1 sensitization, the other one is very recent and it's not
2 an official OECD guidance yet to my knowledge, but should
3 be published this year on developmental neurotoxicity.

4 So groups of experts got together and said, we
5 agree that this how is happens and then we agree that we
6 have assays to probe each step. The question is now can
7 we do the same for cancer? And as a mechanistic
8 toxicologist I think maybe, but we are not there yet. So
9 hopefully, that answers your question to a degree. And
10 Dr. Guyton, would you like to maybe weigh in on the
11 analysis you've done with Dr. Smith?

12 DR. GUYTON: Yeah. So first of all, great
13 question and second of all, great answer from Dr. Rusyn.
14 I might complement it by just saying, you know, for
15 some -- for some of these KCs we do, we as a community
16 have standardized assays. Dr. Eastmond is much more of an
17 expert in this area when it comes to these -- for example,
18 like the genotoxicity battery. So this is a kind of
19 standardized test and you do have -- like you have in
20 ToxCast, you have so many chemicals that have been
21 screened through that, and it's a little perhaps easier to
22 judge for any individual chemical based on this wealth of
23 experience, what's quality assay and how to interpret the
24 results.

25 But even there, there was a publication recently

1 that just said, you know, there's still a lot of chemicals
2 that may be on the bubble. Nobody can really decide.
3 They get screened and re-screened. Sometimes they're
4 positive, sometimes they're negative. And those -- for
5 those more questionable cases, it's always going to be
6 hard to make the call when there's -- when there's gray
7 area.

8 Having listened to many, many working groups, I
9 would say, you know, if you're in a gray area, strong is
10 not a gray area. Strong is an area where you have colored
11 things in in a bold and you're ready to say if more
12 research were done -- were done, it would not change my
13 conclusion. I feel like this has been adequately studied
14 and explored, and I'm ready to kind of call it a day. And
15 it doesn't mean that more things will be found -- won't be
16 found later, but at least we're able to kind of put a
17 marker on where we are, you know, bearing in mind
18 assessments are snapshots in time, right, so --

19 DR. RUSYN: Just sort of to add, I believe as Dr.
20 Bush who said that, you know, the CIC needs to make a kind
21 of -- you know, a clear cut decision and so do working
22 group -- working groups at IARC, and so does IRIS Program,
23 or Division of the National Toxicology Program. And what
24 they -- those organizations have found, is that key
25 characteristics really helps them with making those

1 decisions, because you're making decisions in a smaller
2 universe. You're not looking at the entire mode of action
3 analysis, where things can go left, right, and sideways.
4 You're actually looking at each key characteristic.
5 You're zeroing in on relevant evidence. And even if it's
6 voluminous evidence, you're still looking at
7 internal/external validity, the strength of evidence, the
8 -- you know a Bradford Hill criteria, whatever you want to
9 name it. Strong decisions are reserved for cases where
10 everyone agrees that this is it. And as I've showed you,
11 again only one-eighth of the time working group having had
12 an agent and a set of key characteristics had concluded it
13 as strong, and 67 percent of the time, it actually made no
14 conclusion at all.

15 So I think this is highly informative and it
16 shows how difficult, and how high the bar is, and how
17 diligent the experts are. These are, you know, not bunch
18 of cowboys, you know, myself excluded who are riding in
19 and, you know, blazing saddles, and just shooting at
20 everything that goes there. So, you know, hopefully you
21 see this from the data rather than just from the experts
22 who have participated.

23 COMMITTEE MEMBER BESARATINIA: Thank very much.
24 It was very helpful, both Ivan and Kathryn. I appreciate
25 it.

1 CHAIRPERSON LOOMIS: Well, thank you, everybody.
2 Thanks, Dr. Rusyn and Committee members. We are
3 approaching our designated lunch time. So unless there
4 are any other burning questions from the Committee, I'm
5 going to call a close to this questions and answer
6 sessions. I'm not seeing any hands, so at this point
7 then, I will turn it over to Chief Counsel Carolyn Rowan
8 to give the warning about the State of California Open
9 Meetings law.

10 CHIEF COUNSEL NELSON ROWAN: Thank you. I just
11 want to remind the members quickly that during breaks,
12 like the lunch break, you shouldn't talk amongst
13 yourselves about the subject matter of the meeting, and
14 that includes phone calls, texts, and chat.

15 My recommendation would be that you also don't
16 talk to third parties about the items being discussed on
17 the break. And if you do, you should -- you should
18 disclose the fact that you had a discussion with someone
19 on the break and give the general content of that
20 discussion, so it's part of the public record. It's just
21 best to chat about something else over lunch.

22 And that's it for me for now.

23 CHAIRPERSON LOOMIS: Okay. There you have it.

24 So I will propose that we adjourn now for lunch.
25 The agenda gives us 45 minutes. So it's almost 12:20 and

1 that brings us back at 1:05. So if that's agreeable to
2 everyone, let's come back at 1:05 and we'll resume the
3 meeting at that time.

4 DR. RUSYN: Dr. Loomis, a quick question, if I
5 may?

6 CHAIRPERSON LOOMIS: Sure.

7 DR. RUSYN: Do invited speakers need to be
8 present after lunch as well, because the agenda, you know,
9 involves some of the other topics. So I just was
10 wondering if and when you'll be releasing us?

11 CHAIRPERSON LOOMIS: Well, I didn't know that was
12 up to me.

13 DR. RUSYN: All right. Well, then can we ask the
14 lawyers?

15 CHAIRPERSON LOOMIS: Let's let Lauren comment on
16 that.

17 DIRECTOR ZEISE: Yeah. Hi, you know, we are
18 going to have an opportunity for public comment after
19 lunch and it would be great if you and Kate would be able
20 to join the discussion. So if you're able to join after
21 lunch, that would be wonderful

22 DR. RUSYN: Great. Okay. That's answers my
23 questions, so we'll reconnect in 45 minutes. Thank you

24 DIRECTOR ZEISE: Thank you so much.

25 CHAIRPERSON LOOMIS: There you have it. Thank

1 you.

2 (Off record: 12:20 p.m.)

3 (Thereupon a lunch break was taken.)

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

AFTERNOON SESSION

(On record: 1:05 p.m.)

CHAIRPERSON LOOMIS: Well, good afternoon again. It's the appointed time for the meeting to reconvene. So I'm going to ask the Committee members who are present to come on camera for just a minute, so we can take stock of who's here.

All right. It looks like we may be missing one or two. So we'll wait for just a minute before we reconvene.

All right. Well, I think that's long enough to wait. Hopefully, the remaining member or members will rejoin momentarily. It's now time for the opportunity for public comment. So let's turn to Amy with the slide with instructions on providing public comment. And I'll briefly review that.

Okay. So in order to make a comment, you must be in the Zoom meeting. So the instructions are shown here and you may have received them already through the OEHHA webpage. If you want to make a comment, you can click on the raise hand icon to indicate that you'd like to speak. And then when your name is called, you'll be prompted to unmute yourself and identify yourself with your name, and affiliation, and give your comment. Comments will be limited to five minutes.

1 So let's go ahead and see whether there are any
2 commenters waiting to speak.

3 MS. VAGHEFI: There is one raised hand by Jessica
4 Ryman-Rasmussen. I am going to give you permission to
5 unmute yourself and then you will have five minutes.

6 DR. RYMAN-RASMUSSEN: Okay. Thank you. Hello,
7 everyone. I'm Jessica Ryman-Rasmussen. I work for the
8 American Chemistry Council, ACC. ACC is a trade
9 association that represents the leading trading -- that
10 represents the leading businesses or companies engaged in
11 the multi-billion dollar of chemistry. And I am -- I am
12 commenting on behalf of ACC today.

13 So we've been discussing today the key
14 characteristics of carcinogens. But unlike the
15 specificity of silicosis from silica dust, not all of the
16 KCCs are specific to the endpoint of carcinogenesis.
17 Induces chronic inflammation could be said to be a key
18 characteristics for acne vulgaris, while induces oxidative
19 stress and alters cell proliferation, cell death, or
20 nutrient supply could be said to be key characteristics of
21 exercise and wound repair respectively.

22 Therefore, it's not clear that the KCCs should be
23 used for regulatory decisions. Seven years ago, the KCCs
24 were proposed as a basis for organizing mechanistic data.
25 However, since that time, key characteristics for other

1 endpoints have been proposed and use of the key
2 characteristics has expanded, in some cases, to directly
3 informing hazard identification.

4 Becker et al. in 2017, which was a study funded
5 by ACC, and ACC -- and Rick Becker is one of my colleagues
6 here at ACC evaluated whether key characteristics of
7 carcinogens could distinguish carcinogens from
8 non-carcinogens in a study entitled *How Well Can*
9 *Carcinogenicity be Predicted By High Throughput*
10 *"Characteristics of Carcinogens" Mechanistic Data?* This
11 study used U.S. EPA's ToxCast data of effects of chemicals
12 in mechanistic assays - so this is bioactivity data - and
13 mapped these assays and data to seven of the 10 KCCs.
14 They compared the results to U.S. EPA's previously derived
15 cancer classification for the same chemicals, conducted
16 extensive statistical analyses, and used machine-learning
17 algorithms to evaluate the predictiveness of KCCs to
18 distinguish or predict EPA designated carcinogens from EPA
19 designated non-carcinogens.

20 The results clearly showed that bioactivity
21 corresponding to the so-called key characteristics of
22 carcinogens was no better than chance in predicting cancer
23 classifications. Since that time, studies by Bus in 2017,
24 and Goodman and Lynch in 2017 have raised concern with
25 using the KCCs as a tool for assessing cancer hazards. In

1 Smith et al. in 2021, recently affirmed that the KCCs are
2 too broad and nonspecific for evaluating the potential
3 cancer hazards of chemicals. These findings raise
4 legitimate questions about the value of the KCCs. The
5 KCCs have no value in hazard identification, as evidenced
6 by the 2017 study by Becker et al. showing they predict
7 cancer classification no better than a coin toss -- coin
8 toss.

9 The KCCs also have no value for just organizing
10 information because of the potential risk of anchoring
11 errors. The Merck manual describes anchoring errors as
12 quote, "When clinicians steadfastly cling to an initial
13 impression, even as conflicting and contradictory data
14 accumulate," end quote. Here the name itself, "Key
15 Characteristics of Carcinogens," instead of, for example,
16 "Key Characteristics of Potential Carcinogens," contains a
17 conclusion, even though some of the KCCs are not specific
18 to carcinogenicity.

19 Interestingly some of the KCCs, such as oxidative
20 stress, sustained or receptor activation, which is a type
21 of modulate receptor-mediated effects and cell
22 proliferation have been proposed as key events and modes
23 of action, or MOAs, published before the KCCs.

24 However, because --

25 MS. VAGHEFI: One minute.

1 DR. RYMAN-RASMUSSEN: -- because some in the
2 scientific community regard KCCs as new and different, the
3 use of KCCs is not necessarily subject to the formal
4 causality criteria of the IPCS mode of action framework
5 for carcinogens or the OECD AOP guidance which were
6 developed for regulatory use. These concerns raise
7 questions about how KCCs should be used, if at all. Meek
8 and Wikoff in 2023 proposed good practice that assimilates
9 KCCs into an integrated M -- AOP and MOA pathway
10 construct, essentially using KCCs as a means to identify
11 key events.

12 This is consistent with earlier conclusions in
13 Becker et al. In 2017 for incorporating mechanistic data
14 into cancer hazard evaluations, we specifically recommend
15 adoption of the AOP or MOA framework that articulates
16 toxicity pathways, comprised of sequences of key events
17 starting with an initial molecular event followed by a
18 series of key events linked to one another ultimately
19 resulting in a specific adverse outcome.

20 MS. VAGHEFI: Thirty seconds.

21 DR. RYMAN-RASMUSSEN: In closing, we encourage
22 the CIC to conduct its own risk assessment benefit of the
23 regulatory use, give the concerns we've noted. We
24 appreciate the opportunity to comment.

25 MS. VAGHEFI: All right. Thank you. I don't see

1 any more hands raised.

2 DIRECTOR ZEISE: Okay. Is Dana on?

3 And if not, I wonder if any of the speakers have
4 anything they would like to say in -- considering the
5 public comment or -- and then maybe the Committee?

6 DR. RUSYN: You know, I appreciate Dr.
7 Ryman-Rasmussen's comments. I think I already provided
8 very similar points without seeing actually her written
9 comments. All of the papers that she mentioned were
10 already included in my presentation. I did not have a
11 specific point-by-point response. I just wanted to add
12 one thing which is I agree that ToxCast data by themselves
13 cannot be used to predict anything. But this is again not
14 how key characteristics are being used. They are used to
15 organize all of the evidence available, including ToxCast.
16 And as I have showed you in my analysis, ToxCast data are
17 useful to show that the key characteristic is actually not
18 involved.

19 So for completeness sake, they are incredibly
20 useful. But I am not aware of a IARC monograph working
21 group reaching a conclusion about strong key
22 characteristic using ToxCast data alone. Again, I was
23 just recalling reading through these 19 monographs and all
24 of the things, I don't believe I have encountered such a
25 case. So it's a very useful analysis, but it's an

1 analysis that is really, you know, irrelevant to the
2 information that I present.

3 DIRECTOR ZEISE: Thank you, Dr. Rusyn. And I
4 also see that Dr. Guyton's hand is up, so if you'd like to
5 comment.

6 DR. GUYTON: Yes. Thank you for that opportunity
7 and I've really appreciated these comments and
8 perspective. I would echo what Professor Rusyn has said
9 the Becker et al. publication, which we have read with
10 great interest, really concern that ToxCast data. And as
11 I mentioned and Dr. Rusyn mentioned, that hasn't actually
12 been informative for IARC monograph evaluations. It
13 doesn't mean that it's not useful at all. The monographs
14 are really into this question, does the substance cause
15 cancer? And this particular database is not designed to
16 answer that question, so it's not really surprising that
17 this analysis produced those results.

18 I do think it highlights some opportunity that
19 several of us highlighted for future progress to develop
20 assays that are much more aligned with the KCs and really
21 begin to explore how they can be exploited in different
22 types of experimental systems, including in epidemiology
23 studies. As I mentioned, I think occupational cohorts are
24 a great opportunity and perhaps not as tapped as they
25 might be given all of the concerns Dr. Loomis raised about

1 the changing landscape of what those exposures are and the
2 types and the natures of the studies. You know, we have
3 the opportunity to be much more sophisticated now. And I
4 think we want -- all want to be prepared to evaluate that
5 evidence when it -- when it is available and to use it to
6 make these very critical judgments.

7 So -- and again, with respect to the mode of
8 action framework, I think this is -- this is asking mainly
9 a different question than the KCCs as I framed it. The
10 KCCs kind of give you a different PECO question, which is
11 does -- is the agent genotoxic? That's really -- it's a
12 much simpler question than what is the flow diagram, if
13 you will, from exposure to outcome? That is a much more
14 complicated question. And the KCCs intend to kind of
15 break that down.

16 I think as Ivan highlighted, this can really help
17 when you're making an expert judgment, because like
18 anything, if you break it down, it's easier to see -- to
19 get some clarity on what actually you're deciding on. So
20 those are my perspectives. I'm happy to continue the
21 dialogue, either separately or in any format to clarify
22 any misunderstandings.

23 DIRECTOR ZEISE: Thank you, Dr. Guyton.

24 I see that Dr. Cogliano's hand is up. And you
25 need to unmute.

1 DR. COGLIANO: I'm sorry about that. I'd first
2 like to make the point that we did not call them the key
3 characteristics of potential carcinogens because they were
4 developed with the database only of 100 known human
5 carcinogens. We didn't want the key characteristics to be
6 possibly tainted with agents that their -- the
7 carcinogenicity was in doubt. These are all carcinogens
8 that everybody recognized, so they are the key
9 characteristics of known carcinogens.

10 I'd like to take the opportunity to say I was
11 really impressed with some of the analysis that Dr. Rusyn
12 presented and how they match with the initial analyses
13 that Dr. Krewski and colleagues have done on the original
14 hundred carcinogens.

15 The -- I think we had about five or six on
16 average of the known human carcinogens, five or six key
17 characteristics were positive. And I think Dr. Rusyn
18 showed that a smaller amount is -- has been found in the
19 more emerging carcinogens in the last 20 or so IARC
20 monographs. So that one shows that working groups are not
21 running wild with a few key characteristics and
22 classifying carcinogens. There's still animal and human
23 data involved there. But also, I think it shows that the
24 more you study an agent like DES, like trichloroethylene,
25 like diesel engine exhaust which had eight or nine key

1 characteristics, you're going to find more things and
2 people want to find out more about how they're operating
3 once they know that they are and they're likely to be
4 carcinogenic.

5 So I think that there's a good correspondence
6 there that -- between the initial carcinogens that were
7 identified from -- from primary occupational studies and
8 well known mutagens in the 1970s to have more key
9 characteristics than the emerging carcinogens now. And
10 also in Dr. Guyton's presentation, I think that they do
11 show a real caution or care in applying key
12 characteristics to questions. And I think that's the
13 thing we've got to do.

14 We have to recognize the key characteristics do
15 come from known carcinogens from analysis partic -- where
16 there are a lot of experts participating. And it's how we
17 apply it that's going to be the issue in the future.

18 So thank you.

19 DIRECTOR ZEISE: Thanks, Dr. Cogliano.

20 I see that -- I see Dana's connecting to audio,
21 so -- sorry, Dr. Loomis is connecting to audio. So
22 hopefully, he will join us shortly. Maybe if we could
23 just take a little pause for a minute or two and try to
24 get him online.

25 Welcome back, Dr. Loomis. So, yes, we've just

1 had a discussion following public comment. And thank you
2 for joining on. And I'll turn it over to you. I see that
3 Ivan Rusyn's hand is up.

4 CHAIRPERSON LOOMIS: Okay. Very good. Yeah, my
5 apologies. We're having some thunderstorm activity here
6 and my computer shut down unexpectedly. And so it took a
7 while to get back on, but I am here now.

8 So we can continue. So Dr. Rusyn, I see your
9 hand is up again, so please go ahead.

10 DR. RUSYN: Yes. Thank you. I just wanted to
11 cover one more topic that Dr. Ryman-Rasmussen has brought
12 up. And this has again been something that has endured
13 quite a bit of conversation is key characteristics,
14 adverse outcome pathways, MOA framework. And I think this
15 is really interestingly described in the 2023 Meek and
16 Wikoff commentary in Toxicological Sciences that they put
17 together based on a 2022 symposium that happened at the
18 SOT meeting a year ago -- year and a half ago almost now.

19 The point of all of these and which one is better
20 is really, in my opinion, irrelevant. I think what is
21 important is what is the question, and what is the
22 database, and what is the process. And U.S. EPA IRIS
23 Program, NTP report on carcinogens, IARC Monograph Working
24 Program have included key characteristics as part of their
25 process. And they're using them in accordance with the

1 process that has been described.

2 The key difference between adverse outcome
3 pathway and the key characteristics is that adverse
4 outcome path -- well, there's several and I'll just try
5 to, you know, stick to two. One is AOP is really a
6 crowdsourcing type of activity where anyone can start an
7 AOP, go to AOP if they key -- propose a key event and the
8 adverse, you know, event, and then try to link them. And
9 these really are typically chemical agnostic. They're
10 just describing like, you know, something like skin
11 sensitization or developmental neurotoxicity where it's a
12 process.

13 So in reality, carcinogenesis is a process. So
14 there are some adverse outcome pathways that have been
15 proposed for certain types of, you know, cancers. The
16 challenge with AOPs is that again they have not been
17 really used to make a decision on a particular chemical.
18 They have been used so far, as I mentioned, to organize
19 new approach methods or in vitro, in silico and maybe
20 short-term animal assays into a battery of assays to
21 address a certain icity. And these -icities have been
22 very specifically, so skin sensitization, developmental
23 neurotox are very narrow in scope where again it took more
24 than a decade for, you know, OECD, working groups to get
25 together and to really map assays to, you know, parts of

1 the adverse outcome pathway.

2 And this perhaps can happen for cancer overall,
3 or for organ-specific cancers, or for cell-specific
4 cancers of different organs. So, for example, in breast
5 cancer the luminal to basal, the, you know HER2 positive
6 and negative and ER positive and negative. And for those
7 perhaps adverse outcome pathways can be built, but the
8 question is do we sit and wait for this to happen or do we
9 actually move forward in trying to break a complicated
10 process such as carcinogenesis into a finite number of key
11 characteristics and then evaluate evidence within each,
12 come to conclusions of strength, and then to try to
13 reassemble all of that together?

14 And as I've showed you, this process has been
15 applied to more than hundred agents in the last 10 years.
16 And to my knowledge, AOPs really have not been used in any
17 particular decision. And we all know that mode of action
18 framework, you know, can be used, you know, according to
19 the -- in eye of the beholder really it's -- you know,
20 it's not a very stringent or process that will replicate
21 itself if you put a different group of experts together
22 with the same question.

23 The strength of IARC experience is that there has
24 been 73 and now more and counting agents evaluated by
25 different experts, using the same framework. And the

1 analysis that we presented really shows the patterns. And
2 in my opinion, those patterns are not concerning to me
3 from a point of a view of some sort of a bias or something
4 like that. So hopefully that's what the CIC will take
5 away from it rather than to look for, you know, a -- you
6 know, a better way to do things.

7 Unfortunately, there is not a better way. There
8 are different ways, but those other ways really have not
9 been applied as much as key characteristics in trying to
10 do systematic review type analysis.

11 CHAIRPERSON LOOMIS: Thank you for that response.
12 Let's see if any members of the Committee would like to
13 comment on what we've just heard.

14 Dr. Guyton.

15 DR. GUYTON: Yes. And I would certainly defer to
16 any Committee members. But, you know, I think perhaps
17 maybe either from Dr. Loomis or Dr. Cogliano in your
18 experience at the monographs, these classifications that
19 emerge are not linked always to a specific cancer type.
20 So we know that there are many, many different types of
21 cancer. I talked about breast cancer now being the
22 leading cause, and it is not one disease. It's many,
23 many, many different diseases and we see that.

24 But if we compared that to let's say an
25 epithelial tumor, like a colon tumor, it is really going

1 to have a different type of classification. And I think
2 the case -- the K -- the key characteristics are kind of
3 endpoint free, if you will. So you're able to make a
4 classification from animals that is not necessarily going
5 to say this agent causes or might cause lung cancer, or
6 this cancer, or that cancer. We do not have that ability
7 today. And generally, that -- that's coming more from the
8 epidemiologists, so I don't know Dana if you wanted to
9 comment on that.

10 CHAIRPERSON LOOMIS: Well, the whole notion of
11 specificity in causation is pretty interesting actually.
12 And, you know, I think perhaps it's -- there's a
13 philosophical desire to achieve specificity in causation,
14 but we don't often see that except in infectious disease,
15 which is a special case, because the whole nomenclature
16 and taxonomy of those diseases was completely redefined
17 after the development of microbiology.

18 So our understanding of causation of those
19 diseases can be specific, because that's the way we
20 defined them to be. But I think -- you know, we don't
21 even see that with great clarity in terms of cancer
22 epidemiology. I think one of the comments that was made
23 earlier, which I would echo is that most of the
24 carcinogens discovered in the first 40 years of the IARC
25 monographs turned out to be lung carcinogens. I don't

1 think that's necessarily because, you know, that's a
2 unique causal pathway. I think it has more to do with the
3 properties of lung cancer and the nature of exposure in
4 the places that the studies that identified those
5 carcinogens were being conducted.

6 So I don't think we should make too much out of
7 the search for specificity, either in the type of outcome
8 that's associated with a certain exposure or in the
9 association of cancer with particular key characteristics.
10 That doesn't seem to me to be a weakness that the key
11 characteristics are not specific.

12 Any other comments? Vincent, did you want to add
13 to that or any other Committee members?

14 DR. COGLIANO: Well, I don't think I have
15 anything to add to that. I think those are very good
16 points you made, particularly with the older carcinogens
17 from IARC. They are a very different subset than the
18 carcinogens that are emerging today.

19 CHAIRPERSON LOOMIS: Yeah. All right. Let's
20 just see whether other members of the Committee would like
21 to speak to any part of the discussion that we just had
22 before we close the public comment section.

23 Okay. My understanding is that there are no
24 other public comments, is that correct?

25 MS. VAGHEFI: There are no other raised hands for

1 public comments.

2 CHAIRPERSON LOOMIS: Very good. So at this
3 point, we will close the public comment opportunity and
4 move on to the next agenda item.

5 But the agenda indicates a break at this time.
6 It seems like we just had a break, so I'd like to take the
7 opinion of the Committee and the staff members about
8 whether we should proceed for a little bit and then take a
9 break later.

10 DIRECTOR ZEISE: Yes, I think it's fine to take a
11 break later. And I would just, you know, ask if there are
12 any additional questions or discussion with our speakers.
13 And if not, I think we can thank them, unless they would
14 like to stay for the discussion of the -- excuse me --
15 analysis of cancer data, but --

16 DR. RUSYN: Well, I appreciate the opportunity,
17 but again, if there are any follow-up questions, please do
18 feel free to ask and by email or any other means. So
19 thank you.

20 CHAIRPERSON LOOMIS: Thank you.

21 DR. GUYTON: Likewise. And I appreciate the
22 opportunity to visit with you all today. It's been a
23 pleasure.

24 DIRECTOR ZEISE: Yes. And on behalf of OEHHA,
25 I'd like to thank you for joining the discussion, and your

1 presentations, and the great discussion. So thank you.

2 COMMITTEE MEMBER EASTMOND: Thanks, Kate and
3 Ivan.

4 CHAIRPERSON LOOMIS: Thanks. Thanks to both of
5 you. Enjoy the rest of the day.

6 Very good. So let's move on to the next agenda
7 item, Analysis of Tumor Data from Animal Carcinogenicity
8 Studies. I will turn the floor back to Dr. Cogliano for
9 this one.

10 (Thereupon a slide presentation).

11 DR. COGLIANO: Thank you very much, Dr. Loomis.
12 So we're going to be discussing in the next hour or so a
13 few topics pertinent to the analysis of animal tumor data.

14 At OEHHA, we evaluate a large number of chemicals
15 and we wish to be able to compare data sets, to compare
16 tumor types within a chemical, to compare different sexes,
17 strains, and species, and even to facilitate on comparing
18 across chemicals for California EPA regulatory offices,
19 which sometimes have the mandate to choose the safest
20 chemical for a particular application.

21 So to facilitate these comparisons, we strive to
22 have standardized methods. Now, for consistency and
23 transparency these methods are described in OEHHA
24 guidelines, which were developed with knowledge of what
25 was also happening at the U.S. EPA, at the NTP, and IARC

1 and in other places -- authoritative places that do these
2 assessments. These guidelines design -- describe a
3 framework for data analysis that tries to make good use of
4 all available data.

5 They describe general methods that can be applied
6 to the generally available data set, but also that allow
7 for a series of reasonable contingencies to be used, when
8 data are less than ideal, so that the analysis can proceed
9 in the face of less than perfect data.

10 So the next you're going to hear examples of some
11 of these contingencies, for example, what happens if we
12 have less than ideal information on the number of animals
13 at risk and an experiment where we have less than ideal
14 information on the appropriate comparison group.

15 So I'd like to first -- introduce our first
16 speaker. Rose Schmitz from OEHHA to talk about some of
17 the issues in animal tumor analysis.

18 Rose.

19 MS. SCHMITZ: Thank you Vince. Good afternoon,
20 everybody.

21 --o0o--

22 MS. SCHMITZ: Today, Dr. Hsieh and I will present
23 a few topics related to animal cancer bioassay data as
24 they pertain to OEHHA's hazard identification documents.
25 I'll begin by covering some of the scientific principles

1 we consider when we analyze animal cancer bioassay data.
2 I'll then discuss a few of these principles in more
3 detail, specifically how tumor incidences are presented,
4 including the use of effective number in the denominator
5 and the statistical tests used to determine significant
6 increases in tumors.

7 I'll also touch on the concept of multiple
8 comparisons before breaking for clarifying questions. Dr.
9 Hsieh will conclude this portion of today's presentation
10 by discussing considerations about controls in assessing
11 treatment related effects, including the assessment of
12 rare tumors before breaking for clarifying questions
13 again.

14 --o0o--

15 MS. SCHMITZ: When we valuated and analyze animal
16 cancer bioassays for hazard identification purposes, we
17 strive for consistency by taking a systematic and
18 scientifically-supported approach. We do not simply
19 report authors' analyses and conclusions. Rather, we take
20 into consideration aspects of study design, such as study
21 length, dosing regimen, number of animals placed in each
22 group, and more, and we conduct our own analysis in
23 accordance with standard practices of critical analysis.

24 We always review any reported increased tumor
25 incidences. However when additional histopathology data

1 are available, we also look for other tumor sites where
2 there are apparent increases. Where appropriate, we
3 perform standard, widely-accepted statistical tests to
4 evaluate the significance of increases, namely the
5 Fisher's exact test for pairwise comparisons and the exact
6 trend test to assess trends.

7 When reduced survival occurs -- can you all hear
8 me? Okay. I heard an echo.

9 When reduced survival occurs in a study, we'll
10 examine the cause if the information is reported. Often
11 reduced survival can result from treatment-related tumors,
12 but there are other causes as well, such as competing
13 toxicity, viral outbreaks, and more.

14 Another important consideration is the timing of
15 tumor occurrence. We want to understand whether most
16 animals survived until the first occurrence of tumor at a
17 particular site. For example, in the survival curves
18 pictured on the right-hand side of the slide, there is
19 significantly reduced survival in the high dose group
20 compared to the control and the other treated groups. We
21 can see that the curve corresponding to the control -- oh,
22 sorry, corresponding to the high dose group, represented
23 by the open squares, diverges from the other groups early
24 in the study and appears to decline at a faster rate. The
25 control group is represented by the filled squares, the

1 low-dose group is represented by the open circles, and the
2 mid-dose group is represented by the open triangles.

3 Suppose one of the tumor types of interest in
4 this study first appeared in week 76, the dark red dotted
5 rectangle highlights that while over 90 percent of the
6 animals in the control, low-, and mid-dose groups were
7 alive and at risk of developing a tumor of that type at
8 week 75, fewer than 70 percent of the animals in the
9 high-dose group were alive at that point in time. If the
10 original number of animals in each group was 50, this
11 means that around 15 animals in the high-dose group did
12 not survive long enough to develop the tumor, and using
13 the original group size as the incidence denominator would
14 not correctly reflect the number of animals at risk.

15 Whenever individual animal data detailing the day
16 or week of death for each animal is available, we use that
17 information to adjust the incidence denominator and
18 present the effective number of animals at risk of
19 developing a particular tumor. This is a more precise
20 representation of the fraction of animals at risk than
21 simply using the original group size for the incidence
22 denominator.

23 --o0o--

24 MS. SCHMITZ: As I just mentioned, whenever
25 possible, tumor incidence for a given tumor type is

1 expressed as follows: the numerator is the number of
2 tumor-bearing animals in a given treatment group and the
3 denominator is the effective number of animals for that
4 group, that is the number of animals alive at the time of
5 first occurrence of the tumor and examined at the site.

6 One of the key factors that effects how we're
7 able to present data and hazard identification documents
8 is the level of detail of the data we have access to. For
9 example, NTP through the chemical effects and biological
10 systems database makes available individual animal data.
11 However, we often don't have access to such detailed data
12 from studies reported in the literature. When information
13 on time of occurrence of tumors or time of death is not
14 reported, OEHHA may report denominators, which reflect the
15 number of animals examined at the site, if that's provided
16 by the study authors. Other times, the number of animals
17 in the treatment group is used as the denominator.

18 OEHHA will always provide a table footnote
19 clarifying the type of incidences presented. And the
20 definition of effective number is consistent with the IARC
21 preamble.

22 --o0o--

23 MS. SCHMITZ: Like NTP, many U.S. EPA programs,
24 and IARC, OEHHA uses the one-sided Fisher's exact test to
25 assess pairwise significance between the control group and

1 each treated group. To assess the significance of
2 dose-response trends, OEHHA has long used the exact
3 conditional Cochran-Armitage trend test.

4 Under the null hypothesis of no effect, it's
5 assumed that the standard Cochran-Armitage test statistic
6 is asymptotically normally distributed, and this is
7 reliable when sample sizes are large and balanced. With
8 the availability of improved computing power since the
9 original derivation by Cochran and Armitage in the 1950s,
10 Williams showed in 1988 that the exact conditional
11 Cochran-Armitage test is robust to small and/or unbalanced
12 sample sizes, such as those frequently used in animal
13 cancer bioassays.

14 Modern statistical software programs, such as SAS
15 and R, contain built-in functions to run the exact
16 conditional test and obtain its p-value, and the exact
17 p-value is calculated using an algorithm developed by
18 Mehta and colleagues in the Biostatistics Division of the
19 Harvard School of Public Health in 1992.

20 --o0o--

21 MS. SCHMITZ: The concern of multiple comparisons
22 in statistical testing has been raised in the past as it
23 applies to the pairwise and trend tests performed by
24 OEHHA. I want to start by clarifying that it's not
25 OEHHA's practice to perform statistical tests on an

1 exhaustive list of species, sexes, dose groups, and sites.
2 For example, in analyzing a typical NTP report, roughly
3 480 tests could be performed, however, OEHHA does not
4 conduct anywhere near that amount. We perform tests for
5 sites where an increase is apparent, which is typically a
6 handful of tumor sites if any at all.

7 That being said, when we analyze animal cancer
8 bioassay data, oftentimes we are conducting significance
9 tests in multiple treatment groups, multiple tumor sites
10 and types, and sometimes for multiple points in time. Any
11 time we make simultaneous inferences about a data set, the
12 Type I error rate increases, meaning the chance of
13 observing a false positive result increases. There are
14 different techniques that can be used to control the Type
15 I error rate, however, these are not commonly employed
16 with animal cancer bioassay data.

17 In a 1983 paper, Haseman pointed out that most
18 tumor types have low -- have a low spontaneous frequency
19 and thus for these tumor types, false positives are
20 unlikely to occur. Even regarding tumors with higher
21 background rates, which may be more prone to false
22 positive results, significance of pairwise comparisons of
23 tumor incidences and significance of dose-response trends
24 are not the only considerations when assessing a
25 compound's carcinogenicity. Some of the other

1 considerations Haseman mentioned are biological relevance,
2 genetic toxicology, and more.

3 Furthermore, Rusyn, Chiu, and Wright highlighted
4 in their 2020 letter to the editor of Toxicological
5 Sciences that it has not been demonstrated that the
6 current, widely accepted methods of unadjusted multiple
7 testing lead to a substantial false positive problem in
8 analysis of animal bioassay data for carcinogenicity.

9 Acknowledging these considerations regarding
10 multiple comparisons, OEHHA has long performed unadjusted
11 testing of animal cancer bioassay data in its hazard
12 identification documents, and in doing so, takes the same
13 approach as many other organizations that assess chemical
14 carcinogenicity. OEHHA's hazard identification documents
15 summarize the available data pertinent to a compound's
16 carcinogenicity in a standard and widely accepted method.
17 The Committee members, as experts in carcinogen
18 identification, are able to make their own determinations
19 as to how much weight to place on the significance of the
20 tumor findings.

21 --o0o--

22 MS. SCHMITZ: Now, I'll take a break for any
23 clarifying questions.

24 COMMITTEE MEMBER EASTMOND: May I go forward?
25 So, Rose, thanks. That was actually helpful

1 and --

2 MS. SCHMITZ: Absolutely.

3 COMMITTEE MEMBER EASTMOND: -- puts a lot of
4 things in perspective. I did have a couple of questions.
5 So when I read the NTP bioassays, the older ones certainly
6 do trend tests for every tissue. Then they do pairwise
7 comparisons on those tissues. They used to do life table
8 analysis for each tissue as well. So that's five tests
9 for each tissue. And then when you start with the
10 combining like hepatocellular adenoma results with
11 hepatocellular carcinoma results, and now we have
12 hepatoblastoma results and these are all pooled together,
13 that in itself brings many, many different analyses that
14 are pooled together and then looking for a significant
15 increase in any one of those. And is that the way? Do
16 you do that as well? I think you're doing the same
17 approach.

18 MS. SCHMITZ: No. We're actually -- thanks for
19 bringing this up. What we're doing is looking at the raw
20 data and looking for, you know, where an increase is
21 apparent and then conducting tests there. So if we're
22 seeing -- a lot of times, there are many, many tissues and
23 tumor types where it's clear that there is, you know, no
24 increase. And so we're not conducting tests on any of
25 those tissues.

1 COMMITTEE MEMBER EASTMOND: But wouldn't we --
2 technical that --

3 MS. SCHMITZ: It's only a handful of sites where
4 we end up doing tests.

5 COMMITTEE MEMBER EASTMOND: But essentially that
6 means you're doing a post-hoc analysis, right, from a
7 statistical point of view, because you've already scanned
8 through it and only picked to follow up on ones that you
9 think will be positive.

10 MS. SCHMITZ: I guess you could -- you could
11 classify it that way. I think there's different ways
12 defining post hoc, but if we're -- you know, yes, the
13 experiment has been done, especially -- you know, we're
14 not part of conducting the experiment, so we don't design
15 the statistical, you know, analysis ahead of time for
16 these, but we're looking at the data. We're looking at
17 the tumor incidences that are presented, whether it's, you
18 know, from NTP or a study reported in the literature.
19 And, you know, especially, you know, my colleagues with
20 decades of experience of looking at these types of tumor
21 data can say, okay, you know, I think we're seeing an
22 increase here. Like, let's see what -- you know, if
23 there's a significant result in a pairwise test, or trend,
24 or so on.

25 COMMITTEE MEMBER EASTMOND: But so for example

1 with NTP bioassay, they've already done those analyses.
2 They've analyzed everyone -- everything, and then they
3 flag the ones that are positive. You clearly pick those
4 to follow up as well. So you're relying upon their
5 analyses, which have previously been done.

6 MS. SCHMITZ: Not necessarily because they tend
7 to conduct their tests on poly-3 adjusted incidences. And
8 so they're going to have possibly slightly different
9 results. And that's, you know -- earlier, I talked about
10 how we use effective numbers. And, you know, there are
11 many different ways to account for intercurrent mortality.
12 And their approach is to use the poly-3 adjusted values.
13 We use effective number generally. And so we're -- you
14 know, we're cognizant of conclusions that they may have
15 made, but we always are doing our own -- our own tests on
16 tumor sites that we think there is an apparent increase,
17 and we use the effective number, if we can.

18 COMMITTEE MEMBER EASTMOND: Okay. Are there
19 other of these authoritative bodies that use the same
20 approach that you do or this is pretty unique to OEHHA?

21 MS. SCHMITZ: I believe IARC, and anyone who's
22 served on an IARC panel can jump in here, but I don't
23 believe that they present p-values that are corrected or,
24 you know, they're basically just conducting the tests for
25 tumor sites where there are apparent increases.

1 COMMITTEE MEMBER EASTMOND: I mean, I'm thinking
2 more like EPA, or NTP, or FDA, or Health Canada, or EFSA,
3 or OECD, Japan, et cetera.

4 MS. SCHMITZ: Well, if I'm thinking about --

5 COMMITTEE MEMBER EASTMOND: So it's like your
6 comparable agencies.

7 MS. SCHMITZ: Okay. As I'm thinking about, you
8 know, for example OEHHA's authoritative bodies, you know,
9 we have IARC who does this in a similar way and then U.S.
10 EPA, again they're -- so they have a different way of
11 adjusting for intercurrent mortality. A lot of times they
12 will remove any animals that didn't survive for the first
13 year of the study. And so once again, you know, if you're
14 tweaking the denominators slightly, that can affect your
15 test results, but they will, you know, perform the tests
16 in a similar way that I do.

17 It looks like Martha wants to chime in.

18 COMMITTEE MEMBER EASTMOND: Sure.

19 DR. SANDY: Yes. Hi, Dr. Eastmond. Yeah, just
20 another example of the U.S. EPA, many of their programs,
21 they will analyze data that's submitted to them and they
22 will do something similar, if not using effective number
23 by picking a certain time, animals that survive to 52
24 weeks, for example, will be the denominator. It depends
25 on the study and the way it's carried out. But the

1 analyses I think there are done by EPA and other
2 authoritative bodies are similar to our approach in being
3 concerned when they have the information to take into
4 account intercurrent mortality.

5 CHAIRPERSON LOOMIS: Let's see, Vincent.

6 DR. COGLIANO: I think I'd just like to add that
7 similar to the work U.S. EPA for close to 20 years, we do
8 things similar to the way Rose suggested. We don't -- we
9 did our own statistical analysis and not just -- not just
10 accepted what was in the published paper. We did have
11 different ways of adjusting for animals that were at risk.
12 And sometimes we did if the animals were alive at the time
13 of first tumor. If we didn't have that reported, we did
14 animals alive at 52 weeks. We did what we could. It's
15 basically the idea of reasonable contingencies when you
16 don't have perfect data to allow the analysis to proceed.

17 But I think that even though we might have had
18 slightly different ways of adjusting for animals at risk,
19 the basic approach is the same. We -- U.S. EPA did look
20 at -- do their own statistics in evaluating that.

21 And your first point, Dr. Eastmond, about, yeah,
22 we do kind of look at U.S. EPA at the studies at the
23 tumors that had been flagged by NTP or by an author in a
24 journal as a positive and then subjected them to
25 statistical tests.

1 I think that's really almost more of -- can be
2 considered building upon an a priori hypothesis that these
3 are positive results and we wanted to verify that they
4 really are positive about the way we would be doing the
5 statistics. And then in journal articles, you often don't
6 see any of the negative data at all.

7 That's all I wanted to say.

8 CHAIRPERSON LOOMIS: Thank you for that.

9 Do any other Committee members have questions or
10 comments on this piece of the presentation?

11 COMMITTEE MEMBER EASTMOND: I have another quick
12 question. So do you make adjustments when the survival is
13 better in the treated than in the controls?

14 MS. SCHMITZ: No. We gen -- we just -- we
15 calculate effective numbers. So -- and we're -- when we
16 are looking, for example, at, you know, liver adenomas --
17 oh, does Martha -- do you want to answer this instead? I
18 see you're raising your hand there.

19 DR. SANDY: I'll just jump in this just to speak
20 specifically to the question are we doing some adjustment
21 for when treated live longer than controls. By the
22 effective number approach, you know, it's agnostic as to
23 what the treatment group is. You're looking across the
24 experiment. And in, you know, a single species and sex,
25 when was the first occurrence of the tumor that you're

1 looking at, let's say? It's a liver tumor and it's a
2 carcinoma. Then you apply that day -- that day the first
3 liver carcinoma was observed in any of the groups, the
4 controls or the treated groups, in that experiment to
5 determine what the denominators are for each group.

6 COMMITTEE MEMBER EASTMOND: Okay.

7 DR. SANDY: And, Rose, if you want to add
8 something, please go ahead.

9 MS. SCHMITZ: No. That's what I was just going
10 to say. Basically, we're looking at all of the groups
11 together. And we -- you know, with the control, and the
12 treated groups, and taking that, you know, first
13 occurrence, and applying that number to all of the groups.
14 So as you said, it's sort of an agnostic approach.

15 COMMITTEE MEMBER EASTMOND: But, I mean, one of
16 the -- this is -- I can't remember the chemical this came
17 up with -- came from, but the idea is that cancer is a
18 disease of old age, if the treated live longer and live
19 more healthy than the controls, that's basically going to
20 give you a -- essentially, they're going to have a higher
21 likelihood of developing tumors in the treatment than in
22 the controls. And that's why I asked this. It's not a
23 real common occurrence, but I remember it coming up once
24 or twice in the past.

25 DR. SANDY: I'll --

1 CHAIRPERSON LOOMIS: Hands up. Let's see,
2 Martha, you're trying to speak. Go ahead.

3 DR. SANDY: Yes. So if there's a true clear
4 difference, and for some reason the controls are living
5 much longer, and it is a tumor associated mostly with old
6 age, yes, there are some specific ways that we can analyze
7 that. It happens very infrequently, but if it does occur,
8 that can be taken into account. I'm thinking all the way
9 back to 1998 right now on a particular chemical, but...

10 COMMITTEE MEMBER EASTMOND: Very good, yeah.

11 CHAIRPERSON LOOMIS: All right. Let's see if
12 there are other questions from the rest of the Committee.
13 Dr. Landolph, I think you had your hand up first.

14 COMMITTEE MEMBER LANDOLPH: Thank you. Yeah, I
15 wonder if you'd comment on something that I've seen for
16 many years, and that's that, you know, we rarely get
17 repeat experiments within the same lab, let alone between
18 labs. So often, we're forced to make decisions on data
19 that, you know, it's statistically significant, looks like
20 a linear dose response curve, but there's not a repeat and
21 that's always kind of bothered me, but I know these
22 experiments are so time-consuming, and expensive, and they
23 last such a long time. You know, by the time you send out
24 the histopathology, you're talking about a five-year shot
25 and over \$10 million. So it's really precious data, but

1 it's difficult to get repeats sometimes. They -- you just
2 don't see them very frequently.

3 MS. SCHMITZ: Oh, yeah, you're absolutely right.
4 I'm trying to think back at my time at OEHHA here. You
5 know, we -- I'm not sure I can think of one where we've
6 had, you know, an experiment that was, you know,
7 replicated. But, you know, it's another reason why we're
8 saying, you know, we're -- that the animal cancer bioassay
9 data is just one part of the picture that we're presenting
10 to you all in the hazard identification document. And so,
11 you know, we kind of -- it's almost like a -- you know,
12 that is a limitation. It would be great if we had
13 unlimited time and resources to really conduct, you know,
14 repeated experiments, especially times when there's
15 problems like, you know, if the MTD was exceeded or
16 something like that. You had a lot of early deaths, but
17 we just -- we do the best with the data that we have and,
18 you know, hope that you all factor that in when you're,
19 you know, analyzing the whole of the -- you know, of the
20 data that we're presenting.

21 COMMITTEE MEMBER LANDOLPH: Yeah. That's what
22 the same thing we do is do the best we can with the data
23 that we have. The problem is as the money gets tighter
24 and tighter, it's liable to get -- to become a worse
25 situation rather than a better one.

1 Thank you.

2 MS. SCHMITZ: Um-hmm.

3 CHAIRPERSON LOOMIS: Dr. Besaratinia, your hand
4 is up. Go ahead.

5 COMMITTEE MEMBER BESARATINIA: Yeah. Thank you,
6 Rose. It was a very helpful presentation. In one of your
7 latter slides, when you were showing how to calculate
8 incidents in your studies, I believe the denominator said
9 that the number of animal alive at first occurrence and
10 examined at the site, is it the number of animal alive at
11 the beginning of the experiment or at the time of first
12 occurrence of tumors, which one of them?

13 MS. SCHMITZ: The time of the first occurrence of
14 that particular tumor. And so we would use a different --
15 you know, for example, if the first liver carcinoma
16 appeared on day, you know, 322, but we're also looking at
17 kidney tumors and the first kidney tumor occurred on day,
18 you know, 567. We use different cutoffs for the different
19 tumor sites, so we're specific for that particular site.
20 Does that make sense?

21 COMMITTEE MEMBER BESARATINIA: Yeah, but you
22 would not take into account animals who died in between
23 for example from other causes, not particularly tumors.
24 How would you account for those?

25 MS. SCHMITZ: Yeah. If an animal did not survive

1 up until, you know, the time of first occurrence, whether
2 it's, you know, because they -- well, yes, generally
3 they're dying from something else. Although I say would
4 be part of -- part of the tumor count. So those are
5 removed from the denominator. They're considered not at
6 risk.

7 COMMITTEE MEMBER BESARATINIA: But they -- I'm
8 just thinking with regard to the classic definition of
9 incidence, because the follow-up times should be taken
10 into account when you are calculating the incidence rate.
11 And the denominator, as I recall from my epidemiology
12 courses, would always refer to the number of animal that
13 are alive and at the beginning of the follow-up period.

14 CHAIRPERSON LOOMIS: Well, I would just -- that's
15 one way of calculating incidence, but it's what
16 epidemiologists know as the incidence proportion. So the
17 way it's being described here has a parallel with human
18 epidemiology, and that is you take account of the
19 population at risk at the time of each informative event.
20 So that's precisely what's being done here, with bit of
21 approximation. But this is the classical approach to
22 incidence estimation in humans and in experimental
23 animals.

24 MS. SCHMITZ: Does that answer your question?

25 COMMITTEE MEMBER BESARATINIA: Yeah. I'm going

1 to double check my notes and go back and refresh my
2 memories about this, because that is quite different from
3 what I recall from my training, but thank you for the
4 explanation.

5 DR. SANDY: I would just suggest that Rose might
6 show that survival curve again to help illustrate.

7 MS. SCHMITZ: Sure.

8 DR. SANDY: We want to focus on the animals at
9 risk of getting a tumor if they die before we think the
10 tumor would have been observed in any of the animals.
11 They weren't -- they didn't survive long enough to be at
12 risk to see that tumor occurrence.

13 CHAIRPERSON LOOMIS: So that area in the red box
14 is what's known as the risk set. And in this particular
15 instance, the risk set is defined at the time of the first
16 tumor occurrence. We could actually define a risk set for
17 every tumor and then repeat those calculations, and the
18 entire incidence estimation would be based on all of those
19 risks sets.

20 MS. SCHMITZ: Precisely.

21 CHAIRPERSON LOOMIS: All right, Dr. Bush, let's
22 go to you.

23 COMMITTEE MEMBER BUSH: Just a minor comment and
24 kind of following up on this. So what we often see in the
25 HID that you produced is combining different tumor types

1 of the same organ. And when you're doing that, you are --
2 your denominator becomes, or if you've got two
3 different -- and adeno -- and adenoma versus an
4 adenocarcinoma, you are choosing the denominator between
5 those two that is still the first appearance of tumor, is
6 that correct?

7 MS. SCHMITZ: Yes.

8 COMMITTEE MEMBER BUSH: Regardless of what that
9 was.

10 MS. SCHMITZ: Yes, that's right. We take
11 whichever the first occurrence was. And I actually have
12 a -- I don't know if it would helpful. I have a slide
13 showing like a table that shows our calculations for such
14 an instance.

15 COMMITTEE MEMBER BUSH: Thank you.

16 MS. SCHMITZ: Just let me locate it.

17 COMMITTEE MEMBER BUSH: I hope I'm not hijacking
18 the conversation.

19 MS. SCHMITZ: I don't think so. We created this
20 slide just for -- whoops. Sorry. Oh, dear. Here we go.
21 And hopefully it will show. Here we go. Let me make this
22 a little bit larger

23 So here, we can see if we're looking at lung
24 tumors. This was from our coumarin HID. We had adenomas
25 that were first observed on day 558 and then carcinomas

1 that were first observed on day 716. And then if we're
2 looking at the combined incidence, then we take the first
3 first occurrence, if that makes sense. And you can see
4 there's not -- you know, as you guys probably are aware,
5 we don't take a straight sum. There's clearly an animal
6 here who had an adenoma and carcinoma. We don't double
7 count.

8 COMMITTEE MEMBER BUSH: Thank you. That's
9 exactly what I was saying. So appreciate it.

10 MS. SCHMITZ: Yeah, no problem.

11 Okay. Any other questions on this portion before
12 we move on for -- oh, you're muted, Dr. Eastmond.

13 CHAIRPERSON LOOMIS: You have your hand up again,
14 Dr. Eastmond. I don't know if it's again a new question
15 or --

16 COMMITTEE MEMBER EASTMOND: No, it is a new
17 question. Sorry. And my mute was on.

18 I was just going back, kind of -- I still tend to
19 be concerned about the issue of multiple comparisons. So
20 on that example there in that one table, there are
21 basically one, two, three and then times four statistical
22 tests, so there's 12 statistical tests on that one table,
23 correct? And -- you know, and that's replicated by
24 whatever tissues one looks at. So it strikes me as -- I
25 understand you're doing what you're doing and I think it

1 makes sense, but I also -- for me, in the back of my mind,
2 I'm always looking at this from a point of view is we are
3 making multiple comparisons and we need to be cautious
4 because of that. And that's -- are there any things you
5 do specifically to protect against multiple comparisons?

6 MS. SCHMITZ: We don't. I appreciate actually
7 your comment just now that you're always keeping that in
8 the back of your mind, because ultimately that's kind of
9 what we're relying on is that you all, in your expertise,
10 are keeping -- you know, are considering the fact that
11 we're conducting multiple tests and that the animal cancer
12 bioassay data is a portion of the data that we're
13 presenting to you all. And so you can make your own
14 determination about how important you think it is in terms
15 of all the pieces of the puzzle.

16 I think, you know, there are a number of ways to
17 correct for multiple comparisons that have been proposed
18 over the years. I would say that the carcinogen risk
19 assessment community is not wholly in agreement that the
20 issue of multiple comparisons is one that needs to be
21 solved nor are they in a agreement about which approach
22 for solving it is the most appropriate. And so OEHHA
23 presents the data to you all in a -- you know, a
24 systematic manner that's fairly straightforward and relies
25 on you to do just as you said, you know, keep that in your

1 mind that, you know, there are multiple tests being
2 conducted and so you can, you know, determine how
3 important you think the evidence is.

4 COMMITTEE MEMBER EASTMOND: Okay. Thanks.

5 CHAIRPERSON LOOMIS: Dr. Landolph, is your hand
6 up from before or do you have a new comment?

7 COMMITTEE MEMBER LANDOLPH: New comment and
8 question. Yeah. So I -- Rose, I just was thinking about
9 that curve you showed where the survival is perturbed
10 where you have the control. And then the -- yeah, that
11 one. That's it exactly. And then you have the low dose,
12 the survival, going down, and the mid-dose, it's going up.
13 And then the high-dose it's going down again. So that
14 will really screw your dose response curve up. So looking
15 at these tables, you know, and trying to make decisions,
16 how do you account for that type of a thing, when we were
17 looking at the tables? Do you put any footnotes in there
18 for us to help us out? What do you do or is there nothing
19 you can do?

20 MS. SCHMITZ: I will actually -- I will pass this
21 question to either Meng or Martha as to how differences in
22 survival are discussed in the HIDs. Thank you so much.

23 COMMITTEE MEMBER LANDOLPH: And the reason I
24 bring this up is because, you know, of course replicate
25 experiments are fantastic. We like to have that and we

1 love to have dose response if we've got it, you know, as a
2 further serious criterion and at the age it is causing the
3 effect. But when a dose response gets screwed up, then it
4 starts to draw some questions in your mind as to what the
5 heck is going on.

6 DR. SUN: Yeah, I can try to answer this and
7 maybe Dr. Sandy can add more. Just because the low-dose
8 and mid-dose have a different survival from the control,
9 doesn't mean the dose response curve is being altered. As
10 you can remember, we are using week 75 as the cutoff for
11 the effective numbers.

12 COMMITTEE MEMBER LANDOLPH: Um-hmm.

13 DR. SUN: And the animals survived to after week
14 75 are considered at risk. And they were examined at this
15 particular site. So they would be considered in the
16 denominator. And the dying a week early or later after
17 week 75 does not necessarily mean they will change the
18 dose response curve in the final incidence. And also,
19 they may have died with tumors, so that would be one
20 denominator and one numerator contribution for the animal.

21 But as long as they survive to the first
22 occurrence of this tumor, they are being considered. They
23 are not being censored. So that would not affect the dose
24 response curve. In the HID, we do note survival
25 differences. We layout how significant the differences

1 are. We give you the body weight change and food
2 consumption like obvious signs of toxicity.

3 COMMITTEE MEMBER LANDOLPH: So in cases where the
4 dose response curve does look unusual, you know, it may go
5 up a little for the low dose, it may go down for the
6 median dose, and then it may go up again, is that just
7 statistical fluctuation and inability to get enough
8 animals to measure the endpoint accurately or what would
9 cause that?

10 DR. SUN: There may be a number of reasons that
11 cause the altered dose response, if it would be reflected
12 in the trend test p-values. And if we can find the
13 obvious reason -- apparent reason to report, we would try
14 the present it in the document, but there could be a
15 number of factors that cause this. For example, the
16 altered animal body weight can often affect the tumor --
17 spontaneous tumor rate.

18 Dr. Eastmond.

19 COMMITTEE MEMBER LANDOLPH: So if the -- if the
20 dose response is a little bit aberrant, as long as the
21 trend test is pretty good, then you would accept that as
22 evidence of causation, is that correct?

23 DR. SANDY: You know, I think I'll jump in if I
24 can. So Dr. Landolph, what we -- we try to -- you know,
25 we review critically the bioassays, the studies, whether

1 they're published in the literature or they're in reports
2 and we try to summarize them in a helpful way for the
3 Committee in -- in our hazard identification documents, we
4 also provide you with the references, the studies
5 themselves, if we have them.

6 And so we do -- as Meng just said, we do give
7 general comments about the study. It -- where there
8 differences in survival between the treated and controls?
9 Were there differences in body weight, or in drinking
10 water consumption, or diet, or things like that? Were
11 there other toxicities that were obviously -- were
12 reported? You know, what are the known limitations
13 reported for these studies, if there are any? We try to
14 give you that information that may have -- that we think
15 might have a impacted tumor response and that you should
16 be able to take into consideration.

17 But we cannot tell you -- we often do not know
18 how to explain a dose response that's seen, you know. And
19 that's really -- that's up to you as the scientific
20 experts to evaluate the data as they exist. And we are
21 just trying to give you that information in as
22 standardized and robust a way as possible.

23 COMMITTEE MEMBER LANDOLPH: Okay. Thank you for
24 your efforts. I appreciate it.

25 CHAIRPERSON LOOMIS: All right, we've had a very

1 good and robust discussion on this piece of the
2 presentation, but I think we have another part. And so
3 I'd like to move on and hear that part from Jennifer and
4 then we will have opportunity for more discussion of both
5 parts of the presentation before we take a break.

6 DR. HSIEH: Okay. All right, can you guys hear
7 me?

8 COMMITTEE MEMBER LANDOLPH: Yes.

9 DR. HSIEH: Good afternoon. Thank you for
10 coming.

11 Now, we will consider or discuss the
12 consideration about controls when assessing animal
13 carcinogenicity study findings. In an animal cancer
14 bioassay, the primary goal is to test whether a particular
15 treatment, such as exposure to a chemical, results in an
16 increase in tumors in the treated animal compared to
17 animal that were not treated with the chemical. It's
18 important that the study include a concurrent control
19 group, where animals are maintained and treated under the
20 same conditions, except for the chemical of interest.

21 It's a generally accepted principle that the
22 concurrent control group in an animal cancer bioassay is
23 generally the most appropriate comparison group for
24 statistical analysis and assessment of treatment-related
25 tumors. This statement is supported by the IARC preamble

1 and other agencies, such as U.S. EPA, FDA, and NTP agree
2 with IARC on this matter.

3 Next slide, please.

4 --o0o--

5 DR. HSIEH: Consideration of historical control
6 data may also be useful in certain situations. Historical
7 control data refers to the tumor incidence observed in
8 untreated control animals of a given species, strain, and
9 sex in previous studies. For example, NTP compiles and
10 maintains historical control databases specifically for
11 the studies NTP has conducted, organized by animal
12 species, strain, and sex. Other laboratories, animal
13 suppliers, and organizations can also be source of
14 historical control tumor incidence data.

15 Historical control data are useful to determine
16 tumor types that are rare in untreated animals. This
17 application has been mentioned in the IARC preamble and
18 the U.S. EPA Carcinogen Risk Assessment guidelines, and
19 U.S. NTP's report on carcinogens handbook, and in FDA
20 guidance.

21 Rare tumors are defined as tumors that occur
22 infrequently in untreated animals, usually with incidence
23 rates of less than one percent. This commonly accepted
24 definition dates back to at least the 1970s and has been
25 consistently utilized by authoritative bodies, including

1 NTP, U.S. EPA, IARC, and FDA.

2 Historical control data help to provide
3 additional context when assessing the biological
4 significance of rare tumor observed in treatment group in
5 a particular study. For example, while observation of a
6 rare tumor is recognized as alarming by the study
7 pathologist, a finding of one animal with such a tumor out
8 of 20 animal in a treatment group in a specific study may
9 not be fully appreciated by non-pathologist. However, if
10 the historical control incidence is given and shows that
11 such tumor only occurred in one out of 1,000 untreated
12 animal, then the non-pathologists can better appreciate
13 the biological significance of this finding.

14 Next slide, please.

15 --o0o--

16 DR. HSIEH: Now, let's discuss what constitute
17 appropriate historical control data. When selecting such
18 data, it's crucial to ensure that the historical control
19 closely resemble the concurrent control in terms of
20 factors, such as animal model, animal care, and
21 environment, and the time period of the experiment, among
22 other relevant considerations. These factors are
23 important because any differences between the historical
24 control animals and the conditions at testing laboratory
25 with those of the concurrent control group can introduce

1 bias and impact the interpretation of the results. This
2 is especially when strain or substrain differences in
3 spontaneous tumor incidence exist.

4 The IARC preamble states that, "Historical
5 controls should be selected to resemble the concurrent
6 controls as closely as possible with respect to species,
7 sex, and strain, as well as other factors, such as basal
8 diet and general laboratory environment."

9 And the U.S. EPA Carcinogen Risk Assessment
10 guidelines note that when utilizing historical control
11 data, the most relevant data come from the same laboratory
12 and the same supplier, and are gathered within two or
13 three years, one way or the other, of the study under
14 review. This approach helps to avoid issues such as
15 genetic drift, which can occur over time within animal
16 strain or colony, and discrepancy in pathology examination
17 at different times and in different laboratory.

18 Other considerations include ensuring
19 comparability in terms of the route of administration and
20 the length of the experiment. The NTP historical control
21 database take these factor into account in presenting and
22 organizing the data. And overall, historical control data
23 should be used with caution due to potential impact of
24 differences in laboratory procedure, animal management,
25 and environmental condition over time, which can

1 significantly affect the occurrence of tumor in control
2 animals.

3 OEHHA adhere to the best practice for employing
4 historical control data and utilize rigorous and
5 appropriate criteria to select the historical control data
6 in evaluating finding from cancer bioassay.

7 Next slide, please.

8 --o0o--

9 DR. HSIEH: So now let me provide you an example
10 where historical control data were proved useful in
11 determining the rare tumor and treatment-related tumor
12 site.

13 As shown here, in OEHHA's nitrapyrin HID released
14 in 2015, we presented three forestomach squamous cell
15 carcinomas in male mice in the high-dose treated group
16 from the study by Stebbins and Cosse, 1997. Since there
17 was no laboratory historical control data available from
18 the testing laboratory, OEHHA relies on historical control
19 data from NTP studies conducted between 1990 and 1996, as
20 reported in Haseman et al., 1998. The historical control
21 data were chosen based on the same species, strain, sex,
22 length of experiment, route of administration, comparable
23 basal diet, comparable general laboratory environment, and
24 the study being conducted close to the time period of the
25 Stebbins and Cosse study.

1 As a result, forestomach squamous cell carcinoma
2 was considered rare and treatment-related in male B6C3F1
3 mice, with a historical incidence of one out of 1,355, or
4 0.1 percent. In this analysis, the best available
5 historical control data were utilized to identify rare and
6 treatment-related tumor in accordance with the guideline
7 document mentioned previously.

8 OEHHA employs rigorous and appropriate criteria
9 to select historical control data. The Committee members,
10 as experts in carcinogen identification, are able to make
11 their own determinations regarding the weight to be placed
12 on the significance of the tumor finding.

13 With that note, we conclude today's staff
14 presentation. And thank you for your attention. And now,
15 I will hand it back to our Chair, Dr. Loomis, for a brief
16 Q&A session. And thank you.

17 CHAIRPERSON LOOMIS: Thanks, Jennifer. Yeah,
18 let's see if there are brief questions from the Committee
19 on this part of the presentation.

20 Dr. Eastmond, you're back.

21 COMMITTEE MEMBER EASTMOND: I'm back. Thanks for
22 that explanation. I just had a follow-up question. Do
23 you ever flag or make accommodation when you're concurrent
24 control is unusually low when compared with historical
25 control values, because that will drive trend tests quite

1 frequently.

2 DR. HSIEH: Yeah. Martha, do you remember early
3 in the previous --

4 DR. SANDY: Yes. Yes. So we do often provide
5 you in the HIDs, we'll often make -- add information on
6 the range of historical control data, not just for rare
7 tumors, but when there's an occasional tumor type that
8 there's variance. We have mentioned that.

9 I don't know if Meng wants to add anything.

10 DR. SUN: Yeah, I can just add that the
11 concurrent control is always considered most appropriate
12 control. So if the tumor incidence in the concurrent
13 control is extremely low, that means you should expect a
14 spontaneous tumor rate in the treated group to be
15 extremely low as well. So I don't think that would affect
16 the consideration of tumor findings in this group of
17 animals, but we do provide historical data just as
18 reference for your consideration.

19 CHAIRPERSON LOOMIS: Are there other questions or
20 comments from the Committee?

21 I'm not seeing any at this time, so we can open
22 it up again for further discussion of both presentations
23 if there is any inclination to do that. Let's take a
24 quick look.

25 Okay. It appears there are no other hand raised.

1 So we've had a far-reaching and very thoughtful discussion
2 of these two presentations. Given that we didn't take our
3 break earlier, I would propose that we do that now,
4 returning in 15 minutes at 2:45.

5 And do we need to have the Bagley-Keene warning
6 again before taking a break?

7 CHIEF COUNSEL NELSON ROWAN: Hi. Sure. One more
8 warning wouldn't hurt, so --

9 DIRECTOR ZEISE: Okay. Carolyn is frozen on my
10 screen. Is she on yours?

11 CHAIRPERSON LOOMIS: Yes.

12 DIRECTOR ZEISE: Okay. So I don't know if Kristi
13 is here as backup. Kristi Morioka. Is Kristi -- if
14 not --

15 SENIOR ATTORNEY MORIOKA: I am.

16 CHAIRPERSON LOOMIS: Okay. Great. Do you want
17 to give advisement.

18 SENIOR ATTORNEY MORIOKA: Yeah. Just so
19 everybody remembers not to discuss the contents of the
20 meeting while you're on break with any of the Committee
21 members. That include via email, text message, phone
22 calls, anything that can be construed as a serial meeting.

23 Thanks so much.

24 CHAIRPERSON LOOMIS: All right. Thank you. And
25 back at 2:45.

1 (Off record: 2:29 p.m.)

2 (Thereupon a recess was taken.)

3 (On record: 2:45 p.m.)

4 CHAIRPERSON LOOMIS: Welcome back. I hope
5 everyone had a pleasant break. Next agenda item is
6 another opportunity for public comment on the second
7 agenda item. And so I'll ask Amy to put the slide back up
8 and we'll review the parameters for public comments.

9 So as it says here, members of the public who are
10 logged into the meeting have an opportunity to comment on
11 this agenda item. If you'd like to comment and you're in
12 the meeting, you can raise your hand, at which point
13 you'll be recognized and given an opportunity to unmute.
14 When you are unmuted, please give your affiliation and
15 your name, and then your comment, which would be limited
16 to five minutes. Further instructions about how to
17 comment are on the slide in front of us and available on
18 the OEHHA website.

19 So at this point, I'll ask if there are any
20 raised hands from the public?

21 MS. VAGHEFI: As of now, I don't see any raised
22 hands from the public.

23 CHAIRPERSON LOOMIS: Okay. Well, we'll give
24 people a chance to raise their hands if they haven't done
25 so yet, since we just came off break. And then if there

1 are none, we can move on to the next item.

2 Well, it appears there are no public comments on
3 this agenda item. And so if everyone is agreeable, I
4 propose we move onto the last agenda item, staff updates.
5 So at this point, the staff will update the Committee on
6 Proposition 65 activities, including listings,
7 regulations, and litigation that have taken place since
8 the last meeting.

9 So Kiana, I might ask you now to present the new
10 listings on safe harbor levels that have been established
11 (Thereupon a slide presentation).

12 MS. VAGHEFI: All right, thank you, Dr. Loomis.
13 I will be providing you with an update on important
14 Proposition 65 developments since the last CIC meeting.
15 I'll start by going over the chemicals or endpoints added
16 to the Proposition 65 list, as well as chemicals
17 considered but not listed. Then I will review proposed
18 safe harbor levels. After that, I'll turn it over to our
19 Chief Counsel, Carolyn Rowan to provide an update on other
20 regulatory actions and significant Proposition 65
21 litigation.

22 --o0o--

23 MS. VAGHEFI: All right. Since the Committee's
24 last meeting, five chemicals have been added to the
25 Proposition 65 list, 1-bromo-3-chloropropane, 1-butyl

1 glycidyl ether, glycidyl methacrylate,
2 1,1,1-trichloroethane, and leucomalachite green have all
3 been added as carcinogens.

4 --o0o--

5 MS. VAGHEFI: Antimony (trivalent compounds) were
6 considered for listing as causing cancer under the Labor
7 Code mechanism based on information from the Lancet
8 oncology article summarizing the IARC working group's
9 evaluations. However, after careful review of the
10 recently published IARC monograph on antimony (trivalent
11 compounds) this group of chemicals was found not to meet
12 the criteria for listing. And for this reason, we will
13 not proceed at this time with the listing process.

14 --o0o--

15 MS. VAGHEFI: All right, and since the
16 Committee's last meeting, we proposed an update to the no
17 significant risk level for exposure to ethylene oxide from
18 two micrograms per day to 0.058 micrograms per day. We
19 also proposed a no significant risk level for antimony
20 trioxide and are reviewing comments received on the
21 proposal.

22 And now, I will turn things over to Carolyn.

23 --o0o--

24 CHIEF COUNSEL NELSON ROWAN: Thank you, Kiana.
25 And hello again. I have some updates on Proposition 65

1 regulations and litigation. Since the Committee last met,
2 OEHHA has adopted a regulation regarding exposures to
3 acrylamide in cooked and heat processed foods. This
4 regulation provides that a manufacturer of a food does not
5 expose an individual to acrylamide within the meaning of
6 Proposition 65 if the manufacturer reduced the levels of
7 acrylamide to the lowest level currently feasible, as
8 defined in the propose -- or in the regulation. It also
9 sets forth concentration levels in foods that are deemed
10 to comply. The regulation was approved by OAL last
11 December and became effective on April 1st, 2023.

12 I also have a few litigation updates for you.

13 --o0o--

14 CHIEF COUNSEL NELSON ROWAN: Thank you.

15 We have a -- there's been a new lawsuit filed.
16 It's the Personal Care Products Council versus Bonta case.
17 In May, the Personal Care Products Council filed a lawsuit
18 in federal district court alleging that Proposition 65
19 warnings for cosmetic and personal care products that
20 contain titanium dioxide, airborne unbound particles of
21 respirable size, violate the first amendment. And the
22 complaint alleges that such warnings are unconstitutional,
23 because exposures have not been shown to cause cancer in
24 humans. Therefore, any warning would be false,
25 misleading, and highly controversial. The complaint for

1 declaratory and injunctive relief was filed against
2 Attorney General Bonta.

3 Other updates on the Physicians Committee for
4 Responsible Medicine, or PCRM, versus Newsom case. This
5 is a challenge to OEHHA's decision not to list processed
6 meats. And we are currently in the discovery stage.
7 There were recently some discovery motions and a hearing.
8 We're waiting for the court's decision on that.

9 There's the National Association of Wheat Growers
10 versus Bonta case, which is another First Amendment
11 challenge. This one is to the glyphosate warning
12 requirement and we've talked about this previously. The
13 most recent update is that the Ninth Circuit heard oral
14 argument on April 19th, 2023 and we are now waiting a
15 decision -- for a decision from that court. In CalChamber
16 versus Bonta, that's the case that involves another First
17 Amendment challenge to the safe harbor warning for
18 acrylamide. The district court has that case again after
19 the Ninth Circuit affirmed a grant of a preliminary
20 injunction, and so the case is proceeding there.

21 And finally, I think I updated you previously on
22 the Council for Education and Research on Toxics versus
23 Starbucks case. The Third District Court of Appeal issued
24 a decision affirming the trial court's decision, which had
25 upheld OEHHA's coffee regulation. And CERT filed a

1 petition for review by the California Supreme court and
2 that was denied by the California Supreme Court. So that
3 means the Court of Appeals decision is now final and the
4 coffee regulation remains valid.

5 Does anyone have any questions on those
6 litigation updates?

7 Dr. Landolph.

8 Oh, you're on mute.

9 COMMITTEE MEMBER LANDOLPH: Yes. That one on the
10 CERT versus Starbucks, that was the one where they ask
11 some of us on the CIC to write about that for the judge.
12 And basically it was a situation where -- a very
13 interesting situation where the carcinogenicity of the
14 coffee constituents was outweighed by the
15 immunosuppressive effects on cancer. Is that the basis
16 that it was ruled on in court?

17 CHIEF COUNSEL NELSON ROWAN: In court -- so --
18 and I should add that the -- those proceedings that I
19 think you're describing were before my time. But yes,
20 the -- so the coffee regulation was used as a defense in
21 that case. It was a third-party enforcement lawsuit and
22 the trial court found that the regulation was supported by
23 the evidence, so...

24 COMMITTEE MEMBER LANDOLPH: Okay.

25 CHIEF COUNSEL NELSON ROWAN: Yeah.

1 COMMITTEE MEMBER LANDOLPH: That was good. And
2 the other one on the acrylamide, I think that started out,
3 if I remember right, when Joan Denton was the head of
4 OEHHA. And basically, we had -- you know, we were asked
5 what we thought about acrylamide in food. And after that
6 it went secret. So I guess is that where that all
7 started?

8 CHIEF COUNSEL NELSON ROWAN: You mean, when you
9 say where it all started, just in that time period, do you
10 mean?

11 COMMITTEE MEMBER LANDOLPH: Yeah, the regulations
12 about acrylamide in food.

13 CHIEF COUNSEL NELSON ROWAN: Oh, the new
14 regulations. Those again began before my time, so I'm not
15 sure exactly when that process of adopting the new
16 regulation began. It was -- it was ongoing when I came
17 into the picture.

18 COMMITTEE MEMBER LANDOLPH: Okay. Yeah, I think
19 that's where it started.

20 DIRECTOR ZEISE: Yeah, maybe I can --

21 CHIEF COUNSEL NELSON ROWAN: Yeah, thank you.

22 DIRECTOR ZEISE: -- inject here a little bit in
23 that we did come to the Panel for advice on a package of
24 four different regulations on acrylamide, which at the
25 time did not proceed. So I think what you're thinking of,

1 Dr. Landolph, is sort of a historical note. This is
2 something that came later.

3 COMMITTEE MEMBER LANDOLPH: Okay. Thank you.

4 CHIEF COUNSEL NELSON ROWAN: Thank you, Lauren.
5 Any other questions?

6 It looks like Dr. Eastmond has a question.

7 COMMITTEE MEMBER EASTMOND: Yeah, I have a
8 question. This is just a general one I make at most
9 meetings is, you know, over time we've been told to hang
10 on to our copies of materials related to some chemicals
11 under concern for litigation. We never seem to be told
12 when we can get rid of them. If there are things that we
13 no longer need to keep, or if you could let us know what
14 we need to hang on to, that would be helpful, because, I
15 mean, these are binders that we have sitting around. It
16 would be helpful to know. Thanks.

17 CHIEF COUNSEL NELSON ROWAN: Sure. Yes,
18 definitely. Appreciate you being careful about that in
19 matters that might be under a litigation hold. So we can
20 provide maybe an update on the current -- the litigation
21 hold list for you.

22 COMMITTEE MEMBER EASTMOND: Thank you.

23 CHIEF COUNSEL NELSON ROWAN: Thank you.
24 Any other questions?

25 I don't -- I can only see a few people at the top

1 of my screen.

2 Okay. Thank you.

3 CHAIRPERSON LOOMIS: Thank you, Carolyn.

4 Well, at this point in the meeting, I would
5 normally ask Lauren to summarize the Committee actions.
6 But since there were no decisions to be made at this
7 meeting, I'll turn it over to her for final comments.

8 DIRECTOR ZEISE: Okay. Well, thank you very
9 much. I guess I'll summarize just that there was a rich
10 and informative discussion on the key characteristics of
11 carcinogens. It was interspersed and followed also from
12 presentations that we heard from our Deputy Director,
13 Vince Cogliano, and from Drs. Ivan Rusyn and Kate Guyton
14 who were guest speakers. So really appreciate the
15 engagement, and the discussion, and thoughtful comments,
16 and questions by the Committee on that.

17 And then we also had a good discussion on
18 approaches that OEHHA takes to adjust for intercurrent
19 mortality in our hazard identification documents in animal
20 bioassays. So again, appreciate the discussion there and
21 also the discussion on the use of controlled data. So
22 really appreciate the engagement on these topics. And
23 then, of course, we heard the updates just now on our
24 regulatory actions, listings, and litigation in progress.

25 So with that, I guess I would like to turn to the

1 Committee and thank you for participating and for the
2 active engagement at this meeting and thank Dr. Loomis for
3 chairing the meeting, thank the public for their
4 participation. I saw a number of attendees in the meeting
5 and appreciate the comments. And would like to also thank
6 our staff at our RCHAB for their presentations to the
7 Committee from implementation and legal as well for
8 preparing the materials for the meeting and for running
9 the meeting, and again thank again the public for their
10 engagement and our speakers. So I hope everyone has a
11 wonderful summer. And with that, I will close my remarks
12 and turn it back to you, Dr. Loomis.

13 CHAIRPERSON LOOMIS: Thank you, Lauren. Well,
14 let me thank you and echo the appreciation to the
15 Committee for thoughtful discussion, the invited speakers,
16 and for -- to the staff for everything they did to make
17 this meeting happen, as well as their presentations during
18 it. And with that, it's my pleasure to adjourn the
19 meeting.

20 Have a good summer.

21 (Thereupon the Carcinogen Identification
22 Committee adjourned at 3:02 p.m.)
23
24
25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE OF REPORTER

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, do hereby certify:

That I am a disinterested person herein; that the foregoing California Office of Environmental Health Hazard Assessment, Carcinogen Identification Committee was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California, and thereafter transcribed under my direction, by computer-assisted transcription;

I further certify that I am not of counsel or attorney for any of the parties to said workshop nor in any way interested in the outcome of said workshop.

IN WITNESS WHEREOF, I have hereunto set my hand this 20th day of June, 2023.



JAMES F. PETERS, CSR
Certified Shorthand Reporter
License No. 10063