

VIDEOCONFERENCE MEETING
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
CARCINOGEN IDENTIFICATION COMMITTEE

ZOOM PLATFORM

WEDNESDAY, DECEMBER 14, 2022
10:00 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

COMMITTEE MEMBERS:

Thomas M. Mack, MD, MPH, Chairperson

Ahmad Besaratinia, PhD, MPH

Jason Bush, PhD

Catherine Crespi, PhD

David A. Eastmond, PhD

Thomas McDonald, PhD, MPH

Michele La Merrill, PhD

Joseph Landolph, PhD

Dana Loomis, PhD

Mariana Stern, PhD

Sophia Wang, PhD

STAFF:

Lauren Zeise, PhD, Director

David Edwards, PhD, Chief Deputy Director

Vince Cogliano, PhD, Deputy Director, Division of
Scientific Programs

Amy Gilson, PhD, Deputy Director, External and Legislative
Affairs

Carolyn Nelson Rowan, Chief Counsel

Esther Barajas-Ochoa, Proposition 65 Implementation
Program

APPEARANCES CONTINUED

STAFF:

Neela Guha, PhD, MPH, Research Scientist III, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Jennifer Hsieh, PhD, MS, DABT, Staff Toxicologist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Julian Leichty, Proposition 65 Implementation Program

Elizabeth Marder, PhD, Senior Environmental Scientist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Gwendolyn Osborne, MD, MPH, Staff Toxicologist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Karin Ricker, PhD, Staff Toxicologist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Martha Sandy, PhD, MPH, Chief, Reproductive and Cancer Hazard Assessment Branch

Rose Schmitz, MS, Research Scientist III, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Meng Sun, PhD, MS, Chief, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

ALSO PRESENT:

Katie Pelch, PhD, Natural Resources Defense Council

Robyn Prueitt, PhD, Gradient, American Chemistry Council

Rainbow Rubin, PhD, MPH, Breast Cancer Prevention Partners

INDEX

	<u>PAGE</u>
I Welcome and Opening Remarks	1
II Swearing in of New Members	3
III Consideration of Bisphenol A as Known to the State to Cause Cancer	
Staff presentation	16
Committee discussion	55
Public comments	155
Committee discussion and decision	166
IV Consent Item - Update of the California Code of Regulations Title 27 Section 27000 List of Chemicals Which Have Not Been Adequately Tested as Required	189
V Staff Updates	
Chemical listings via the administrative listing mechanisms	194
Safe harbor levels	195
Other regulations and litigation	195
VI Summary of Committee Actions	202
Summary of Committee Actions	202
Adjournment	215
Reporter's Certificate	216

PROCEEDINGS

1
2 DIRECTOR ZEISE: So good morning. I'd like to
3 welcome the Committee, staff, and the members of the
4 audience to this December 2022 meeting of the Carcinogen
5 Identification Committee. This meeting is being held
6 virtually. My name is Lauren Zeise. I'm Director of the
7 Office of Environmental Health Hazard Assessment, a
8 department within the California Environmental Protection
9 Agency, which is lead agency for Proposition 65
10 implementation, and also for the assessment of health
11 risks posed by environmental contaminants.

12 We have two newly appointed members of the
13 committee, and I'll be introducing and swearing them in
14 shortly.

15 Our main agenda item for today is for the
16 consideration of bisphenol A, or BPA, for listing as a
17 carcinogen under Proposition 65. After the BPA agenda
18 item, the Committee will take up a consent item on the
19 Section 2700[SIC] list of chemicals for which testing has
20 been required, but has been inadequate, and this is
21 separate and distinct from the Proposition 65 list.

22 For the -- then the staff will present updates on
23 various Proposition 65 regulatory and other activities.
24 We'll be taking a 45-minute break for lunch during the
25 meeting at around noon and take a short 15-minute break

1 sometime in the afternoon. The meeting is being recorded
2 and transcribed. The transcript will be posted on OEHHA's
3 website.

4 Okay. Now, we will talk about how the public can
5 comment. Is Elizabeth or Amy, you'll be putting up the --

6 (Thereupon a slide presentation)

7 DIRECTOR ZEISE: Great. Thanks.

8 Okay. So during the meeting, there's the
9 opportunity to comment on the bisphenol A item.

10 Individuals who wish to make a comment at -- who wish to
11 make a comment are asked to join the Zoom webinar and to
12 fill out an online speaker request card, it's shown in --
13 the link is shown in the chat and also on this slide.

14 So you'll receive a link when you fill out the
15 card and so maybe a possibility of people only attending
16 by audio, so I'll read the name. That's bit.ly/ - all one
17 word - registerCIC2022. That's how you can get the link
18 to the speaker card and join the Zoom webinar.

19 You're not required to identify yourself or your
20 affiliation in order to speak, but you may do so. If you
21 choose to remain anonymous during the meeting, you can
22 raise your hand, and at the time that there are calls on
23 people wanting to speak, raise their hand, and you'll be
24 called on to provide a comment. So if you fill out a
25 card, we ask that you provide your name on the card and

1 your affiliation. Also, if you can have your Zoom name
2 match what is on the -- on the speaker card. Okay. So
3 when you're called on to speak, you'll need to unmute
4 yourself, state your name again, if you wish, and your
5 affiliation and provide your comment. And public comment
6 will be limited to five minutes per commenter.

7 So now I'd like to turn to the swearing in and
8 introducing of the new CIC members. So Dr. Besaratinia
9 and Dr. Wang, could you please turn on your cameras. And
10 for this segment, if the rest of the Committee could turn
11 off their cameras.

12 Okay. Thank you.

13 So Dr. Ahmad Besaratinia is a professor of
14 research, population and public health sciences at the
15 University of Southern California Keck School of Medicine.
16 He has held this position since 2013. His research
17 focuses on genetic and epigenetic mechanisms of
18 carcinogenesis. He received his doctorate in genetic
19 toxicology and molecular epidemiology and his master's
20 degree in public health from Maastricht University in the
21 Netherlands. Prior to his USC appointment, he has held
22 multiple positions in the Beckman Research Institute of
23 the City of Hope. Welcome to the Committee, Dr.
24 Besaratinia.

25 COMMITTEE MEMBER BESARATINIA: Thank you very

1 much. It's a pleasure to be here.

2 DIRECTOR ZEISE: And then Dr. Sophia Wang has
3 been a professor at the City of Hope National Medical
4 Center since 2009. Prior to joining the City of Hope, Dr.
5 Wang was an intramural investigator at the National Cancer
6 Institute. Dr. Wang is an epidemiologist. Her research
7 focuses on the role of environmental and genetic risk
8 factors for developing lymphomas and other cancers. Dr.
9 Wang previously served as an Epidemic Intelligence Officer
10 at the Centers for Disease Control and Prevention. Dr.
11 Wang obtained her doctorate from the Johns Hopkins
12 Bloomberg School of Public Health.

13 Welcome to the Committee, Dr. Wang.

14 COMMITTEE MEMBER WANG: Thank you. I'm really
15 honored to be part of this Committee.

16 DIRECTOR ZEISE: Now, I'll lead you in the oath
17 of office. So if you could please, you know, be first
18 asked to say "I" and then state your name. And you may
19 choose during that segment where you're asked to solemnly
20 swear or affirm, so you can choose to either solemnly
21 swear or solemnly affirm the oath.

22 All right. If you could raise your hand -- your
23 right hand and repeat after me.

24 I, state your name --

25 COMMITTEE MEMBER BESARATINIA: I, Ahmad

1 Besaratinia --

2 COMMITTEE MEMBER WANG: I, Sophia Wang --

3 DIRECTOR ZEISE: Okay -- do solemnly swear or
4 affirm --

5 COMMITTEE MEMBER BESARATINIA: -- do solemnly
6 swear --

7 COMMITTEE MEMBER WANG: -- do solemnly swear --

8 DIRECTOR ZEISE: -- that I will support and
9 defend --

10 COMMITTEE MEMBER BESARATINIA: -- that I will
11 support and defend --

12 COMMITTEE MEMBER WANG: -- that I will support
13 and defend --

14 DIRECTOR ZEISE: -- the Constitution of the
15 United States and the Constitution of the State of
16 California --

17 COMMITTEE MEMBER BESARATINIA: -- the
18 Constitution of the United State and the Constitution of
19 California --

20 COMMITTEE MEMBER WANG: -- the Constitution of
21 the United States and the Constitution of the State of
22 California --

23 DIRECTOR ZEISE: -- against all enemies, foreign
24 and domestic.

25 COMMITTEE MEMBER BESARATINIA: -- against all

1 enemies foreign and domestic --

2 COMMITTEE MEMBER WANG: -- against all enemies
3 foreign and domestic --

4 DIRECTOR ZEISE: -- that I will bear -- that I
5 will bear true faith and allegiance to the Constitution of
6 the United States --

7 COMMITTEE MEMBER BESARATINIA: -- that I will
8 bear true faith in the Constitution of the United of
9 United States --

10 COMMITTEE MEMBER WANG: -- that I will bear true
11 faith and allegiance to the Constitution of the United
12 States --

13 DIRECTOR ZEISE: -- and the Constitution of the
14 State of California --

15 COMMITTEE MEMBER BESARATINIA: -- and the
16 Constitution of the State of California --

17 COMMITTEE MEMBER WANG: -- and the Constitution
18 of the State of California --

19 DIRECTOR ZEISE: -- that I take this obligation
20 freely without any mental reservation or purpose of
21 evasion --

22 COMMITTEE MEMBER BESARATINIA: -- that I take
23 this obligation without any mental reservation --

24 COMMITTEE MEMBER WANG: -- that I think this
25 obligation freely with --

1 DIRECTOR ZEISE: I'll repeat that. I think it's
2 a mouthful. I'll repeat it in pieces.

3 That I take this obligation freely --

4 COMMITTEE MEMBER BESARATINIA: -- that I take
5 that obligation freely --

6 COMMITTEE MEMBER WANG: -- that I take this
7 obligation freely --

8 DIRECTOR ZEISE: -- without any mental
9 reservation --

10 COMMITTEE MEMBER BESARATINIA: -- without any
11 mental reservation --

12 COMMITTEE MEMBER WANG: -- without any mental
13 reservation --

14 DIRECTOR ZEISE: -- or purpose of evasion --

15 COMMITTEE MEMBER BESARATINIA: -- or purpose of
16 evasion --

17 COMMITTEE MEMBER WANG: -- or purpose of
18 evasion --

19 DIRECTOR ZEISE: -- and that I will well and
20 faithfully discharge the duties --

21 COMMITTEE MEMBER BESARATINIA: -- that I will
22 well discharge the duties --

23 COMMITTEE MEMBER WANG: -- that I will well and
24 faithfully discharge the duties --

25 DIRECTOR ZEISE: -- well and faithfully --

1 COMMITTEE MEMBER BESARATINIA: -- faithfully --

2 DIRECTOR ZEISE: I'll say it again. That I will
3 well and faithfully --

4 COMMITTEE MEMBER BESARATINIA: That I will well
5 and faithfully --

6 COMMITTEE MEMBER WANG: That I will well and
7 faithfully --

8 DIRECTOR ZEISE: -- discharge the duties --

9 COMMITTEE MEMBER BESARATINIA: -- discharge the
10 duties --

11 COMMITTEE MEMBER WANG: -- discharge the duties
12 --

13 DIRECTOR ZEISE: -- upon which I am about to
14 enter.

15 COMMITTEE MEMBER BESARATINIA: -- upon which I am
16 about to enter.

17 COMMITTEE MEMBER WANG: -- upon which I am about
18 to enter.

19 DIRECTOR ZEISE: So congratulations. We're
20 honored to welcome you to the CIC Committee. And your
21 deep understanding of carcinogens and your contributions
22 in your field will really add to this esteemed body. So
23 welcome.

24 COMMITTEE MEMBER BESARATINIA: Thank you very
25 much.

1 COMMITTEE MEMBER WANG: Thank you.

2 COMMITTEE MEMBER BESARATINIA: Sorry for the
3 technical difficulties with Zoom.

4 DIRECTOR ZEISE: Oh, no worries at all. Thank
5 you.

6 Okay. Now, I'm pleased to introduce the other
7 members of the Committee. And as I introduce you, if you
8 could please turn on your camera and state your name and
9 affiliation. And then after that, you can turn off your
10 camera, so -- and Dr. Besaratinia, you could turn off your
11 camera now.

12 COMMITTEE MEMBER BESARATINIA: Thanks very much.

13 DIRECTOR ZEISE: So, Dr. Bush.

14 COMMITTEE MEMBER BUSH: Thank you, Dr. Zeise.

15 Yes. Jason Bush, professor and chair of Biology
16 Department at California State University, Fresno, and
17 adjunct professor at UCSF Fresno.

18 DIRECTOR ZEISE: Okay. Thank you.

19 Dr. Crespi.

20 COMMITTEE MEMBER CRESPI: Hi. Catherine Crespi,
21 professor of biostatistics at the UCLA Fielding School of
22 Public Health.

23 DIRECTOR ZEISE: Dr. Eastmond.

24 COMMITTEE MEMBER EASTMOND: Hi. Dave Eastmond,
25 professor emeritus at the University of California,

1 Riverside. Area of specialty, genetic toxicology and
2 chemical carcinogenesis.

3 DIRECTOR ZEISE: Dr. La Merrill.

4 COMMITTEE MEMBER LA MERRILL: Hi. I'm Michelle
5 La Merrill. I'm associate professor of environmental
6 toxicology at the University of California at Davis. I'm
7 also a member of the Comprehensive Cancer Center here at
8 UC Davis.

9 DIRECTOR ZEISE: Dr. Landolph.

10 Dr. Landolph, your camera is off and your --
11 good.

12 COMMITTEE MEMBER LANDOLPH: Hi. Joe Landolph.
13 I'm a associate professor of molecular microbiology and
14 immunology, associate professor of pathology, and a member
15 of the USC Norris Comprehensive Cancer Center. And I work
16 in the area of chemical carcinogenesis and heavy
17 metal-induced neoplastic and morphological cell
18 transformation.

19 DIRECTOR ZEISE: Thank you.

20 Dr. Loomis.

21 COMMITTEE MEMBER LOOMIS: Hi. Dana Loomis.
22 Director of the Plumas County California Public Health
23 Agency.

24 DIRECTOR ZEISE: Dr. Mack.

25 Dr. Mack, your camera and speaker. If you could

1 turn on your camera and your speaker.

2 So Dr. Mack might be having technical
3 difficulties. We can turn to him in a minute again.

4 Okay. Great. Dr. Mack, if you could introduce
5 yourself.

6 CHAIRPERSON MACK: Okay. I'll repeat. I'm Dr.
7 Thomas Mack, epidemiologist and professor emeritus at the
8 Keck School of Medicine and the comprehensive -- and
9 Norris Comprehensive Cancer Center.

10 DIRECTOR ZEISE: Thank you.

11 CHAIRPERSON MACK: Is that okay, Lauren?

12 DIRECTOR ZEISE: Perfect. Thank you.

13 Dr. McDonald.

14 COMMITTEE MEMBER MCDONALD: Hello, everyone.
15 Thomas McDonald, Associate Research Director at the Clorox
16 Company.

17 DIRECTOR ZEISE: Dr. Stern.

18 Oh, Dr. Stern, you're on mute.

19 COMMITTEE MEMBER STERN: Thank you, Dr. Zeise.

20 Mariana Stern. And I'm a professor in population and
21 public health science at the Keck School of Medicine of
22 USC and associate director of population science at the
23 Norris Comprehensive Cancer Center.

24 DIRECTOR ZEISE: Thank you.

25 Okay. Great. So welcome, Committee. We really

1 appreciate your taking the time to provide your advice and
2 judgment at this meeting. Really appreciate it.

3 Okay. So I just want to note that Dr. Loomis is
4 going to be chairing the meeting today on behalf of Dr.
5 Mack. And now, I'd like to introduce the OEHHA staff and
6 invite them to turn on their cameras as I introduce them.
7 So Dr. David Edwards, Chief Deputy Director of OEHHA;
8 Carolyn Nelson Rowan, our Chief Counsel. This is
9 Carolyn's first meeting of the CIC. Dr. Vince Cogliano,
10 Deputy Director for Scientific Programs. And then from
11 the Reproductive and Cancer Hazard Assessment Branch, Dr.
12 Martha Sandy, Branch Chief; Dr. Meng Sun, Section Chief of
13 the Cancer Toxicology and Epidemiology Section. And then
14 staff of the Cancer Toxicology and Epidemiology Section
15 that the Committee will be hearing from today: Dr. Neela
16 Guha, Dr. Jennifer Hsieh, Dr. Rose -- Ms. Rose Schmitz -
17 Rose - Dr. Karin Ricker, and Dr. Gwen Osborne. Okay. And
18 now turning to the Office of External and Legislative
19 Affairs, Proposition 65 Implementation Program, Dr. Amy
20 Gilson, Deputy Director for External and Legislative
21 Affairs. And this is Dr. Gilson's first meeting. Julian
22 Leichty, Special Assistant for Programs and Legislation.
23 Esther Barajas-Ochoa, Analyst for the Implementation
24 Program.

25 All right. Welcome, staff.

1 And now, I'd like to turn it over to Carolyn
2 Rowan for some introductory remarks about Bagley-Keene or
3 other legal issues related to participation in this
4 virtual meeting of the Committee. Okay. And -- great, so
5 Carolyn -- turning it over to you, Carolyn.

6 CHIEF COUNSEL NELSON ROWAN: Thank you. And good
7 morning. I just have a few points to make today before we
8 can get underway. First, a reminder that the Bagley-Keene
9 Act applies to this meeting, so please remember that all
10 discussions and deliberations for this group need to be
11 conducted during the meeting, not on breaks, at lunch, or
12 with individual members of the Committee on or offline,
13 and that includes phone, email, chats, and text messages.

14 As you know, the charge for this Committee has to
15 do with listing chemicals, and -- under Prop 65. So you
16 will use your own scientific judgment on the questions
17 that are put before you. In your materials that you
18 received prior to this meeting, there was a set of
19 criteria developed by an earlier iteration of this
20 Committee for listing chemicals under Proposition 65. If
21 you have questions about the data that you're looking at
22 for a particular chemical, please refer to those criteria.
23 There's a lot of room for you to exercise your scientific
24 judgment, so the intent is to provide guidance in your
25 exercise of that judgment.

1 Sometimes you will hear comments regarding other
2 information that has to do with the impact of a particular
3 listing. For example, whether or not a warning might be
4 required for the chemical, whether the listing will have
5 particular impacts on sectors of the economy. While that
6 information is helpful in the general sense, it isn't part
7 of the criteria for this Committee. You should apply the
8 criteria that you have available in your materials and
9 apply your own scientific judgment on the questions that
10 are put before you.

11 You will also hear about the clearly shown
12 standard, which is part of the statute. You're required
13 to find whether or not a chemical has been clearly shown
14 through scientifically valid testing, according to
15 generally accepted principles, to cause cancer. This is a
16 scientific question and it's not a legal standard of
17 proof. This Committee is also allowed and often does make
18 decisions based entirely on animal evidence. A chemical
19 that you're considering need not have been shown to be a
20 human carcinogen and you don't need to have information
21 about whether or not human exposures to the chemical are
22 sufficiently high enough to cause cancer in order to list.

23 There's no requirement that you make a decision
24 today on any of the questions that will be presented. In
25 the event that you feel you have insufficient information,

1 you can always ask for staff to prepare additional
2 information -- oh, sorry. In the event that you feel you
3 have insufficient information, or you need more time to
4 think or discuss the questions that are before you, you
5 can always ask for staff to prepare additional information
6 or you can ask to defer the question to another meeting.

7 Please feel free to ask me or any other OEHHA
8 staff clarifying questions during the meeting. If we
9 don't know the answer, we'll do our best to find it and
10 report back to you. And I'll be here the whole time. If
11 I do have to step away for any reason, Senior Staff
12 Counsel Kristi Morioka will cover for me. So there will
13 always be an attorney here, if you have any questions.

14 And with that, does anyone have any questions at
15 this point?

16 Okay. Great. I'll pass it back to Lauren.

17 DIRECTOR ZEISE: Thanks so much, Carolyn.

18 Now, I'll turn the meeting over to Dr. Loomis who
19 is the Acting Chair for today's meeting.

20 Dr. Loomis.

21 COMMITTEE MEMBER LOOMIS: Thanks, Lauren and
22 Carolyn. Good morning, everybody. And thanks for joining
23 us this important meeting. Appreciate the participation
24 of all the members of the Committee and the public. So
25 let's go ahead and get started with the primary agenda

1 item, consideration of bisphenol A as known to the state
2 to cause cancer.

3 So we'll begin with a State presentation -- a
4 staff presentation. And Dr. Sun, if you would lead that
5 off please, we can get started.

6 (Thereupon a slide presentation).

7 DR. SUN: Thank you, Dr. Loomis and good morning,
8 everyone. Welcome CIC members. I'm speaking to you today
9 on behalf of all staff scientists of the Cancer Toxicology
10 and Epidemiology Section. Let me first provide some
11 background on the process by which BPA was brought to you
12 today. BPA was brought to the CIC for consultation and
13 prioritization in 2020. And the CIC recommended that BPA
14 be placed in a high priority group for future listing
15 consideration. OEHHA selected BPA for consideration for
16 listing.

17 And in January of 2022, OEHHA solicited from the
18 public information relevant to the assessment of evidence
19 on its carcinogenicity. Information received at that time
20 was reviewed and considered by OEHHA in the course of
21 preparing the September 2022 hazard identification
22 document, or HID. This document, as well as the
23 references cited, and the public comments received on the
24 document have all been provided to the CIC for your
25 consideration.

1 The HID and the presentation you'll be hearing
2 and seeing today are the work products of all staff
3 scientists of our section and not just those who are
4 speaking today. The presentation has been prerecorded and
5 consists of two parts, with a brief Q&A session in between
6 and another Q&A afterwards. We'd like to request that the
7 Committee members please hold your questions until the
8 breaks. OEHHA scientists are present at the meeting and
9 will be able to answer any clarifying questions during the
10 breaks.

11 Now, I'd like to ask Dr. Elizabeth Marder to
12 start our recorded presentation. The first speaker will
13 be Dr. Neela Guha, an epidemiologist.

14 DR. GUHA: Good morning. Today we will present
15 an abbreviated summary of the evidence on the
16 carcinogenicity of bisphenol A.

17 --o0o--

18 DR. GUHA: We will first present background
19 information on BPA use and exposure, then we will present
20 carcinogenicity data from the different evidence streams
21 listed here.

22 --o0o--

23 DR. GUHA: Bisphenol A, or BPA, is a synthetic,
24 high production volume chemical. It is comprised of two
25 phenol rings connected by a methyl bridge. The U.S. EPA

1 reported domestic BPA production between one and five
2 billion pounds in 2019. Most BPA is used in the
3 production of polycarbonate plastics and epoxy resins.
4 Its extensive use has led to BPA being widely distributed
5 in the environment, even without being a persistent
6 chemical. Human exposure occurs predominantly through
7 consumption of contaminated food and water.

8 --o0o--

9 DR. GUHA: Given its decades of extensive use in
10 a wide range of consumer products, human exposure has
11 occurred across all life stages. BPA and BPA metabolites
12 can be measured in human urine, serum, and tissue. Most
13 people have measurable levels of BPA, though in recent
14 years NHANES and Biomonitoring California have observed
15 decreasing trends in detected BPA following a reduction or
16 prohibition of some BPA uses.

17 The level of BPA in an individual and across
18 individuals varies over time, even over the course of a
19 single day, due to its short six-hour half-life, multiple
20 sources of exposure, and multiple daily exposures. This
21 complicates exposure assessment in human studies.

22 --o0o--

23 DR. GUHA: We will present evidence from
24 epidemiology studies and then animal studies. Some animal
25 studies cover the in utero exposure period and some

1 studies used transgenic models.

2 Next, we will present information on
3 pharmacokinetics and metabolism. Metabolism of BPA gives
4 rise to a complex mixture of bioactive metabolites.

5 Finally, we will discuss data related to the 10
6 key characteristics (KCs) Of carcinogens. For BPA, there
7 are data for each of the 10 KCs, with a large volume of
8 data on some of the KCs, such as receptor mediated
9 effects.

10 --o0o--

11 DR. GUHA: I will now briefly present the
12 epidemiologic evidence.

13 --o0o--

14 DR. GUHA: Fifty-one publications were identified
15 in our literature search as human studies of cancer and
16 BPA exposure and 26 of these were included. We included
17 all analytical epidemiologic studies. The quality of each
18 included study was evaluated using criteria similar to
19 those used by the NTP Report on Carcinogens and the IARC
20 Monographs. The studies were assessed for selection bias,
21 information bias, and confounding, and direction and
22 magnitude of these biases were considered. Hill guidance
23 was also considered for issues such as consistency and
24 temporality of the association. We excluded conference
25 abstracts, reviews without primary data, and studies of

1 uterine leiomyoma, which generally do not progress to
2 malignancy. We will present the evidence for breast,
3 prostate, and thyroid cancers, because for these sites
4 there were at least two studies that reported risk
5 estimates.

6 --o0o--

7 DR. GUHA: There are several considerations
8 specific to assessing the epidemiologic literature on BPA
9 and cancer. The general population has likely been
10 exposed to BPA continuously across all stages in life.
11 However, assessment of long-term levels is the greatest
12 challenge for the studies reviewed. Considerable
13 measurement error is possible. Misclassification of
14 exposure would likely be non-differential and bias risk
15 estimate towards the null, although scenarios resulting in
16 bias away from the null are also possible.

17 The biomonitoring studies collected samples at a
18 single time point. No study collected samples at multiple
19 time points to account for the high variability in BPA
20 levels. For the few studies estimating cumulative BPA
21 exposure, there are also issues for characterizing
22 exposure. Questionnaire responses generally do not
23 correlate well with measured urinary BPA levels. Job
24 exposure matrices are of limited utility given widespread
25 exposure to BPA from non-occupational sources.

1 Most studies measured BPA post-diagnosis. It is
2 unknown whether these measurements reflect BPA levels
3 during a relevant time window of susceptibility. If not,
4 true causal effects could be missed. Reverse causation
5 could not be ruled out in these studies. Physiological or
6 behavioral changes associated with the onset of disease
7 and treatment may alter BPA levels. For cross-sectional
8 studies including prevalent cancer cases, there is the
9 concern of length-biased sampling, in which individuals
10 with the longest lasting disease are more likely to be
11 selected into the study. This can be an important
12 consideration for cancers with higher survival, such as
13 breast, prostate, and thyroid cancers. Prevalent cases
14 could differ in characteristics related to BPA levels,
15 such as exposure patterns or metabolism, that could affect
16 their survival compared to the incident cases captured in
17 case-control or cohort design. By design, a temporal
18 association could not be established in these
19 cross-sectional studies.

20 --o0o--

21 DR. GUHA: The majority of epidemiologic studies
22 were on breast cancer and associations with BPA were
23 inconsistent in the 13 published studies. This forest
24 plot includes only the 10 studies that reported a risk
25 estimate. It is a snapshot of the highest exposure

1 category of BPA for each study. It's sorted by the
2 exposure assessment method. A job exposure matrix was
3 used in one study, while the rest performed biomonitoring.
4 Most biomonitoring studies measured BPA in urine, the
5 accepted standard for BPA, and all but one of these
6 studies adjusted for creatinine to enable comparisons
7 between individuals. The studies are heterogeneous in
8 their characteristics.

9 --o0o--

10 DR GUHA: There were two studies of thyroid
11 cancer, both observed increased risk with BPA exposure but
12 are limited in interpretation due to their cross-sectional
13 design. For prostate cancer, there were three studies.
14 Two studies reported effect estimates and both observed
15 increased risks associated with the highest categories of
16 BPA exposure. A third study was cross-sectional, did not
17 report a risk estimate, and is not shown on this slide.

18 This concludes the presentation of epidemiologic
19 data. Now, Dr. Hsieh will present the discussion of
20 carcinogenicity studies in animals.

21 --o0o--

22 DR. HSIEH: Thanks, Dr. Guha.

23 --o0o--

24 DR. HSIEH: Carcinogenicity studies of BPA were
25 conducted in mice, rats, and gerbils.

1 Eight studies were identified where treatment
2 with BPA began at or after four weeks of age, two in mice,
3 four in rats, and two in male gerbils. There were 17
4 studies in which BPA exposure began in utero or within the
5 first week of life, five studies in mice and 12 studies in
6 rats.

7 Not shown are the BPA studies conducted in
8 transgenic mice and other animal models that I will
9 summarize later.

10 The red in the table indicated the nine studies
11 where statistically significant tumor findings were
12 observed by trend or pairwise comparison tests, or both.
13 Before we dive into the tumor findings, our
14 biostatistician, Rose Schmitz, will first explain how
15 these statistical tests were performed.

16 --o0o--

17 MS. SCHMITZ: Good morning. I'll be providing
18 background information on some of the statistical methods
19 used in evaluating the animal cancer bioassay data in the
20 hazard identification document.

21 Trend and pairwise significance tests are
22 performed on tumor incidence data. Tumor incidence for a
23 given tumor type is expressed as follows: the numerator is
24 the number of tumor-bearing animals in a given treatment
25 group and the denominator is the effective number of

1 animals for that group, that is, the number of animals
2 alive at the time of first occurrence of the tumor and
3 examined at the site.

4 When information on time of occurrence of tumors
5 or time of death is not reported, the number of animals in
6 the treatment group is used as the denominator. Like NTP,
7 many U.S. EPA programs, and IARC, OEHHA uses the one-sided
8 Fisher's exact test to assess pairwise significance
9 between the control group and each treated group.

10 To assess the significance of dose-response
11 trends, OEHHA has long used the exact conditional
12 Cochran-Armitage trend test. Under the null hypothesis of
13 no effect, it's assumed that the standard Cochran-Armitage
14 test statistic is asymptotically normally distributed.
15 This is reliable when sample sizes are large and balanced.

16 With the availability of improved computing power
17 since the original derivation by Cochran and Armitage in
18 the 1950s, Williams showed in 1988 that the exact
19 conditional Cochran-Armitage test is robust to small
20 and/or unbalanced sample sizes, such as those frequently
21 used in animal cancer bioassays. Modern statistical
22 software programs, such as SAS and R, contain built-in
23 functions to run the exact conditional test and obtain its
24 p-value. And the exact p-value is calculated using an
25 algorithm developed by Mehta and colleagues in the

1 Biostatistics Division of the Harvard School of Public
2 Health in 1992.

3 Dr. Hsieh will now continue presenting the tumor
4 findings in experimental animals.

5 --o0o--

6 DR. HSIEH: Thanks.

7 Now, I will present the significant tumor
8 findings observed in the animal studies. I will not
9 present data on findings that were not statistically
10 significant. I will start with the findings from studies
11 where exposure to BPA began at or after four weeks of age.

12 In male B6C3F1 mice in the NTP 1982 long-term
13 feeding study, the incidence of malignant lymphoma, and
14 malignant lymphoma and lymphocytic leukemia combined was
15 increased in the low-dose group by pairwise comparison
16 with the control. And in the pituitary, three rare
17 chromophobe carcinomas were observed in the high-dose
18 group, with the increase statistically significant by the
19 Exact trend test.

20 --o0o--

21 DR. HSIEH: Moving on to tumor findings in rats
22 from studies where exposure to BPA began at or after four
23 weeks of age. In male Fischer 344 rats in the NTP 1982
24 103-week feeding study, significant increases were seen at
25 three sites. The incidences of both leukemia and mammary

1 gland fibroadenoma were significantly increased in the
2 high-dose group, with a significant dose-related trend.
3 The incidences of testicular interstitial cell tumors was
4 significantly increased in both dose groups, with a
5 significant dose-related trend.

6 In the Hao et al. (2016), 12-week oral study in
7 female Fischer 344 rats, the incidences of pituitary
8 tumors, likely adenomas of the adenohypophysis, was
9 significantly increased in the low-dose group.

10 --o0o--

11 DR. HSIEH: In the following slide, I'll present
12 the significant tumor findings from studies where exposure
13 to BPA began in utero or within the first week of life.
14 We will start with mice first.

15 Weinhouse et al. exposed female mice to BPA in
16 utero and through lactation, then in feed from
17 post-weaning until study termination at 10 months of age.
18 The incidence of hepatocellular adenoma and carcinoma
19 combined was significantly increased in the high-dose
20 group with a significant dose-related trend.

21 --o0o--

22 DR. HSIEH: Now, I will present studies in rats
23 exposed to BPA beginning in utero or within the first week
24 of life. These studies were conducted under the
25 Consortium Linking Academic and Regulatory Insights on

1 Bisphenol A Toxicity, or CLARITY, program.

2 The slide gives an overview of the eight CLARITY
3 cancer bioassays. All eight studies, I will call them
4 study arms, used six dose levels of BPA from 0 to 25,000
5 microgram per kilogram per day. All eight arms dosed
6 pregnant SD (NCTR) rats with BPA daily by gavage from
7 gestational day six to birth of the pups. In all eight
8 arms, the day after birth, all pups were direct -- were
9 directly dosed with BPA through gavage.

10 In Arms 1 through 4 stopped dosing when the
11 animals reached three weeks of age. In Arms 5 through 8,
12 dosed animals every day until the studies were terminated.
13 In Arms 1, 2, 5 and 6, the studies last until animals were
14 one year of age. In Arms 3, 4, 7 and 8, the studies last
15 until animals were two years of age.

16 --o0o--

17 DR. HSIEH: This slide summarizes the statistical
18 significant tumor findings in the CLARITY-BPA core studies
19 conducted in female rats.

20 In Arm 3, the study with only in utero and three
21 weeks exposure, there were increases in mammary gland
22 adenocarcinoma, and adenoma and adenocarcinoma combined in
23 the lowest dose group compared to control. The incidence
24 of mammary gland adenocarcinoma was also elevated in other
25 dose groups, but was not statistically significant. This

1 apparent non-monotonic response in mammary gland tumor may
2 be related to BPA's non-canonical estrogenic activity,
3 which will be discussed later.

4 In Arm 5, the continuous-dose one-year study,
5 there was a dose-related trend in uterine stromal polyps.

6 In Arm 7, the continuous-dose two-year study,
7 significant dose-related trends in tumors of clitoral
8 gland was observed, specifically increases in clitoral
9 gland adenoma, and adenoma or carcinoma combined.

10 --o0o--

11 DR. HSIEH: This slide summarize the significant
12 tumor findings in the CLARITY studies in male rats.

13 In Arm 4, the two-year study with only in utero
14 and three weeks exposure, an increase in malignant
15 lymphoma of the prostate was observed at the highest dose
16 compared to control, with a significant increasing trend.
17 A dose-related trend in malignant lymphoma at all sites
18 was also observed. In thyroid gland, a dose-related
19 increase in C-cell adenoma was significant by trend.

20 And in Arm 8, the continuous-dose two-year study,
21 a dose-related trend in rare hepatocellular carcinoma was
22 observed.

23 --o0o--

24 DR. HSIEH: Various rare tumors were observed in
25 BPA-treated animals in each of the eight arms in the

1 CLARITY studies.

2 For these studies, which were initiated in 2012,
3 no ideal historical control data on spontaneous tumor
4 incidences were identified. Two NTP studies were
5 conducted by the same NCTR laboratory using SD rats from
6 the same animal colony as those used in the CLARITY-BPA
7 studies. However, the studies were initiated much earlier
8 in 1999 and in 2003 compared to the initiation of the
9 CLARITY-BPA core studies in 2012. They also used a
10 non-gavage exposure route. The two NTP reports for this
11 colony provided data for one historical control
12 comparison. We also used two more recent databases, the
13 Charles River (2013) and NTP (2021) databases. The
14 relevance and the limitations of each database is detailed
15 in the hazard identification document.

16 We reported rare tumors in each of the
17 CLARITY-BPA studies when they occurred at less than one
18 percent incidence in historical control animals in each of
19 the three sets of historical control data, and with zero
20 incidence in the concurrent controls.

21 --o0o--

22 DR. HSIEH: Several additional issues associated
23 with the CLARITY-BPA core studies has been raised in
24 publications. These issues include possible exposure of
25 control animals to BPA as a result of environmental BPA

1 contamination, and lack of a responsiveness of the SD
2 (NCTR) rat colony to estrogenic chemicals and chemicals
3 that affect thyroid gland function, the lack of an
4 unhandled, non-gavaged control group, and the lack of
5 ethinyl estradiol-treated positive controls in the
6 stop-dose arms. These issues may have limited the
7 sensitivity of CLARITY's core studies to detect
8 carcinogenic effects.

9 --o0o--

10 DR. HSIEH: This slide summarizes the tumor
11 findings from the animal cancer bioassays we have
12 discussed so far.

13 There were increases in hepatocellular tumors in
14 male rats and female mice; pituitary tumors in female rats
15 and male mice; and thyroid C-cell tumors in male rats;
16 mammary gland fibroadenoma in male rats; and mammary gland
17 adenocarcinoma, and adenoma and adenocarcinoma combined in
18 female rats; clitoral gland tumors and uterine stromal
19 polyps in separate studies in female rats; testicular
20 Leydig cell tumors in male rats; leukemia in one strain of
21 male rats, and lymphoma in another strain of male rats,
22 and in male mice; rare tumors in BPA-treated rats in each
23 of the CLARITY-BPA core studies, and some with multiple
24 types of rare tumors.

25 --o0o--

1 DR. HSIEH: Now, I will summarize tumor findings
2 from studies conducted with transgenic mouse models.

3 In two studies using the female mouse MMTV-erbB2
4 mammary tumor model, BPA exposure reduced the tumor
5 latency. In one of these studies, BPA also significantly
6 increased tumor multiplicity, tumor volume, and tumor
7 metastasis to the lungs.

8 In a mouse model with an estradiol non-responsive
9 mutant estrogen receptor-alpha ligand binding domain, BPA
10 induced tumor-like outgrowths in the flank muscle in six
11 out of 15 female mice. Two of these six outgrowths were
12 confirmed as adenocarcinomas.

13 --o0o--

14 DR. HSIEH: In other animal models, exposure to
15 BPA either before or after introduction of a xenograft or
16 syngeneic cancer cells, or regeneration of the mammary
17 gland organs led to increased numbers of tumor-bearing
18 mice, increased mean tumor volume and/or tumor weight,
19 increased growth of established tumors, and increased
20 incidence of mammary gland atypical ductal hyperplasia and
21 ductal carcinoma in situ.

22 In animal studies of BPA administered in
23 combination with other chemicals, increased mammary tumor
24 incidence or multiplicity and decreased tumor latency
25 occurred in studies where BPA was administered before a

1 model carcinogen or after a tumor initiator, and increased
2 prostate tumors and prostatic intraepithelial neoplasia
3 were observed in studies where BPA was given before
4 testosterone and 17 beta-estradiol.

5 This concludes the first half of the
6 presentation. We will now have a short Q&A break for any
7 clarifying questions from the Committee.

8 --oOo--

9 COMMITTEE MEMBER LOOMIS: It looks like there's a
10 question from Dr. Eastmond.

11 COMMITTEE MEMBER EASTMOND: Yes. Thank you. A
12 large amount of data that you've summarized. It's my
13 understanding that both for the NTP study that was the
14 high dose study published in 1982 and then for the NTP
15 CLARITY study that was published in 2018, both of those
16 studies had internal review. And they had ex -- they had
17 a peer review committee review the pathology data and the
18 analysis in detail. And both of those concluded that
19 there was -- they did not see that there were any clear
20 treatment-related effects. Do you want to comment as to
21 why you're seeing different results than they are?

22 DR. SANDY: So I'll turn to Dr. Meng Sun and see
23 if she would like to respond.

24 DR. SUN: Yeah, I can say a few words. So, yes,
25 Dr. Eastmond, when we report data from the -- these

1 studies, we look at tumor incidence. And Ms. Rose Schmitz
2 has explained in her -- on her slide that we use effective
3 number whenever possible to report the tumor incidence.
4 We also look at historical control databases for rare
5 tumors. So do you have any specific questions on the
6 tumor incidences we presented?

7 COMMITTEE MEMBER EASTMOND: Well, it's just --
8 it's more of a general thing is that, you know, when you
9 have -- this is a government agency testing result and
10 independent review, external peer review, and they come
11 out and say, yeah, we see some changes here, but given
12 that many of these have, you know, high control values --
13 you know, spontaneous control incidence or the life table
14 analysis don't support what we're seeing here in these
15 tests, you know, their summary conclusions to my
16 understanding were pretty much -- they didn't see any
17 real -- what they thought were clear treatment-related
18 increases.

19 So it strikes me when you go through all these
20 trends, there's not a stepping back and looking and saying
21 what do these other people that actually conducted the
22 studies or did the primary review and evaluation reports
23 what were their conclusions. That seems to have been
24 ignored in going through your results. But anyway, it's
25 just something that struck me in this -- both of these

1 two, because they're high quality studies generally.

2 DR. SUN: Dr. Sandy, you had your hand up
3 earlier. Did you want to say something?

4 DR. SANDY: I believe Dr. Cogliano wishes to
5 speak.

6 DR. COGLIANO: I want to say thank you very much.
7 So I think you're right, Dr. Eastmond. This is what the
8 NTP panels have said. But what we're doing here is
9 presenting all of the data that we have. And the NTP
10 Panel is sort of looking at just the CLARITY Study and
11 whether they thought that that provided some level of
12 evidence. We're going to be presenting as well as the NTP
13 study. We've presented some of the other studies, as well
14 as some of the mechanistic information that you'll hear
15 after this question and answer break. And this is to give
16 the Committee the full picture of evidence that exists
17 that will go into your deliberations.

18 COMMITTEE MEMBER EASTMOND: Okay. Thanks, Vince.

19 COMMITTEE MEMBER LOOMIS: Are there any other
20 questions from the Committee?

21 I can't see everybody in the gallery right now.
22 So if there's others.

23 Dr. Landolph. Okay. Go ahead, Dr. Landolph.

24 COMMITTEE MEMBER LANDOLPH: There. Yeah, thank
25 you very much for that interesting presentation. I've

1 read through this 600 page document. I'm on my third
2 reading through it now. And I guess my simple question to
3 the authors is in your opinion, given all this data that's
4 present on the animal carcinogenesis studies, what I'm
5 looking at and I'm seeing is data that is positive,
6 occasional trend tests that are positive, dose response
7 curves in some of these assays, and it's a very large
8 database.

9 So my opinion looking at this is I would not be
10 able to dismiss in my mind all this data that's positive.
11 And I'd like to ask the authors, you know, the whole
12 animal carcinogenesis studies, is that something you feel
13 that you could do? Could you declare all this data as
14 negative, because I don't feel that way?

15 DR. SANDY: So if -- perhaps I can take a start
16 at this. This is Martha Sandy. So Dr. Landolph, we have
17 presented and tried to summarize the data that are
18 available, the evidence that is available from all data
19 streams. And we've presented that to your Committee and
20 really it's -- it's your Committee's job to make the
21 decision, so we leave it to you.

22 COMMITTEE MEMBER LANDOLPH: Well, that's --
23 Martha -- that's fine, Dr. Sandy. And I'm quite able to
24 do that, but I'm just asking you as the people who have
25 lived with this data and have summarized it, is do you

1 think this is a negative database? I mean, I don't feel
2 that way at all. Are you allowed to express an opinion on
3 that?

4 DIRECTOR ZEISE: You know, I just want to say I
5 think we're, you know, I think what we wanted to do was
6 break the full presentation -- really appreciate the
7 comments being made, but I think what we wanted to do was
8 to present the evidence in the report. And so what we did
9 is we're kind of halfway through our presentation. And
10 this is a break for clarifying questions. So I think
11 we'll have ample time to have a discussion -- you know,
12 for the Committee to have a discussion around the
13 evidence. We'll also include an opportunity for public
14 comment. But I wonder if we could potentially leave that
15 discussion for later on. It's covered under a different
16 agenda item.

17 COMMITTEE MEMBER LOOMIS: Yeah, let me chime in
18 for a moment. I think that's what we need to do. The
19 question that Dr. Landolph is raising is important, but
20 it's obviously central to the Committee's job here in this
21 meeting. So let's go ahead. I see one more question from
22 the Committee. We'll go ahead with the rest of the
23 presentation and then we'll have a chance to discuss the
24 Committee's opinions on the evidence.

25 So, if that's okay, I'm going to turn to Dr.

1 Crespi with another clarifying question.

2 COMMITTEE MEMBER CRESPI: Yeah, I do have a
3 clarifying question. So regarding the animal studies, is
4 it the case that the analyses that we were presented with
5 here and also in the report were analyses that were done
6 by the OEHHA staff rather than what was conducted by the
7 study investigators, in particular the NTP and the CLARITY
8 studies? Maybe staff could clarify that question and how
9 their analyses differs from the ones that were done by the
10 study investigators.

11 DR. SUN: I could try to answer that. Yes, you
12 are correct, Dr. Crespi, in reporting the NTP 1982 studies
13 and the CLARITY studies, OEHHA did our own statistical
14 analysis. And Ms. Schmitz has laid out the way we do our
15 statistical analysis, the one-tailed Fisher pairwise
16 comparison and the exact trend test. And we have table
17 footnotes underneath each table where we presented tumor
18 incidence on how these tests were done and who did them.

19 COMMITTEE MEMBER CRESPI: Thank you.

20 DR. SANDY: And this is Martha Sandy. I'll
21 clarify that -- or add to that clarification that it is
22 our practice when we have data in published studies and
23 published reports to look at the data and to do analyses,
24 as Dr. Meng has -- Sun has indicated. In publications
25 where the -- we don't have any additional information

1 needed to do certain analyses, such as effective number
2 using that, then we have to rely on just what's reported
3 in the paper, and we summarize that in the hazard
4 identification document letting people know, the reader
5 know, what was available to us.

6 COMMITTEE MEMBER LOOMIS: Thanks, Martha.

7 Dr. Landolph, you still have your hand up. Did
8 you have another comment or question?

9 COMMITTEE MEMBER LANDOLPH: No, sorry. I just
10 forgot to pull it down.

11 COMMITTEE MEMBER LOOMIS: Okay. Dr. Eastmond, it
12 looks like you're back. Do you have another question?

13 COMMITTEE MEMBER EASTMOND: Yeah, just a bit of
14 a -- well, somewhat clarifying. It's my understanding
15 that say for the CLARITY Study, there were something like
16 six doses. And so there were pairwise comparisons done
17 with each of those doses, plus a trend test. And that was
18 what OEHHA did. And I think independently, and there may
19 have been life study tests done by NTP and others, this is
20 somewhere -- my calculations, does that mean you're --
21 there are probably at least 40 tissues evaluated. So
22 you're looking at probably 200 statistical tests for that
23 particular study, is that correct?

24 Now, that's approximate, but usually it's -- you
25 know, if you've got 40 tissues and you've got five

1 pairwise comparisons and one trend test, that would be 40
2 times six, so you're looking at 200 to 240 tests. And
3 that's what I have to look at is looking for consistency
4 and numbers of statistical tests that are done.

5 COMMITTEE MEMBER LOOMIS: So is that a question?

6 COMMITTEE MEMBER EASTMOND: Well, does that sound
7 like reason -- does that sound about right, that there
8 were roughly six doses with pairwise comparisons plus
9 trend tests. So you're looking at something about 200
10 different statistical tests done for that one study.

11 DR. SUN: Dr. Eastmond, I wonder if you're
12 worried about multiple comparison issues, is that --

13 COMMITTEE MEMBER EASTMOND: I mean that -- that
14 basically was what it gets to is that with that many
15 statistical tests you're going to expect a certain number
16 to be positive by random chance.

17 COMMITTEE MEMBER LOOMIS: Well, this is a -- this
18 sounds like a discussion item, so --

19 COMMITTEE MEMBER EASTMOND: Yeah. Oh, it's true.
20 I mean, that's correct. I was trying to point out and
21 ask -- clarify it, but that's fine.

22 DR. SUN: Maybe later on during discussion our
23 biostatistician could explain.

24 COMMITTEE MEMBER LOOMIS: Is that satisfactory?
25 Dr. Landolph, it looks like your hand is still

1 up, if you could just put that down, it helps --

2 COMMITTEE MEMBER LANDOLPH: Yeah.

3 COMMITTEE MEMBER LOOMIS: -- keep track of
4 things. Are there any more questions from the Committee
5 before we go on to the second part of the staff
6 presentation?

7 Okay. Seeing none, let's proceed then.

8 DR. SUN: Thank you. Dr. Marder, could you start
9 the second half. The next speaker will be Dr. Karin
10 Ricker.

11 --o0o--

12 DR. RICKER: We are now at the second part of our
13 presentation, which covers mechanistic considerations and
14 other relevant data. I will start with pharmacokinetics
15 and metabolism.

16 --o0o--

17 DR. RICKER: The pharmacokinetics and metabolism
18 of BPA are well studied. BPA is well absorbed following
19 oral or dermal routes in humans and is distributed
20 throughout the body. BPA can cross the blood-brain
21 barrier and placenta and it has been detected in breast
22 milk, adipose tissues, and body fluids such as amniotic
23 fluid. BPA has short half-lives in humans and animals,
24 generally less than 24 hours. The serum half-life of BPA
25 by the oral route in humans is about six hours.

1 Excretion is fast with some species differences.
2 In humans, urine is the primary excretion pathway, along
3 with feces, breast milk, and sweat. Although it has a
4 short half-life in humans, BPA is still detected in over
5 90 percent of the U.S. population, suggesting daily
6 exposure. In rodents, feces is the main excretion
7 pathway. BPA also undergoes enterohepatic circulation in
8 rodents but not humans.

9 --o0o--

10 DR. RICKER: BPA metabolism is complex. Here is
11 an overview. We have metabolism with conjugation to
12 glucuronate and sulfate, delineated in the two light blue
13 boxes here. The green boxes below show metabolites of
14 dimerization and the rest is oxidative metabolism.

15 --o0o--

16 DR. RICKER: Now, to walk you through this, let's
17 start with the parent molecule, BPA.

18 BPA is metabolized in the liver, primarily via
19 conjugation with either glucuronic acid or sulfate,
20 leading to the formation of BPA-glucuronide and
21 BPA-sulfate, both shown here. BPA-glucuronide is usually
22 the main metabolite formed in humans and constitutes
23 approximately 70 percent of excreted metabolites. The
24 primary hepatic enzymes in humans are listed here on this
25 slide. BPA-glucuronide can cross the placenta and can

1 subsequently be de-conjugated in the fetus by
2 beta-glucuronidase leading to free BPA.

3 Next, we have sulfoconjugation of BPA. In
4 humans, this reaction is primarily carried out by
5 cytosolic sulfatase 1A1. BPA-sulfate is usually a minor
6 metabolite and constitutes about 20 percent of excreted
7 metabolites. BPA-sulfate can also be deconjugated by
8 enzymes such as estrone sulfatase.

9 While phase two metabolism of BPA is very
10 effective, there are several conditions or factors that
11 can influence the extent of conjugation and thus the
12 amount of unconjugated BPA. We have listed a few examples
13 here. Enzyme polymorphisms of key enzymes can result in
14 significantly lower glucuronidation. I already mentioned
15 the de-conjugation reactions by beta-glucuronidase and
16 estrone sulfatase. Co-exposure to other phenolic
17 xenobiotics and/or medications can also lead to a
18 significant reduction of BPA conjugation. People with
19 certain diseases or at certain life stages can also have
20 less BPA conjugation.

21 Now, we will turn to the oxidative metabolism.
22 These reactions are generally catalyzed by cytochrome P450
23 enzymes, CYP enzymes for short. Different metabolic
24 reactions include hydroxylations, carbon bond cleavage,
25 dimerization, and conjugation with glutathione.

1 In an initial oxidation step, BPA is
2 hydroxylated by CYP enzymes and forms the
3 ortho-hydroxy-BPA, also called BPA catechol. The catechol
4 is estrogenic and has been shown to induce cell
5 proliferation of human breast cancer cells. In the next
6 step, the catechol undergoes further oxidation to the
7 semiquinone, and ultimately forms the BPA-3,4-quinone and
8 its glutathione conjugate. The BPA quinone can form DNA
9 adducts and it can undergo redox cycling during which
10 reactive oxygen species are produced, leading to oxidative
11 stress.

12 BPA itself can also be directly conjugated with
13 glutathione. This step requires enzymatic activity from
14 CYP enzymes and may involve a reactive arene epoxide
15 intermediate, which is shown here.

16 Carbon bond cleavage of the parent molecule forms
17 hydroquinone and a carbocation intermediate, another
18 reactive metabolite. Downstream metabolism then leads to
19 two compounds, isopropenylphenol and hydroxycumyl alcohol.
20 And hydroxycumyl alcohol is also estrogenic. Further
21 reactions of isopropenylphenol and its intermediate
22 radical lead to the formation of the intermediate of the
23 metabolite MBP. MBP, the chemical name is shown here on
24 the slide. MBP is more estrogenic than BPA and has been
25 shown to induce proliferation of human breast cancer

1 cells. The carbon bond cleavage also produces phenol and
2 its glutathione conjugate.

3 Lastly, BPA can form dimers. Several dimers have
4 been identified. Here, we show two dimers, one with a
5 carbon linkage and the other one with a carbon-carbon
6 linkage. BPA dimerization may be a two-step metabolic
7 process consisting of enzymatic oxidation of BPA into an
8 unidentified reactive intermediate followed by a
9 nonenzymatic reaction between the reactive compound and
10 the parent compound. Alternatively, there may be an
11 aromatic radical pathway involving an aryl radical.

12 This concludes the metabolism section. We are
13 now moving on to Dr. Osborne, who will discuss the data
14 for the key characteristics of carcinogens.

15 --o0o--

16 DR. OSBORNE: We organized the mechanistic data
17 for BPA by the ten key characteristics of carcinogens, or
18 KCs, that are used by IARC and NTP in their evaluations of
19 carcinogenicity evidence. The key characteristics were
20 identified by IARC based on a comprehensive review of
21 mechanistic information for known human carcinogens in
22 IARC Group 1.

23 As detailed in the HID, there is evidence on BPA
24 for each of the 10 KCs, and some with considerable
25 evidence. Data from human and animal, animal cells in

1 vitro, and acellular systems were identified for many of
2 these KCs. A brief overview of each will be presented.
3 You'll notice that on each slide there is a reference to
4 the section and appendix in the HID for each KC. By far,
5 the most evidence is related to receptor-mediated effects,
6 so I'm going to start with KC8.

7 --o0o--

8 DR. OSBORNE: Over 1,000 studies were identified
9 for KC8. For the estrogen receptor, there is a large body
10 of evidence from observational studies in humans and
11 multiple experimental systems indicating that BPA
12 modulates classical estrogen receptor-mediated effects to
13 induce estrogenicity through several different estrogen
14 receptor subtypes.

15 BPA can also modulate non-canonical estrogen
16 receptor activities, such as the rapid onset of
17 extranuclear responses at low-dose with non-monotonic
18 dose-response. This could potentially explain the mammary
19 tumor response at the lowest dose seen in the CLARITY-BPA
20 core study number three. BPA is also observed to affect
21 membrane-associated, G-protein coupled, and
22 estrogen-related receptor gamma, and to induce epigenetic
23 changes to regulate the expression of estrogen receptor
24 alpha.

25 --o0o--

1 DR. OSBORNE: Now, turning to other receptors.
2 BPA exposure was associated with an increase in expression
3 of the progesterone receptor in some human cell studies
4 and most in vitro studies in non-human mammalian cells.
5 BPA exhibited antiandrogenic activity on human androgen
6 receptor and interfered with androgen receptor nuclear
7 translocation in several studies in human cells and in
8 cells from other mammals.

9 BPA exposure antagonized activity of thyroid
10 hormone receptor beta in several human cell lines. And
11 BPA altered expression of other nuclear receptors such as
12 peroxisome proliferator activated receptors alpha and
13 gamma, aryl hydrocarbon receptor, and pregnane X receptor,
14 each of these in several different systems.

15 --o0o--

16 DR. OSBORNE: Now, for the effects on hormone
17 levels. BPA levels were positively associated with
18 estradiol in male partners in subfertile couples, girls
19 and female adolescents, and newborns.

20 Higher BPA levels were associated with elevated
21 testosterone in women and girls with polycystic ovarian
22 syndrome. No consistent findings were observed in other
23 subpopulations. BPA decreased testosterone levels in male
24 mice, and altered testosterone levels in female mice, but
25 not consistently in either direction. Higher BPA exposure

1 was associated with increasing prolactin levels in
2 occupationally exposed men and women. Prolactin levels
3 were also increased in rats following BPA exposure.

4 No consistent associations were observed with
5 progesterone or thyroid hormones.

6 --o0o--

7 DR. OSBORNE: There are also a lot of studies for
8 KC10, alters cell proliferation, cell death, or nutrient
9 supply. Many studies observed BPA-induced cell
10 proliferation in multiple types of human cells. Many
11 studies in rats and mice have reported BPA-induced
12 hyperplasia in multiple organs. Multiple studies report
13 BPA decreases apoptosis, increases anti-apoptotic
14 proteins, and decreases pro-apoptotic proteins in several
15 human cancer cell lines.

16 Additional studies report BPA alters proteins
17 involved in cellular replication or cell cycle control
18 signaling pathways in several human cancer cell lines,
19 increases angiogenesis in human umbilical vein endothelial
20 cells and increases pro-angiogenesis gene expression in
21 human cells, and increases glycolysis-based energy
22 production in several human cancer cell lines.

23 --o0o--

24 DR. OSBORNE: KC1, is electrophilic or can be
25 metabolically activated. As mentioned earlier, BPA

1 metabolism generates electrophilic and reactive
2 metabolites, which are listed here. BPA may also induce
3 oxidative lesions in DNA as discussed in more detail under
4 KCs 2 and 5. The metabolite BPAQ can form other DNA
5 adducts, which has been observed in human breast cancer
6 cells and other systems. Following enzymatic activation,
7 BPA can also form protein adducts.

8 --o0o--

9 DR. OSBORNE: Next is genotoxicity. BPA induced
10 mutations in human fibroblasts and kidney cells in vitro.
11 BPA increased the dominant lethal mutation rate in male
12 rats. However, no effects were observed in bacteria,
13 yeast, or Drosophila. The mutagenicity of BPA has not
14 been well studied in systems other than bacteria and
15 yeast.

16 Several chromosomal effects induced by
17 noncytotoxic concentrations of BPA were observed. Studies
18 in animals and in human and animal cells have reported
19 increases in micronuclei, chromosomal aberrations, and
20 various types of chromosomal abnormalities following BPA
21 treatment. In plants and acellular systems, BPA increased
22 chromosomal aberrations and microtubule abnormalities.

23 --o0o--

24 DR. OSBORNE: A substantial amount of data on
25 BPA-induced DNA damage are available. More than ten human

1 observational studies reported associations between BPA
2 and urinary or serum levels of 8-OHdG, a biomarker of
3 oxidative damage to DNA. Two human observational studies
4 reported positive associations between urinary BPA
5 concentration and sperm DNA fragmentation. Increases in
6 DNA adduct formation, DNA strand breaks, oxidative damage
7 to DNA, and gamma-H2AX were observed in multiple
8 experimental systems treated with noncytotoxic
9 concentrations of BPA. Increases in expression of
10 proteins associated with DNA damage-control were observed
11 in two studies in human cells in vitro and in an earthworm
12 study.

13 --o0o--

14 DR. OSBORNE: Evidence of BPA-induced oxidative
15 stress comes from consistent findings from many human and
16 animal studies in vivo and in vitro. More than 500
17 original studies were identified and this slide summarizes
18 positive findings of various KC5 biomarkers.

19 As mentioned earlier, 8-OHdG is a biomarker for
20 measuring the direct effect of oxidative damage to DNA.
21 BPA was significantly associated with increased 8-OHdG in
22 multiple studies of human populations at different life
23 stages and in different locations. Increases were also
24 seen in many animal studies in vivo and in vitro.

25 Significant increases of reactive oxygen or

1 nitrogen species were reported in many human cell and
2 rodent in vivo and in vitro studies, some with
3 dose-dependent increases. In human cells, increased ROS
4 production was observed at low doses.

5 BPA was also significantly associated with
6 increases in lipid peroxidation in human observational
7 studies and multiple other data streams. In addition,
8 significant reductions of GSH or antioxidant enzymes were
9 reported.

10 --o0o--

11 DR. OSBORNE: KC3, alters DNA repair or causes
12 genomic instability. A few studies reported decreased
13 capacity to repair DNA damage in human and rodent cells.
14 Some studies reported decreased expression of DNA repair
15 enzymes.

16 --o0o--

17 DR. OSBORNE: KC4, induces epigenetic
18 alterations. Many studies related to epigenetic
19 alterations that may be relevant to carcinogenesis were
20 identified in humans and animals. Examples of some of the
21 observed effects are shown on the slide. This includes
22 examples of possible BPA associations with altered
23 methylation of specific genes found to be altered in
24 various cancers, global methylation, and microRNA
25 expression. MicroRNAs play crucial roles in the

1 regulation of cancer-associated processes, including
2 proliferation, differentiation, and apoptosis. Altered
3 histone modifications were also observed in several human
4 cell lines.

5 --o0o--

6 DR. OSBORNE: Data on KC 6, induces chronic
7 inflammation, comes from several human observational
8 studies and many animal studies. In human cross-sectional
9 studies, positive associations were observed between BPA
10 levels and inflammatory biomarkers, such as c-reactive
11 protein and tumor necrosis factor alpha. BPA was
12 positively associated with increased levels of interleukin
13 6 in eight cross-sectional studies and one cohort study.
14 No significant associations were observed with IL-1beta,
15 IL-10, TNF-alpha, or CRP in the two cohort studies.

16 In animals, BPA exposure was associated with
17 chronic inflammation, as evidenced by histopathology and
18 concurrent chronic inflammation and increases in
19 pro-inflammatory biomarkers in 12 studies. Two studies
20 reported a negative association between BPA exposure and
21 these biomarkers.

22 --o0o--

23 DR. OSBORNE: KC7, is immunosuppressive. T and B
24 cell cellularity or proliferation was decreased in several
25 systems. Neutrophil chemotactic capacity was decreased in

1 human cells in vitro and mice. Effects were also observed
2 on macrophages. For example, macrophage phagocytotic
3 capacity was decreased in human cells in vitro, rodents,
4 and fish.

5 --o0o--

6 DR. OSBORNE: Dendritic cell endocytotic capacity
7 was decreased in human cells in vitro and cell numbers
8 were decreased in rats. BPA exposure decreased the
9 percentage of splenocytes that were natural killer cells
10 in mice. And IgM levels were decreased in mice and fish.

11 --o0o--

12 DR. OSBORNE: Finally, immortalization of cells
13 by BPA was reported in several systems.

14 These include: Cell transformation of Syrian
15 hamster embryo cells; in human cells, increased cell
16 invasion and mesenchymal cell markers and decreased
17 epithelial cell markers and p21 expression, a gene
18 involved in cellular senescence; and altered telomerase
19 activity and expression and telomere length. In a
20 cross-sectional study, higher urinary BPA levels were
21 associated with shorter telo -- relative telomere length
22 in adult women.

23 --o0o--

24 DR. OSBORNE: To recap, BPA is unusual in that
25 there is evidence for each KC with considerable evidence

1 for some. For KC1, electrophilicity, there is evidence
2 that BPA produces electrophilic metabolites, DNA and
3 protein adducts, and oxidative lesions in DNA.

4 For KC2, genotoxicity, there is evidence of
5 mutagenicity, chromosomal effects, and DNA damage.

6 For KC3, DNA repair and genomic instability.
7 There are a few studies that have reported a decrease in
8 DNA repair capacity and DNA repair enzyme expression.

9 For KC4, epigenetics, BPA was associated with
10 altered global and local methylation, DNA
11 methyltransferase changes, microRNA changes, and histone
12 modifications.

13 For KC5, oxidative stress, effects such as
14 oxidative damage to DNA, increase in ROS and RNS, increase
15 in lipid peroxidation, and decreases in GSH and
16 antioxidant enzymes were observed.

17 For KC6, chronic inflammation, increases in
18 inflammatory cytokines and tissue inflammation were seen.

19 For KC7, immunosuppression, several alterations
20 were observed, including a decrease in T and B cells,
21 decrease in macrophage phagocytosis, neutrophil
22 chemotaxis, dendritic cell endocytosis and IgM.

23 For KC8, receptor-mediated effects, BPA activates
24 estrogen receptors, antagonizes the androgen receptor,
25 alters hormone levels, and alters PPARalpha and gamma,

1 AhR, and PXR levels.

2 For KC9, immortalization, increases in cell
3 transformation and invasion and mesenchymal cell markers
4 were observed, as well as decreased cellular senescence
5 genes and altered telomerase activity and telomere length.

6 Finally, KC10, cell proliferation, cell death,
7 and nutrient supply. BPA increased hyperplasia and
8 proliferation, decreased apoptosis, increased
9 angiogenesis, altered cell cycle control pathways, and
10 increased glycolysis-based energy production.

11 And that concludes our presentation of the data
12 regarding the carcinogenicity of BPA. Thank you for your
13 attention and we're happy to take any questions.

14 COMMITTEE MEMBER LOOMIS: Okay. Thank you to the
15 staff for that presentation. Let's see if there are any
16 further questions from the Committee.

17 Okay, Dr. Eastmond, you're first.

18 COMMITTEE MEMBER EASTMOND: Me again. I had a
19 question. Quite an amazing amount of information that you
20 covered and compiled in your document. It strikes me as a
21 phenolic compound, BPA should act as an antioxidant
22 certainly at low doses. Did you see any -- but we're
23 getting all these reports of oxidative damage. And it's
24 probably the most consistent in many respects. Did you
25 see any dose relationship between this sort of antioxidant

1 you would predict versus the oxidative stress when you
2 looked at the -- analyzed the data?

3 DR. SUN: I'll give it a try, Dr. Eastmond. Yes,
4 we did see dose response in some of the studies for
5 oxidative stress, in KC 5. And if you'd like to give us
6 some time, we can respond to you with the list of specific
7 studies. But yes, we do see dose response and it's
8 possibly mediated by the BPA metabolite BPA quinone, which
9 is including KC 1 as well.

10 COMMITTEE MEMBER EASTMOND: I mean, would -- I
11 mean it just struck me as unusual, because I look at the
12 molecule, these phenolics tend to be antioxidants at low
13 dose. And clearly, as you go to the high dose is when you
14 get the hydroxylation and form the quinone, then you would
15 expect oxidative stress. But anyway, it was interesting
16 be to me that just that combination. I wondered if there
17 was a distinct dose response. But anyway, thanks.

18 COMMITTEE MEMBER LOOMIS: Any other questions
19 from the Committee?

20 Dr. Eastmond, you still have your hand up.

21 Going once.

22 Going twice.

23 Okay. I don't see any other questions. So if
24 there are none, it's time to move on to the next segment
25 of the meeting, and that is the Committee discussion of

1 the evidence.

2 And so we'll start first with human cancer
3 studies. With Mariana Stern, Catherine Crespi, and
4 myself. And then we'll move on to discussion of the
5 studies of cancer in animals with Dr. Landolph, Dr. Bush,
6 Dr. La Merrill. And then finally, we'll have several
7 discussants on the 10 key characteristics of carcinogens.

8 Somewhere in there we'll stop for lunch, but that
9 will just depend on how things move along. So I'll call a
10 lunch break sometime around 12 when we come to a stopping
11 point between the major sections.

12 So let's go ahead with discussion by the
13 Committee of studies of cancer in humans. Dr. Stern,
14 would you like to start?

15 COMMITTEE MEMBER STERN: Sure, I'll be happy.

16 So just pulling my notes here. So thank you the
17 OEHHA team for your wonderful presentation. You make our
18 job much easier because you've provided a wonderful
19 overview of the epidemiological literature. So what I'm
20 going to try to do is focus on highlighting some of the
21 most informative studies and giving a summary of the whole
22 picture of the studies that we review.

23 So as the OEHHA team highlighted, BPA has been
24 detected in the human population, so that confirms that
25 the exposure is widespread. However, it's not a

1 persistent chemical. So quantifying the exposure and
2 documenting the association between exposure levels and
3 cancer risk is -- has proven to be extremely challenging.

4 There has been two main approaches that have been
5 used in the epidemiological studies that have been
6 identified to measure BPA. And these were summarized
7 before, so I'll just repeat it very quickly. One has been
8 measuring in biological samples mostly urine, blood, and
9 blood serum, and a few measure in adipose tissue. And
10 aside from technical issues with the actual measurements,
11 which some of the studies had some issues, a key challenge
12 is that -- that the half-life of BPA is fairly short
13 between four and six hours. So any single measurement is
14 really not going to capture cumulative levels of exposure.
15 It's an intermittent exposure that we're capturing.

16 So we're likely underestimating any possible
17 association between BPA and cancer risk, because this
18 challenge is likely non-differential. So we project that
19 this is the direction of the misclassification. Another
20 approach that has been used by a few studies are -- is to
21 use job exposure matrices, which have been useful for
22 other chemicals. However for BPA, they're not that useful
23 because majority of exposure comes from diet and beverages
24 and not really from occupational settings.

25 There's only one study that I'm going to

1 highlight, which took a different approach, which was to
2 do a specific questionnaire around sources of exposure of
3 BPA, such as, for example, drinking hot water from a
4 plastic cup. And they constructed a database based on
5 existing literature to be able to assign scores of
6 exposure to the participants. So I'll share in a few
7 minutes what that study found.

8 Another important issue that complicates our
9 understanding of the role of BPA in cancer through the
10 epidemiological studies is the issue of temporality.
11 Majority of the studies measure it at the time of
12 diagnosis or soon after diagnosis. So we don't know if
13 that measurement is representative of what the
14 participants were exposed to when their cancer developed.
15 There's only a few studies that measure it in a
16 prospective study setting.

17 So altogether, the evaluation of BPA's role in
18 cancer risk through epidemiological studies is extremely
19 challenging and possibly unreliable. And so a key concern
20 is that we have not been able to capture the true
21 association that may or may not exist in the population.
22 From my perspective, my main concern is not so much that
23 we may find something spurious - although in some studies,
24 and I will highlight that, that might be the case - rather
25 that we may not be finding an association that may exist

1 in the population.

2 So there were a total of 28 publications and
3 majority were for breast cancer with 14 publications --
4 excuse me -- followed by prostate with three, and lung and
5 thyroid cancer with two publications each. And then there
6 were single publications for another set of cancer, mainly
7 endometrial, osteosarcoma, meningioma, melanoma,
8 gallbladder cancer, lymphomas, and one study that focused
9 on all cancers focusing on mortality.

10 Studies included were from the U.S., from Europe,
11 from China, from Korea, Mexico, and Iran. And studies
12 differ quite a bit in how informative they are, with the
13 most informative studies being the minority and including:
14 those that measure BPA prior to diagnosis, which were only
15 a handful; those that use proper methods to measure BPA
16 adjusting levels -- to levels of creatinine, and having
17 high levels of detection in their sample; those that
18 consider appropriate confounders, such as body mass index,
19 which is known to be an important confounder for many
20 cancers, and those that have reasonable sample sizes.

21 So I'll talk about the studies in breast cancer
22 first. There were three studies that measured exposure
23 before diagnosis, so these were prospective studies where
24 exposure was measured before patients that developed the
25 cancer.

1 One study used a job exposure matrix and about 10
2 percent of women only reported having been exposed based
3 on occupation. They didn't find an association in the
4 study, although they did report a positive association
5 when considering women who had longer time of exposure,
6 but this was not significant.

7 So as I mentioned before, a key concern with this
8 study is that we don't think that occupational settings
9 are the main source of exposure to BPA and only 10 percent
10 of the women were exposed. Another study was done in the
11 context of the EPIC cohort, which is a prospective
12 multi-center study done in Europe. This study was based
13 in Spain. They measure BPA in serum. Only one-time
14 measure. This is true for all studies. No study measure
15 repeated times BPA. They did not find an association. A
16 key concern with this study is that the level of detection
17 BPA in serum was -- is much lower than in urine.

18 Finally, there was a study done within the
19 multi-ethnic cohort, which is another population-based
20 cohort done here in California and in Hawaii of over a
21 hundred thousand people. And it includes five different
22 racial and ethnic groups. They measure BPA in urine and
23 found no evidence of an association with cancer risk.

24 There were 11 additional studies that measured
25 BPA at the time of diagnosis or soon after. And these

1 studies differed in several aspects, which introduces a
2 lot of variability in how informative the studies are,
3 mainly how they handle BPA measures, some adjusted for
4 creatinine levels, some did not, some had only a very
5 small percent of the sample with detectable levels. And
6 the studies differed in approaches of how to handle those
7 samples that had detection levels lower than the level --
8 the threshold of detection.

9 Some consider total BPA, some consider only free
10 BPA, which is known to be very low, because BPA is
11 metabolized very quickly. Some consider important
12 confounders like BMI, some did not. And the biological
13 matrices used varied. Some -- majority used urine, but
14 some used serum and a few adipose tissue.

15 Altogether, there were three studies that
16 measured BPA in urine that found significant positive
17 associations. One was a study in China, one in Iran, and
18 one study in Mexico. The study in Iran was very small, so
19 I don't think it's very informative.

20 The Chinese -- the study done in China was of
21 moderate size, but a key concern is the fact that they did
22 not adjust for BMI, so that estimation of a positive
23 association could be inflated.

24 The Mexico study is a bit more informative. They
25 did find a positive association. A key concern with this

1 study was that a lot of the women had measurements that
2 were below the level of detection. However, they did do a
3 sensitivity analysis where they just focused on those that
4 had detection levels above the threshold with reasonable
5 sizes -- sample size. And they did see a positive
6 association among that subset. So I think that this study
7 is the only one of this group that is informative.

8 There was one study in Taiwan that measured BPA
9 in urine that also reported a significant difference in
10 levels between cases and controls, but they did not
11 provide proper estimates of association adjusted for
12 confounders.

13 And finally, there were six studies that reported
14 no associations. Four of them were informative, given the
15 sample size, consideration of confounders, and use of
16 urine samples, with one study using adipose tissue.

17 So in conclusion, the evidence for breast cancer
18 is inconclusive with majority of informative studies not
19 showing an association, but with two informative studies
20 out of the 14 showing a positive association. So next I
21 will move on -- move to prostate cancer. There were three
22 studies, one measured BPA before diagnosis was done within
23 the EPIC cohort, the same one that look at breast.

24 And for prostate cancer, remember for breast they
25 did not find an association. But interestingly for

1 prostate cancer, they didn't find an association when
2 looking at the BPA measurements as a continuous variable.
3 But when they considered tertiles they did find evidence
4 of a positive association with prostate cancer risk.

5 The other two studies were case control studies,
6 one in Hong Kong and one in Ohio. The Ohio study measured
7 BPA in urine, and they found higher levels in cases
8 compared to controls, but they did not report any measure
9 of association or adjustment for confounders. So that
10 study is not very informative.

11 However, the Hong Kong study is interesting,
12 because this is the one I mentioned where they constructed
13 their own exposure database and they paired the database
14 with a questionnaire they designed asking specific
15 questions about exposure to diet and drinking. For
16 example, they asked when you drink hot water with -- you
17 know, with a plastic cup, how often do you do that, et
18 cetera.

19 So they use existing literature to kind of put
20 together a database. And they have two independent
21 readers put together this database assigning BPA levels to
22 the different type of containers used and the different
23 behaviors, and then they use that to derive a score.

24 So an advantage of this approach is that it
25 captures longer time exposure because it's not relying of

1 that one measurement. So I thought that this approach
2 that they used was interesting, and similar to approaches
3 that we use for dietary components, for example, in the
4 epidemiological literature. So this study did report a
5 positive significant association with a significant trend,
6 you know, but it was the only one that used that approach.
7 So you cannot compare with others. So that was it for
8 prostate.

9 There were two other cancers that had more than
10 one paper, one was thyroid cancer. There was one
11 cross-sectional study from Italy that reported a
12 non-significant positive association, not a very
13 informative study, very small, and they did not consider
14 confounders. As in China, also a modest sample size, but
15 they did find a positive association.

16 And then there were two studies done in lung
17 cancer. One was in China. It was a fairly good sized
18 study with proper adjustment of confounders and detection
19 of BPA in more than 97 percent of their sample, and they
20 did report a positive association of statistical
21 significance.

22 Moreover, they also look at potential
23 modification by a polymorphism in the estrogen-receptor
24 gene and they found a significant interaction.

25 Another study was from Korea. And they used

1 metabolomics to compare cases and controls, and they found
2 sort of doing an agnostic search of metabolites. And
3 among those significantly associated between cases and
4 controls, one of them was BPA. So they found significant
5 differential levels between the two groups.

6 And finally, there were six more studies in six
7 different cancers, three reported statistical significant
8 associations, one in osteosarcoma. However, they did not
9 adjust for confounders, one in meningiomas, which was
10 reasonably sized with proper adjustment. However they did
11 not adjust for creatinine in their samples, so maybe that
12 association estimate is not accurate.

13 And lastly, there was one study done in biliary
14 duct on gallbladder cancer in Europe, which had a
15 reasonable sample size and took into consideration
16 appropriate confounders, but given that it used a job
17 exposure matrix, it only identified nine people out of the
18 114 cases, so very small sample size. However, despite
19 that, they did identify a positive association.

20 And then there were three other studies that
21 reported no associations or no significant associations
22 and included a prospective study within the MEC on the MEC
23 cohort on endometrial cancer, a study in Europe on
24 lymphomas that also used a job exposure matrix, and a
25 study in melanomas in Europe that also used a job exposure

1 matrix. And finally, there was one study that used NHANES
2 data to investigate the role of BPA on all cancer
3 mortality and all cause mortality. They reported no
4 association with cancer mortality, but they did report a
5 significant positive association with all cause mortality.
6 The importance of this study is that it used a national
7 representative data set and they did adjust for all
8 potential confounders.

9 So in conclusion, the evidence for other cancers
10 is also inconclusive, similar to breast. And I think the
11 most remarkable findings are for the two studies in
12 prostate cancer, the one study in lung cancer, and the
13 study in gallbladder cancer, which this -- even though
14 neither of these studies were perfect, at least they had
15 both sample size and reasonable measurement levels. And
16 so they seem informative and they report significant
17 positive associations.

18 So my takeaway from reviewing this literature is
19 that there seems to be some evidence that is very -- it's
20 limited for prostate, lung, and gallbladder cancer, but
21 clearly more studies are needed, given that, you know, we
22 only have two studies for prostate, one for lung -- two
23 for lung and one for gallbladder. So the role of BPA on
24 these cancers, and particularly breast cancer, based on
25 this existing literature with all the flaws that we have

1 is inconclusive.

2 So I want to turn it over to Dr. Crespi and
3 Loomis, if you have something more to add.

4 COMMITTEE MEMBER LOOMIS: Yeah. Thank you.
5 Thank you for that very informative presentation. Let's
6 go on to Dr. Crespi. And before we do that, I just want
7 to remind the Committee that we'll have time for
8 clarifying questions at the end of the discussion period.
9 So please hold your questions until we've gone through all
10 the different evidence streams and then we'll open it up
11 for discussion.

12 So Dr. Crespi, the floor is yours.

13 COMMITTEE MEMBER CRESPI: Thank you. Well, Dr.
14 Stern gave a very thorough and excellent summary of the
15 studies. And I think that, you know, there's no reason to
16 repeat some of the information that she's already
17 provided.

18 I agree exactly with her assessment of the
19 studies. In general, most of them I would say were
20 inconclusive or not informative as to -- as to the
21 question. I -- so, yeah, I found that -- I guess a few
22 things to highlight would be that in the breast cancer
23 studies, which -- of which there were the most -- the
24 studies that found positive associated -- associations
25 were the ones where the samples were collected after

1 diagnosis. So I think in particular there -- one would be
2 concerned about a reverse causation and suggest some kind
3 of a physiological connection between the cancer diagnosis
4 and the detection of the elevated levels.

5 I think that some of the studies are somewhat
6 suggestive and suggest avenues for further exploration.
7 For example, the prostate cancer study in men in Hong
8 Kong, which used an assessment of exposure by ingestion
9 through the questionnaire, I thought that was an
10 interesting approach. It was one of the few studies that
11 tried to actually assess chronic -- you know, did at least
12 have a chance of assessing chronic exposure to BPA,
13 whereas the other studies all used single samples, which
14 is not an adequate way to assess long-term exposure. So I
15 think that study is somewhat informative, but I still
16 think that overall the studies don't show clear evidence
17 and don't provide a basis for concluding that there is a
18 causal connection.

19 COMMITTEE MEMBER LOOMIS: Thanks, Dr. Crespi.

20 Well, I'll give my assessment as well and I won't
21 try to go through study by study as I think staff
22 documented and Dr. Stern's summary have done a really good
23 job of presenting the evidence.

24 What I do want to say is that I think this is
25 certainly one of the most challenging exposure assessment

1 situations that I can imagine. First of all, we have a
2 ubiquitous exposure, so it's very difficult to find people
3 who are not exposed at all. And it's hard to know where
4 to look even for a gradient of exposure.

5 The second challenge is that the chemical is not
6 persistent. So a single measurement or even a couple of
7 measurements in a longitudinal study are not likely to be
8 informative. So the challenges are really significant and
9 I don't think they've been addressed very well in this
10 body of literature. As my colleagues mentioned, the one
11 study of prostate cancer in Hong Kong that used a kind of
12 combination of questionnaires and expert assessment is
13 really interesting. That approach to exposure assessment
14 was promising. I think it's a -- it's a good idea and the
15 study did find indications of positive statistically
16 significant association for prostate cancer. That said,
17 it's only one study.

18 Looking at other cancer sites, I really thought
19 the evidence was inadequate for quite a few cancer sites
20 that only had one or two studies each. I note thyroid,
21 bone, whole cancer, eye cancer, lung, lymphoma,
22 gallbladder cancer, bile duct cancer. I think that was
23 studied along with gallbladder. Again these studies had
24 only one study each. These cancer sites had only one
25 study each, even though some of them found positive

1 associations.

2 The literature on breast cancer is also quite
3 challenging. A good number of studies using different
4 designs, different biological matrices, but all of them
5 face the same difficulty of trying to assess exposure
6 based on essentially single measurements except for the
7 one case control study that used a job exposure matrix.
8 Again, an interesting idea, but very few women had
9 occupational exposure, so that study isn't particularly
10 helpful either.

11 So breast cancer studies found a variety of
12 associations ranging from fairly strong positive ones to
13 negative ones. But on the whole, I see that literature
14 also as inadequate.

15 So taking everything together, what I would
16 expect, given the challenges of exposure assessment, is
17 that the exposure data would involve quite a lot of noise,
18 random noise. In general, that kind of exposure
19 measurement error is expected to produce bias toward the
20 null, but I would say it's important to remember that bias
21 is a tendency, and that doesn't mean that it will happen
22 in every single study. So it is also possible for random
23 exposure measurement error, which is what I would expect
24 in this situation, to produce bias away from the null in a
25 single study. There are also reasons to think that there

1 might be some spurious positive associations as my
2 colleagues have explained.

3 So, you know, overall, I think the body of human
4 cancer studies provide inadequate evidence or inconclusive
5 evidence as my colleagues in this group have suggested.

6 So --

7 COMMITTEE MEMBER STERN: Dr. Loomis, if I may add
8 something that I forgot to mention in my presentation. Is
9 that okay to chime in now?

10 COMMITTEE MEMBER LOOMIS: Yeah. Yes, please.

11 COMMITTEE MEMBER STERN: So the other concern
12 that I wanted to highlight, particularly for breast,
13 because breast was -- as was discussed is the main target
14 organ that we suspect that we might see an association
15 because of the -- of the biological pathways.

16 So there were three prospective studies and two
17 of them had problems. One used a job matrix, the other
18 one in Europe used serum. So they had lower detection
19 levels. So the third study, which was the multi-ethnic
20 cohort, was the one that we were hoping would provide some
21 clarity. And they found no association.

22 However, one important thing I forgot to mention
23 about that study is that most of the women at the time of
24 enrollment were probably close to 60 year old, so they
25 developed cancer a few years after those measurements or

1 sometime after that, but kind of very close to diagnosis
2 time.

3 So one concern with this study and one of the
4 reason why they may not have found an association perhaps
5 is that it has to do with the window of exposure, right,
6 that they were measuring BPA level with all its
7 imperfections at a time when maybe women were no longer
8 exposed to high levels of BPA, because maybe the relevant
9 time of exposure is at a younger time in a women's
10 development. And no study actually has provided those
11 estimates, right, of -- so there are some existing cohorts
12 now out there that potentially in the future can provide
13 us those data, but currently we don't have that. So
14 that's another concern, the issue of latency that may be
15 is the critical exposure of -- to BPA is when women are
16 adolescents or young adults. And we don't have any
17 information about that from any of those studies.

18 COMMITTEE MEMBER LOOMIS: Yeah. Thanks for that.
19 I think another interesting question is that we don't have
20 any occupational studies really of workers with exposure
21 to BPA from manufacturing or other uses. That would be
22 really helpful, even though occupational exposure isn't
23 the main source of exposure for the population at large.
24 You know, a study of a more highly exposed group with the
25 potential to identify exposures and perhaps quantify

1 exposures through methods, other than biological sampling
2 would be really helpful, but we don't have that.

3 So Dr. Mack, I see you have your hand up. We
4 will have time for questions and answers at the end of
5 the --

6 CHAIRPERSON MACK: I actually wanted to add a
7 couple points.

8 COMMITTEE MEMBER LOOMIS: Sure.

9 CHAIRPERSON MACK: But the points that both you
10 and Mariana just made, but one is the point you made about
11 the absence of an occupational exposure from a plant where
12 there would be continuous exposure of substantial amount.
13 That would be something that should have extremely high
14 priority.

15 And the second point is the one that Mariana just
16 made and that is that the best study -- the best single
17 breast cancer study was the multi-ethnic cohort study,
18 which was beautifully done, but because of the lack of
19 meaning of the single urinary exposure is not informative.

20 I guess the third point I think I would like to
21 make is that usually when we have epidemiologic studies in
22 large numbers that are negative, it is substantial
23 evidence of no relationship between exposure and disease.
24 But in this case, the exposure is so bad on every way it's
25 being used, that there is simply no evidence whatsoever

1 against a positive association. So we have to be very
2 careful how we interpret them.

3 That's all the points I was going to make.

4 COMMITTEE MEMBER LOOMIS: Yeah, thanks. Those
5 are really helpful points. I appreciate that.

6 CHAIRPERSON MACK: Well, I guess to add one other
7 point just of curiosity. The multi-ethnic cohort, first
8 author is Dr. Anna Wu, which has two individually
9 interesting characteristics. One, she's a previous member
10 of the Committee, but more importantly, she's also a
11 previous member of the OEHHA staff, which I think is a
12 unique circumstance.

13 Okay. Thank you.

14 COMMITTEE MEMBER LOOMIS: Thanks, Dr. Mack.

15 I'll just add a couple of other things, since
16 you've opened up these issues. I would agree that the
17 multi-ethnic cohort is probably the most informative study
18 on breast cancer despite the methodologic limitations that
19 we've heard about.

20 The other study that I was impressed with is the
21 EPIC study in Spain. That one, as mentioned, used serum
22 as the biological matrix. And even though detection is
23 lower reportedly in serum compared to urine, I don't think
24 I'm particularly concerned about that, as long as there's
25 internal consistency. So that study did find a positive

1 exposure response relationship for prostate cancer. So I
2 think that study is still reasonably informative, despite
3 the limitations that have already been mentioned.

4 So Dr. La Merrill has a hand up. And if it's
5 another comment like this, we can take it. If not, if
6 it's just a question, maybe we'll hold until after the
7 Committee presentation.

8 COMMITTEE MEMBER LA MERRILL: I just wanted --
9 was wondering if someone could -- maybe you, Dr. Loomis,
10 since you mentioned it, the lymphoma study. I realize
11 there was only one, but there is some evidence for it in
12 the animal literature, and also I think perhaps some
13 mechanism, so I would just like to be able to hear the
14 future conversations in the context of knowing what that
15 singular study -- like what you all think were the pros
16 and cons what was the outcome of that study. Is that okay
17 to ask right now?

18 COMMITTEE MEMBER LOOMIS: Yeah, I think we can
19 take that now.

20 COMMITTEE MEMBER LA MERRILL: Okay. Thanks.

21 COMMITTEE MEMBER STERN: Yeah, I can provide my
22 views on that study, if that's helpful.

23 COMMITTEE MEMBER LOOMIS: Please do.

24 COMMITTEE MEMBER STERN: So this was a study --
25 was a multi-center study done in Europe as part of the

1 Epilymph consortia. And so they've had a pretty good
2 sample size, over 2,000 cases and over 2,000 controls, so
3 that's very good. They did consider confounders. It was
4 a multi-center study, so it was representative of the
5 population.

6 Now, the concern with the study is that they use
7 a job exposure matrix to estimate exposure. And as we
8 discussed before, for BPA, that doesn't seem to be very
9 applicable, because this is at the general population, not
10 among workers of specific factories, right? So we're
11 trying to see whether people in population happen to have
12 a job that happened to have a bit of exposure to BPA, and
13 that proportion is low.

14 And we know from other studies that majority of
15 exposure in the human population is coming from beverages
16 and from diet. So they have very few people that actually
17 had exposure. So to give you a sense, out of the 2000 and
18 a -- they had 2,178 cases. Out of those, only 19 cases
19 had a positive exposure to BPA through occupation, and
20 only 17 controls out of the 2000 controls.

21 So they -- their estimate of association was
22 1.55. So it was a positive association, but it wasn't
23 significant. The confidence interval included the value
24 of one. So we consider these a null study. So that's
25 what I can share. So it's very underpowered to detect

1 something because of the amount of people that are
2 exposed. So unfortunately, the study is not very
3 informative in that regard. It was a well-conducted
4 study. There are no other significant flaws in how they
5 handled the analysis. I just think it was underpowered.

6 COMMITTEE MEMBER LOOMIS: Yeah, that's helpful.
7 So this is a -- it is quite a large study. It's well
8 done, looking at a lot of different exposures in relation
9 to lymphomas, but not particularly informative by itself
10 in this particular situation, in my opinion.

11 Okay. At this point, let's -- well, it's getting
12 close to lunch time, so let's see what the Committee's
13 preference is. We could go through the discussion of
14 animal cancer studies and then break for lunch, or we
15 could take a break now. What's -- what would your
16 preference be?

17 Let's just say continue through the animal cancer
18 studies, who would like to do that?

19 Let's see I only see one hand up, which might
20 mean --

21 COMMITTEE MEMBER STERN: I think the animal
22 studies are going to take a little bit of time, right? So
23 maybe better to break before --

24 COMMITTEE MEMBER LOOMIS: Well, there's a lot of
25 evidence.

1 COMMITTEE MEMBER STERN: -- so that we can --
2 yeah, not, have to hurry.

3 COMMITTEE MEMBER LOOMIS: So it seems to me the
4 consensus of the Committee is to break now. Let me check
5 with the staff and make sure that that's okay. Whenever
6 we break, it will be for 45 minutes.

7 DIRECTOR ZEISE: Yeah, I think that is fine. And
8 I think in breaking, Carolyn Rowan will give a
9 Bagley-Keene reminder, so it's fine if you want to break.

10 CHIEF COUNSEL NELSON ROWAN: Okay. If that's the
11 consensus. Before we break, I'd just like to remind the
12 members that during breaks, you aren't allowed to talk
13 amongst yourselves about the subject matter of the
14 meeting. That includes phone calls, texts. In fact, my
15 recommendation would be that you also not talk to third
16 parties regarding the same information. If you do, you
17 should disclose the fact that you had a discussion with
18 someone and give the content of that discussion, so that
19 it's part of the public record. It's just best to chat
20 about something else over the lunch break.

21 And that's all I have.

22 COMMITTEE MEMBER LOOMIS: Okay. Thanks. So our
23 break is for 45 minutes. That means we come back at
24 12:40. So we'll see you all back here at 12:40 for
25 discussion of cancer studies in animals.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Thanks.
(Off record: 11:55 a.m.)
(Thereupon a lunch break was taken.)

1 AFTERNOON SESSION

2 (On record: 12:40 p.m.)

3 COMMITTEE MEMBER LOOMIS: Okay. I hope everybody
4 had a good lunch. Let's resume, if the next discussants
5 are here.

6 Dr. Landolph, are you ready to go?

7 COMMITTEE MEMBER LANDOLPH: Yes, sir, I am, Dr.
8 Loomis.

9 COMMITTEE MEMBER LOOMIS: Okay. Let's then
10 proceed with discussion of cancer studies in animals.
11 It's a -- it's your microphone.

12 COMMITTEE MEMBER LANDOLPH: Okay. You want my
13 picture too?

14 COMMITTEE MEMBER LOOMIS: Yes, please.

15 COMMITTEE MEMBER LANDOLPH: It says you cannot
16 stop your video, because the host has stopped it.

17 Nope, still the same.

18 COMMITTEE MEMBER LOOMIS: Okay. Well, why don't
19 you just go ahead and speak and someone will probably get
20 your camera turned on in a moment.

21 COMMITTEE MEMBER LANDOLPH: Okay. Thank you. So
22 first I'd like to thank the staff, as many people have
23 done before for the enormous amount of work that went into
24 compiling this 500, 600 page document. And I think they
25 did a pretty good job on the animal carcinogenicity

1 studies too.

2 So I read over them. I want to go through them,
3 and just tell the court reporter I'll be on page 27 in
4 Roman numerals, and so you can probably just copy a lot of
5 this down from the document itself.

6 So they said, the staff did carcinogenicity
7 studies of BPA have been conducted in male and female
8 Fischer 344 rats, female Sprague-Dawley rats, male and
9 female Sprague-Dawley (NCTR) rats, female Wistar-Furst
10 rats -- Furth rats, male and female B6C3F1 mice, male and
11 female Agouti C57black/6J:C3H/HeJ mice, female CD-1 mice,
12 and male gerbils.

13 Statistically significant tumor findings are the
14 following.

15 I first adopted the hypothesis that this was not
16 a carcinogen. And let's see if that's true or not. So
17 the staff found that from the literature, which they did a
18 very extensive literature search. In the alimentary
19 system, there were hepatocellular tumors in male
20 Sprague-Dawley (NCTR) rats, and female Agouti C57Black et
21 cetera mice. So that's positive.

22 In the endocrine system, they found pituitary
23 tumors in female Fischer 344 rats and male B6C3F1 mice,
24 thyroid C-cell tumors in male Sprague-Dawley (NCTR) rats.

25 Then in the mammary gland, they found in the

1 literature fibroadenomas in male Fischer 344 rats,
2 adenocarcinoma and adenocarcinoma and adenoma combined in
3 female Sprague-Dawley (NCTR) rats. And these they say
4 were all statistically significant tumor findings.

5 Then in the reproductive systems of the females
6 they found clitoral gland tumors and uterine stromal
7 polyps. Those stromal polyps are not malignant. And they
8 found reproductive systems of males, testicular
9 interstitial, (Leydig), L-e-d -- y-d-i-g cell tumors in
10 male Fischer 344 rats.

11 They also found lymphohematopoietic system in
12 that system, leukemia in male Fischer 344 rats, lymphoma
13 in male Sprague-Dawley NCTR rats and male B6C3F1 mice.

14 In addition, the staff noted that multiple types
15 of rare tumors were observed in several studies in male
16 and female Sprague-Dawley NCTR rats. I looked through
17 that data. Most of them were ones, here and there,
18 scattered throughout. And then they said there's more
19 data on the animal tumor findings, which they listed
20 below. In the female and the male Fischer 344 rats, which
21 was 103-week feeding study in BPA treated male Fischer 344
22 rats, the incidence of mammary gland fibroadenoma was
23 significantly increased in the high-dose, (2,000 parts per
24 meter -- parts per million) grouped by pairwise comparison
25 with control, with a significant dose-related trend.

1 Great.

2 They did 103-week feeding study in BPA-treated
3 male Fischer 344 rats. The incidence of testicular
4 interstitial, (Leydig), L-e-y-d-i-g, cell tumors was
5 significantly increased in both dosing groups (1000, 2000
6 ppm), by pairwise comparison with controls, with a
7 significant dose-related trend.

8 And in the 103-week feeding studies in
9 BPA-treated male Fischer 344 rats, the incidences of
10 leukemia was significantly increased in the high-dose,
11 (2000 ppm), group by pairwise comparison with controls,
12 with a significant dose-related trend, NTP 1982.

13 Then they discussed the tumors in the female
14 rats. And they noted that in a 12-week oral study in
15 BPA-treated female Fischer 344 rats, the incidence of
16 pituitary tumors, likely adenomas of the adenohypophysis,
17 was significantly increased in the low-dose group at 50
18 milligrams per kilogram per day.

19 Tumors in the male Sprague-Dawley (NCTR) rats.
20 In the two-year continuous-dose study in male
21 Sprague-Dawley NCTR rats exposed to BPA in vitro and from
22 PND1 until study termination - this is the CLARITY-BPA
23 core study number 8 - the incidence of rare hepatocellular
24 carcinomas was increased with a significant dose-response
25 trend. My star there for emphasis.

1 In the two-year stop-dose study in male
2 Sprague-Dawley NCTR rats exposed to BPA in utero and in
3 gavage from postnatal day one to postnatal day two - the
4 CLARITY-BPA core study number 4 - the incidence of thyroid
5 C-cell adenomas was increased with a significant
6 dose-related trend. I note that that's not a malignant
7 tumor but it's increased with a dose-related trend.

8 In a two-year stop-dose study in the males
9 Sprague-Dawley NCTR rats exposed to BPA in utero and via
10 gavage from postnatal day one to postnatal day 2,
11 CLARITY-BPA study number 4, the incidence of malignant
12 lymphoma of the prostate, dorsal/lateral lobes, was
13 significantly increased in the high-dose group, 25,000
14 micrograms per kilogram per day, by pairwise comparison
15 with controls, with a significant dose-related trend. The
16 incidence of malignant lymphoma from all sites was
17 increased with a significant dose-related trend, NTP 2018.

18 In the one- and two-year studies in male
19 Sprague-Dawley NCTR rats exposed to BPA in utero and after
20 birth for different lengths of time (Arms 2, 4, 6, and 8
21 in CLARITY-BPA core study) multiple types of rare tumors
22 were observed in multiple organs in treated groups of each
23 of the study Arms, except for Arm 6 where only one rare
24 type tumor was observed with none in concurrent controls.

25 Then they discussed the tumors in the

1 Sprague-Dawley NCTR rats on page XXIX. And they said in a
2 two-year stop-dose study in female Sprague-Dawley NCTR
3 rats exposed to BPA in utero and via gavage from postnatal
4 day one to postnatal day two, which is CLARITY-BPA core
5 study 3, the incidence of adenocarcinoma of the mammary
6 gland, and the incidence of adenomacarcinoma and adenoma
7 combined was each significantly increased in the 2.5
8 microgram per kilogram per study group, and they
9 referenced NTP 2018.

10 Next, they said in the one-year continuous-dose
11 study in female Sprague-Dawley NCTR rats exposed to BPA in
12 utero and from postnatal day one until study termination,
13 which was (CLARITY-BPA core study number 5), the incidence
14 of uterine stromal polyps was increased with a significant
15 dose-related trend NTP 2018.

16 Then they said in the two-year continuous-dose
17 study in female Sprague-Dawley NCTR rats exposed to BPA in
18 vitro -- in utero and from postnatal day one until study
19 termination (CLARITY-BPA core study number 7) the
20 incidence of clitoral gland adenoma, and adenoma and
21 carcinoma combined was each increased with a significant
22 dose-related trend. That's important.

23 Next, they said in the one- and two-year studies
24 in male Sprague-Dawley NCTR rats exposed to BPA in utero
25 and after birth for different lengths of times (Arms 1, 3,

1 5, and 7 in CLARITY-BPA core study) multiple types of rare
2 tumors were observed in multiple organs in treated groups
3 in each of the study arms, NTP 2018.

4 The tumors in the B6C3F1 mice they said in --
5 regarding these, in a 103-week feeding studies in
6 BPA-treated male B6C3F1 mice, the incidence of chromophobe
7 carcinoma of the pituitary gland was increased in the
8 high-dose group, 5000 ppm, with a significant dose-related
9 trend, NTP 1982.

10 Then they said, in the 103-week feeding study in
11 BPA-treated male B6C3F1 mice, the incidence of malignant
12 lymphoma, and malignant lymphoma and malig -- and
13 lymphocytic leukemia combined was significantly increased
14 in the low-dose (1,000 parts per meter -- per million) by
15 pair comparison with controls, NTP 1982.

16 Then they talked about tumors in the female
17 Agouti mice. In the 10-month studies in these mice
18 exposed to BPA in utero and via lactation, then in feed
19 from post-weaning until study termination, the incidence
20 of hepatocellular adenoma and carcinoma combined was
21 significantly increased in the high-dose group (50 parts
22 per million), with a significant dose-related trend,
23 (Weinhouse et al. 2014).

24 And I'm going to skip the rest of that, because
25 it's important, but it's not as important as those primary

1 studies. So I was going to say for me personally, as one
2 of the members of the Committee, I took that to indicate
3 that there is a significant amount of animal tumor data
4 that is positive and where the trend is dose dependent,
5 and it's in males and females, and it's in rats and mice
6 of different species.

7 So therefore, for me, unless I hear something
8 different, that convinced me that the animal studies are
9 positive in rats and mice of different species. So
10 although no study is perfect, nevertheless, the weight of
11 those positive studies convinced me that BPA is indeed an
12 animal carcinogen, in particular rodent carcinogen.

13 And I can stop there.

14 COMMITTEE MEMBER LOOMIS: Okay. Thank you, Dr.
15 Landolph. Let's go on with the discussion and turn to Dr.
16 Bush.

17 COMMITTEE MEMBER BUSH: Yeah, thank you, Dr.
18 Loomis and thank you Dr. Landolph for prepping the
19 discussion here. I want to thank the OEHHA staff for
20 their Herculean effort, for delving into all of this data
21 and creating this HID. So hands off to the teamwork
22 there. And I have read the public comments from all four
23 submissions. And I must say that some do raise compelling
24 questions and statements.

25 And so I'm going to start with Table 7. Dr.

1 Landolph did a good -- great job setting the stage here.
2 My take on this is a little bit more pessimistic, I
3 suppose. And let me explain why. So Table 7, which is
4 page 54, eight studies. And really the only studies of
5 value that I see there are from the 1982 NTP results with
6 rats and mice. The other four studies that were there had
7 too few animals and I question whether there's sufficient
8 statistical power there.

9 So delving into those, you know, four reliable
10 studies on Table 7 really we're seeing a marginal increase
11 in leukemias in males, p-value of 0.02, 26 percent of the
12 controls actually have this as well, and we only see this
13 trend in the high dose. Fibroadenomas were probably a
14 little more convincing, but there's only four of 34
15 animals found with this kind of tumor. And the Leydig
16 tumors, you know, are common to the F344 strain, and so
17 almost 75 percent of the controls got these tumors as
18 well. So there's some data there, but I think it's
19 marginal. And then when it comes to the rare pituitary
20 carcinoma in the B6 mice, again there was marginal
21 increase when I'm looking at those trends.

22 So we've got this data set. And then along comes
23 the CLARITY Study, okay? When I first got wind of this, a
24 number of years ago, you know, I was excited. This was
25 going to be a well-designed long-term cancer study. We

1 were going to learn lots of cool stuff, and finally, you
2 know, be definitive on BPA. We're going to show that it's
3 the smoking gun once and for all. And then, you know,
4 what happened?

5 If we look at these different Arms, you know,
6 looking at Arms 1 through 6, many different endpoints, but
7 from a macro view looks like mixed results to me. There's
8 some suggestive data, but I don't see it as being clear.
9 There's some common themes like hyperplasia. Presumably
10 that's some atypical hyperplasia, but nothing is a clear
11 dose response in my mind.

12 And, you know, it's okay to say, well, that's
13 Arms 1 to 6. You know, but Arms 7 and 8, here we have the
14 penultimate two-year chronic rodent cancer bioassay. This
15 now is going to be definitive. And what happened? I
16 mean, there are some common biological phenomena, but
17 again it's not clear.

18 I'd also mentioned that some of the public
19 comments indicate that, you know, the statistical approach
20 used in some of the analysis, it raised questions for me
21 as well. So I do appreciate the description at the
22 beginning by OEHHA staff and the biostatistician
23 discussing the methodologies there.

24 So we have to balance this with the conclusions
25 of the NTP itself, so the Camacho 2019 paper, where they

1 determine that there's a possible relationship between the
2 increased incidences of lesions in the female reproductive
3 tract and male pituitary and exposure to the 25,000, the
4 highest dose level. That's not very convincing of their
5 own study, okay, alluding back to some of the comments
6 that Dr. Eastmond mentioned previously.

7 You know, so is there a problem with this study?
8 I do thank OEHHA for identifying the shortcomings of the
9 CLARITY Study as others have described in the literature.
10 There certainly are some limitations that were brought up
11 in the initial presentation. I do share some of those
12 concerns, but, you know, this still represents our best
13 most robust study and I still believe that the results are
14 mixed, and still leaves some outstanding questions. So
15 then what else do we have left in the animal studies?

16 Well, we've got these -- there are the other rat
17 studies from Table 26. These are, I think for the most
18 part, are of little value due to the small numbers, the
19 short exposure times, and the lack of lifetime study
20 duration. Now, that's not to say that there isn't
21 something here, particularly when we consider the -- that
22 common theme of hyperplasia. So maybe there's something
23 there.

24 I'll -- a few more notes here. Moving into the
25 mice studies, similar problems. Low numbers in -- with

1 five studies of any utility and none of them went beyond
2 one year. Again, hyperplasia is coming up, particularly
3 in the endometrium and some possible hepatic effects, but
4 the numbers are too small and we're only seeing this in
5 the high-dosed animals.

6 The transgenic studies, various endpoints there.
7 Suggestive at best and sporadic with no clear
8 dose-response relationship that I can see. That common
9 theme again emerging of hyperplasia. I didn't give really
10 any weight to the xenograft studies. You know, because of
11 the established cancer cell lines, which I always feel
12 have limited value. And then the final group of studies,
13 looking at BPA before or after carcinogen treatment, there
14 are various endpoints that, you know, one can pick, but
15 nothing common. And so I see those results as mixed as
16 well.

17 And on page 101, OEHHA states again the
18 limitations. They're small numbers, short BPA exposure,
19 and only one organ tissue in many of these studies. So,
20 you know, where's the utility there. There isn't a lot.
21 So, you know, cherry picking the data a little bit I think
22 can be problematic from a false positive perspective.

23 So that would be my summary of the animal studies
24 and I will yield my time to the other Committee members.

25 COMMITTEE MEMBER LOOMIS: Okay. Thank you, Dr.

1 Bush.

2 So third discussant, Dr. La Merrill, what would
3 you like to add to what we've already heard?

4 COMMITTEE MEMBER LA MERRILL: Sure. So thank you
5 all for your great summary over at OEHHA. And my prior --
6 the colleagues here prior summaries have been very
7 helpful. And I'll try not to be redundant in my comments.

8 I've kind of organized my thoughts more around
9 the outcome rather than the individual study. So with
10 respect to the individual outcomes, I think I just want to
11 point out a part of the trends in cancer biology that are
12 I think quite important. And that is, you know, many
13 decades ago President Nixon declared a war on cancer. And
14 in response, NCI put a lot of effort into trying to, you
15 know, better understand and treat cancers. And after
16 quite a bit of time, they found that their methods weren't
17 really quite working, and so they came up with the Mouse
18 Models of Human Cancer Consortium in the late nineties to
19 address the fact that, you know, rodent tumors are not
20 the -- that are spontaneous are not really the same as
21 human tumors, and that if we want to do with malignancies
22 of humans, we need to have better models.

23 And so I think, you know, that field has really
24 moved forward in thinking about having rodent tumors
25 better recapitulate the pathologies that you see in

1 humans. And there's certainly been a lot more success in
2 that effort, as opposed to, for example, the NTP studies,
3 where we're still looking for spontaneous tumors. And I
4 think that across the board what I will say is I did not
5 perceive, apart from the presentation of some rare
6 pathologies which I'll get to, that BPA modified the
7 presentation of the type of pathology, and that each
8 model, whether or not it's a spontaneous rat or mouse, or
9 a transgenic, or the use of a carcinogen, or the use of a
10 xenograft basically produces the pathology of a tumor at
11 that site that you would expect based on that model. And
12 I don't see evidence of bisphenol A modifying it so much.

13 The evidence that I think presented, in general,
14 apart from some rare things, was really changes in
15 incidence, changes in latency, changes in growth, and
16 changes in metastasis. So that's a kind of general
17 impression I wanted to share with you all and kind of put
18 it into context of how I think about modeling cancer.

19 So there was several studies that reported
20 lymphomas. We have the NTP 1982 study, where we saw the
21 male B6C3F1 mice had an increased in malignant lymphoma
22 among the lower dose they tested. So they had 1,000 ppm
23 of BPA in that study and saw 8 of 47 of those male mice
24 with this malignant lymphoma. Only 2 of 47 had it in the
25 controls and that was at 3 of 45 in the highest dose group

1 at 5,000 parts per million.

2 The next and only other study in whole rodents
3 that looked at -- that observed lymphomas was the CLARITY
4 Study that we heard about. And let's see here, we have
5 the stop-dose two-year study - so just looking at the
6 early window of susceptibility - saw an increase among 25
7 mg per kg per day group, which was the highest dose group.
8 And they didn't see them anywhere else, but there were
9 four in that high dose group. And so that individual
10 group was significant statistically and also contributed
11 to an increased -- a significant trend of this malignant
12 lymphoma with a p-value of less than 0.01. And this is
13 notably an uncommon neoplasm in Sprague-Dawley rats. And
14 the controls were within historical ranges is how they
15 defined less than one percent the historical range was
16 part of the definition that OEHHA provided to us.

17 And then in addition to that in the CLARITY,
18 there was the observation of a different kind of rare
19 tumor, which is called a histiocytic sarcoma, and these
20 are often considered to be related to lymphomas. So I
21 wanted to point that out. And that was also in the same
22 dose group.

23 With respect to leukemia, another hematopoietic
24 cancer type, we saw in the 1982 NTP study, the males had
25 increased leukemia in the high-dose group. There were 23

1 out of 50 of the male F344 rats that had 2,000 parts per
2 million of BPA in their food had leukemia, whereas only 13
3 of 50 of the controls had it. So it practically doubled,
4 and that was also a significant trend with a p-value of
5 0.02. Again, the controls were in the historical range.
6 This wasn't observed in the females. Although, you could
7 say that there was a trend, where each group had 50
8 females and the controls had 7 leukemia -- 7 female rats
9 with leukemia. The middle group, it went up to 13, and
10 then the high-dose group it went up to 12. So in the
11 presence of BPA in females, it kind of doubled, but it was
12 not significant.

13 Then further evidence for leukemia also came --
14 sorry, I'm just skimming here. I just want to point out
15 in the NTP 1982 study of mice, there was no evidence of
16 the leukemias being significant, but there was a bit of a
17 trend in terms of there was none in the control group and
18 there was one in the middle-dose group and two in the
19 higher-dose group. So, you know, rare events are hard to
20 statistically capture, so it's a bit underpowered perhaps.

21 And then in the CLARITY Study, you know, it's
22 done many years later in 2018, so you're getting a little
23 more fine understanding of diagnosing or calling IT
24 leukemia, so they called you granulocytic leukemia rather
25 than non-otherwise specific, which is what we found in

1 1982 NTP. The male Sprague-Dawleys, or the NCTRs, of that
2 CLARITY, we saw each dose group have one of these
3 granulocytic leukemias, but not in the controls. And
4 again, that's a rare tumor in those as well.

5 So we're seeing that across two species, two
6 studies and they're getting increased leukemia. There's a
7 lot of studies related to mammary gland pathology and
8 trends in terms of onset and growth and metastasis. And I
9 think it really -- as I, you know, was talking a bit,
10 beginning as a -- as a great example of this kind of you
11 get what you would expect with the model. So when you
12 have a spontaneous rat mammary tumor, you don't tend to
13 get much more beyond a hyperplasia. It's not -- they
14 don't tend to make malignancies and they don't mimic the
15 pathology really of human breast cancers all that well.

16 But we do see hyperplasia. And let's see here,
17 the CLARITY Study in the female two-year that I think was
18 already brought up. It was also a similar thing that came
19 up when someone used a pump to deliver bisphenol A
20 osmotically. They also later saw adenocarcinomas in that
21 pump model. They changed the dosing around a little bit
22 with the pump model, expanded the window from in utero to
23 lactation, and again basically saw hyperplasias and
24 adenocarcinomas at a later time point, which was only
25 postnatal day 140, so six months. You know, the average

1 length of their life is several years.

2 So, you know, the scope in terms of I know it was
3 brought up about the sample sizes. I mean, I think low
4 sample sizes are really going to bias your results towards
5 the null, right, because you have less power to detect
6 effects. So similarly, if you're not looking for as long
7 you have -- less opportunity to observe an overmalignancy.

8 We also had the Wistar-Furth rat model, so a
9 different strain or substrain of the rats. And they too
10 had hyperplasias and also described some DCIS. And those
11 female rats -- sorry, ductal carcinoma in situ, so
12 basically, it looks like a cancer, but hasn't done the
13 invasion part, so it's a pre-neoplasm you could say.

14 Then in mice, there's a couple studies. You
15 know, obviously, there's a limitation with the relevance
16 of an osmotic pump as a route of exposure, but these folks
17 used gavage and a gestation period that was kind of
18 similar to the rat study. They observed lipoma in the
19 mammary gland. And, you know, through three and eight
20 months of age weren't observing any mammary gland tumors.
21 But by 14 months, there was some of this hyperplasia that
22 I brought up before.

23 And then when you move into a transgenic model,
24 that mimics the HER2-positive human breast cancer, and
25 that one is called MMTV-ErbB2 for short. It has a much

1 longer name. They use drinking water BPA delivery. And
2 this model is kind of the gold standard and research on
3 HER2-positive human breast cancer it gets mammary
4 adenocarcinomas. And they're capable of metastasis. And
5 then -- in that study, they were able to observe increased
6 tumor multiplicity and tumor volume, which is kind of a
7 surrogate for growth, in addition to significantly
8 increased lung metastasis. And that was at both doses
9 that were used in that study by Jenkins 2011.

10 And then there was another study that used the
11 same mouse model, but instead used subcutaneous dose. So
12 not as gold standard in terms of mimicking like human
13 condition, but they, too, saw a reduced latency. And it
14 went from 37 and a half weeks or so in the control group
15 to 35 weeks in the 50 nanogram per kilogram group and down
16 further to 32 weeks in the 500 nanogram per kilogram
17 group.

18 And those were significant and then they saw
19 increases in some non-lesion items, but that are
20 consistent with kind of more mechanistic understanding, so
21 things like -- but I'll just mention them here, since
22 we're talking about that study, and that was increase in
23 terminal end buds, which are full of pluripotent rapidly
24 providing -- proliferating cells during proliferate --
25 excuse me, during puberty and they also measured increased

1 proliferation of the epithelium from those mice exposed to
2 BPA.

3 There was another study in mice that looked at
4 hyperplasia or saw increased hyperplasia in DCIS with
5 bisphenol A. Then in CLARITY, we heard about that
6 already, but basically there was one fibroadenoma and
7 there wasn't really much in the way of neoplastic lesions,
8 so -- in that spontaneous rat model on -- not really
9 anything going on at all.

10 There was a couple different studies, maybe five
11 or six that used a carcinogen either DMBA or MMU as a
12 Co-exposure with bisphenol A. Excuse me. And most of
13 those studies they saw an increase in the mammary tumor
14 incidence. A of handful them saw an increase in tumor
15 multiplicity. I don't think tumor multiplicity is as
16 relevant to the human condition, because women don't
17 usually present with multiple independent tumors when they
18 get diagnosed. And this was -- excuse me here -- both in
19 female rats and in mice using these co-exposures. It was
20 reported that there was decrease in mammary tumor latency.
21 And a couple of the studies indicated that there was
22 higher proliferation in the lesions.

23 Several of the studies did not report the
24 pathology. I thought the pathologies were typical of what
25 you see using DMBA and MMU. So, for example, DMBA usually

1 produces squamous cell carcinomas that are not really
2 considered very similar to human. So these studies are,
3 you know, supportive secondary evidence, but I wouldn't
4 hang my hat on them if it was the only information we had.

5 And then there's a couple xenografts with BPA
6 exposure before or afterwards. And I do see increased
7 growth of these xenografts, which are human breast cancer
8 cells implanted on mice, suggesting, you know, BPA can be
9 a promoter. And then -- yeah, I think I'll just leave
10 that as the end of the mammary.

11 There's a couple of studies that addressed
12 hepatocellular carcinomas. So in the CLARITY Study, there
13 was an increase incidence of that in the dose groups,
14 but -- that were higher. So this is a rare carcinoma to
15 find in rats. They saw 2 of 24 in the 250 microgram
16 group, 1 of 24 in the 2,500 microgram group, and then in
17 the 25,000 microgram group, there was 3 of 19 male NCTR
18 rat that had this rare hepatocellular carcinoma. But none
19 of these lesions were observed in the lower doses of that
20 CLARITY or the control, so that was a significant trend at
21 0.01.

22 And then in the Agouti C57 Black 6 spread to the
23 C3H/HeJ dietary BPA was used in the Weinhouse Study that
24 our first speaker talked about, where we saw
25 hepatocellular carcinomas in all the BPA treated groups

1 and none in the controls. And again, that was a
2 significantly increasing trend, so we have two species
3 where we have a significant trend of a rare tumor and none
4 in the control. So I think that was noteworthy.

5 There's a fair bit of prostate tumor research
6 that's gone on in a number of studies through the years.
7 Most of this comes out of Gail Prins' lab. I don't want
8 to get into the details of every single one, but I will I
9 say that -- let's see here if I can -- sorry, just lots of
10 notes here.

11 There was one study that didn't come out of her
12 lab in 2003, the Ichihara study, the F344 males had been
13 evaluated up to 65 weeks old. They had no prostate tumors
14 or preneoplastic lesions in dose groups ranging from 50 to
15 120,000 micrograms per kilogram per day.

16 The Prins' lab tends to use this Sprague-Dawley
17 model instead. So they did not report any BPA-related
18 neoplastic or preneoplastic effects in any region of the
19 prostate as part of CLARITY, but OEHHA reported that when
20 they looked at the supplemental data in a table of that
21 peer-reviewed publication, and this is in Table 23 of our
22 workbook. There is a statistically significant increase
23 in preneoplastic, high-grade, prostatic intraepithelial
24 neoplasms which are referred to as PINs. And this was
25 evidenced by a significant trend, but it was

1 prostate-region specific.

2 And then Prins like to use this -- a pump system,
3 where they provided testosterone and estrogen to more
4 mimic the human condition of an aging human man. And in
5 those cases, they observed that BPA increase microinvasive
6 carcinomas and PIN. So there were several studies that of
7 -- among their group that was found that using this
8 hormone supplemented situation.

9 Okay. Sorry. I'm just going down here.

10 There was also a xenograft of a human prostate
11 cancer cell line that's commonly used called LNCaP, and
12 they had increased growth when exposed to BPA. And then
13 if BPA was -- excuse me. If the rats were exposed to BPA
14 during or after a human prostate xenograft that also
15 increased the grade of PIN and -- in that testosterone,
16 estrogen model that Prins uses. I believe I might have
17 said that was a pump and actually it's more correct to
18 call it a pellet, so excuse me.

19 NTP in 1982 observed the increase Leydig cell
20 tumors that we heard about before, so I won't touch on
21 that. There was a couple of reports of pituitary tumors
22 across female rats and male mice, so the female F344s had
23 increased incidence of pituitary adenomas in the low dose
24 group at 50 mg per kg in the NTP 1982 study. So that was
25 at a p-value of 0.05.

1 They saw none -- none of these pituitary adenomas
2 in the control group. In the 50 mgs group, they saw four
3 out of 10 of these rats had a pituitary tumors. Only 1 of
4 10 of the rats at the 200 mg dose group got them and then
5 3 out 10 got them in the 400 group. So not a linear dose
6 response, but an absence in the controls, but not the
7 treated.

8 And then with the male B6C3F1 mice that the NTP
9 1982 study used, they also saw an increase incidence in
10 pituitary tumors, however they were a different subtype
11 called chromophobe carcinomas. These appeared in the high
12 dose group, none in the controls, and kind of an
13 intermediate amount in the middle dose group. So just
14 barely reaching an increased trend significantly with a
15 p-value of 0.046. So a little bit of evidence of
16 pituitary tumors increased in two species.

17 Then there's a handful of uterine, reproductive,
18 and gonadal type female lesions. I think most of these
19 are not really -- well, some of them are not neoplasms or
20 pre -- necessarily preneoplastic. But I'll just point out
21 that in the -- in the CLARITY Study, there were two
22 observed fibrosarcomas of the clitoral gland. And in the
23 BPA, two and a half and 250 mg per kilogram dose groups
24 among animals that didn't have those clitoral lesions that
25 were described earlier. So there were -- there seemed to

1 be -- you know, that's a rare one.

2 Then there was other study that looked at
3 subcutaneous BPA being provided to CD-1 mice. And there
4 wasn't really any significant changes. So overall, I
5 think that category of the -- you know, the gonadal region
6 we'll call it, I thought that, in general, it was fairly
7 unremarkable, but I did want to point out the
8 fibrosarcomas.

9 I last want to just highlight a few things about
10 miscell -- what I call miscellaneous rare tumors. I went
11 through -- you can find a summary of those on Table 25,
12 but I would like to point out that it's missing
13 osteosarcoma and histiosarcoma. So I went through each of
14 the individual tables of CLARITY that were provided
15 related to rare tumors in particular, where I wanted to
16 see if there were any that appeared in both sexes in order
17 to make me feel more confident that they might not just be
18 simply spurious results.

19 And what I found was that we had, as noted in
20 Table 25, the males from the one-year perinatal chronic
21 or, you know, where they start in the perinatal period and
22 proceed through the rest of the study. They had small
23 intestine carcinoma observed. And the females from the
24 two-year chronic continuous dose study that started in the
25 perinatal period, they also had small intestine

1 adenocarcinoma observed. And then when looking at just
2 the female and males from the two-year chronic study, they
3 had the -- they had the common histiocytic sarcoma, which
4 can arise de novo, but is actually also known to arise
5 from B-cell lymphoma. So again tying back to the evidence
6 that there might be something going on with rodent
7 lymphoma risk related to BPA exposure and then the
8 two-year stop dose in males had osteosarcoma as did the
9 female two-year continuous dose study.

10 So I thought that it was interesting that we had
11 a couple of the rare tumors appear more than once across
12 both sexes of the rats. And I think I will stop there.
13 So thank you for listening to my overview.

14 COMMITTEE MEMBER LOOMIS: Okay. Thanks, Dr. La
15 Merrill.

16 Let's see whether any of the other assigned
17 discussants have anything to add that we haven't heard
18 already.

19 Okay. It seems there's nothing else, so we'll
20 move on to the assigned discussion of the 10 key
21 characteristics of carcinogens. And we'll take these
22 mostly in order, but Dr. McDonald has number 1 and number
23 5. So while you have the microphone, Dr. McDonald, if
24 it's not too confusing, I'll just ask you to do both of
25 those and then we'll go back to the established order.

1 COMMITTEE MEMBER McDONALD: Sure, that would be
2 fine.

3 I also want to acknowledge the work done by OEHHA
4 to synthesize the data on bisphenol A. Once again, you've
5 managed a herculean lift. The amount of studies and
6 information on this chemical is daunting, and so thank you
7 for pulling this all together. I also want to thank the
8 public comments of U.S. FDA, ACC, NRDC, and PIA.

9 The case of bisphenol A is a difficult one. The
10 epidemiology data are troublesome, because of exposure
11 assignment. The animal cancer data are suggestive, but
12 the largest pivotal study, CLARITY 70 -- 7 and 8, where
13 you have life-long exposure and you really should see
14 effects, instead the effects are unremarkable.

15 Yet, we have a rather large mountain of
16 mechanistic data that shows bisphenol A causes a wide
17 range of effects, including DNA damage, oxidative stress,
18 altered hormone states, changes in cell function,
19 immortalization, proliferation.

20 So these mechanistic traits or key
21 characteristics of a carcinogen are going to be discussed
22 in the next 10 sections. And the first one is whether
23 bisphenol A is electrophilic or can be metabolically
24 activated. So a key characteristic is electrophilicity,
25 that is whether the molecule itself or more likely or more

1 often the metabolite of the chemical is reactive or
2 electron seeking, that is whether the chemical or its
3 metabolite bind to electron rich cellular macromolecules
4 like, DNA, RNA, lipids, proteins forming addition
5 products, which we usually refer to as adducts. Binding
6 to DNA is good evidence of electrophilic activity.

7 So bisphenol A can be metabolized by the
8 cytochrome P450 enzymes and peroxidases, which Dr. Ricker
9 very nicely walked us through this morning. These
10 pathways are quantitatively minor, less than 10 percent,
11 but they still probably carry all of the concern. These
12 pathways form a variety of electrophilic compounds. The
13 most notable is the bisphenol A-3,4-quinone, often
14 referred to as BPAQ. There's a semi-quinone intermediate,
15 an arene epoxide intermediate, an isopropene phenol
16 radical, and then there's another electrophilic compound
17 that then dimerizes to bisphenol A. And with all this
18 redox cycling and quinone formation, you get a lot of
19 reactive oxygen species formed as well.

20 The DNA adduct studies have mostly focused on the
21 quinone adducts, the primary ones are with guanine and
22 adenine. So bisphenol A-3,4-quinone, or BPAQ, has formed
23 DNA adducts that have been identified as
24 3-hydroxy-bisphenol A-N7-guanine and 3-hydroxy-bisphenol
25 A-N7 adenine. So the DNA adducts have been measured

1 following treatment with BPA in various systems
2 including -- including human in vitro, animal in vivo, and
3 in vitro, and, of course, in cell-free systems.

4 Importantly, DNA adducts have been measured in
5 human cells in vitro at low concentrations. For example,
6 De Flora et al. in 2011 measured DNA adducts in both
7 normal and prostatic tumor cells, with fairly low
8 concentrations of 200 nanomolar and also down to 1
9 nanomolar when treating long-term. There's an increase
10 that it was not quite significant, but close.

11 There are also studies that have measured DNA
12 adducts of bisphenol A following oral and intraperitoneal
13 dosing of rats and mice. Unfortunately, these studies
14 used very high doses, 200 mg per kg, and there are no
15 other lower dose studies that were summarized.

16 These studies found DNA adducts in the liver of
17 rats, and both DNA adducts in the liver and mammary gland
18 of mice of CD-1 mice. Interesting, the same adducts were
19 found in liver and mammary gland epithelial cells. So DNA
20 adducts are not a required step for carcinogenesis, but
21 they certainly demonstrate that these metabolites are
22 electrophilic and capable of DNA damage.

23 N7-guanine adducts in particular are very good
24 biomarkers of internal exposure of activated carcinogens.
25 There are some researchers though that have questioned the

1 biological significance since they do not persist and they
2 form readily and not likely to be mutagenic. But usually
3 when you have these adducts, they're accompanied by many
4 others that are potentially mutagenic, as well as we have
5 a lot of reactive oxygen species being generated along the
6 way.

7 So with respect to oxidized DNA, there's a
8 significant evidence that bisphenol A causes oxidative DNA
9 changes, which are believed to be due to the reactive
10 oxygen formation, reactive oxygen species. So I'll
11 discuss DNA oxidized bases in the next section in
12 oxidative stress, which will now be very soon. But the
13 reactive oxygen species and the DNA oxidation probably
14 underlie a lot of the DNA strand breaks and other
15 genotoxicity that you'll hear from the next discussant.

16 Let me make one more point on this topic is that
17 bisphenol A, because it forms quinones, it loves to bind
18 to cysteine residues on proteins. In a recent study for
19 example Hu, H-u, et al. in 2022 examined protein adducts
20 in the liver of treatment of rats with single high dose or
21 repeated lower dose. And modified proteins included
22 superoxide dismutase, catalase, glutathione transferase.
23 And these, of course, are important because these are the
24 key antioxidant protective enzymes that protect against
25 the oxidative damage.

1 So just in sum, bisphenol A is an electrophilic
2 chemical with the potential to cause DNA and protein
3 adducts, as well as reactive oxygen species. So that's
4 that section.

5 Okay. So shall I move on to oxidative stress
6 then, Dr. Loomis?

7 COMMITTEE MEMBER LOOMIS: Yeah, go ahead.

8 COMMITTEE MEMBER McDONALD: All right. So
9 oxidative stress key characteristic of carcinogen number
10 5. Another key characteristic of carcinogens is oxidative
11 stress. And that, as you all know, is really the
12 imbalance between reactive oxygen species, or ROS, or
13 reactive nitrogen species relative to antioxidant
14 properties. So these reactive species may add to
15 carcinogenicity through DNA alterations, changes in cell
16 type or control, but it really is the cell's ability to
17 maintain the balance between oxidation and reduction
18 that's important for cell development, growth, and
19 survival.

20 We, of course, during normal metabolism, generate
21 reactive oxygen species and we have many antioxidant
22 molecules, enzymes, efficient and regulated pathways to
23 scavage these species and prevent toxicity. So really
24 it's when you get the host behaviors or chemical exposures
25 that tip that balance that leads to toxicity.

1 So reactive oxygen species can be formed during
2 the metabolism of bisphenol A, probably through the redox
3 cycling of these quinones and semi-quinone metabolites.
4 They also could be formed through other oxidation
5 reactions. So in the bisphenol A studies of oxidative
6 stress, there are a number of biomarkers that are used,
7 mostly for oxidative DNA damage. They assess
8 8-hydroxydeoxyguanosine. You know, and as noted in that
9 Steffensen 2020 review, this marker is easy to measure,
10 but it does have the weakness of high inter- and intra-day
11 variability because of many confounding sources, such as
12 food, exercise, smoking.

13 Some other studies looked at lipid peroxidation
14 or oxidative damage to lipids, and were -- these were
15 often measured using the markers of malondialdehyde or
16 8-isoprostane. And then other studies used general
17 markers, such as glutathione levels, glutathione dimers as
18 well as changes in the function or titer of protective
19 enzymes, such as glutathione transferase, glutathione
20 peroxidase, superoxide dismutase, catalase, and others.

21 I should step back just briefly. You know, we
22 really should take some caution when applying this key
23 characteristic in particular, because oxidative stress is
24 caused by many non-carcinogens as well. Any chemical that
25 causes systemic toxicity or inflammation, you know, can

1 cause inflammation and you often see changes in these same
2 markers as well.

3 So I think we should focus on oxidative stress as
4 it relates to the potential mechanisms, especially at
5 non-toxic doses and the potential outcomes such as
6 genotox, and receptor and cell signaling.

7 So bisphenol A has induced reactive oxygen
8 species in hundreds of studies in humans and animal cells
9 in vitro, including low concentrations at or near human
10 expected levels in some populations. In animals in vivo,
11 again quite -- including quite low doses on the order of
12 low microgram per kg, induced ROS in many tissues and cell
13 types.

14 In human observational studies, we must control
15 and consider the factors such as co-exposures, disease
16 state, and smoking status. And also some of the human
17 observational studies have the same limitation that we saw
18 in the epi studies, where, you know, some use single-spot
19 urine samples for exposure assignment. However, many
20 others use multiple exposure time points, so that
21 really -- that really strengthened their studies.

22 There were 13 observational studies, six showed
23 no or negative association, but the vast majority were
24 positive, and these did include some studies that had
25 repeated urine samples to improve exposure assignment.

1 With respect to human in vitro, there's over two
2 dozen studies, bisphenol A in human cells that reported
3 significant changes in a variety of cell types. These
4 included lymphoblastic cells, breast cancer cells, colon
5 cancer cell lines, neurons, kidney, blood cells, liver and
6 lung cells.

7 Interestingly, some of these studies showed that
8 ROS not only forms and can be measured increased in the
9 cytosol, but also in the mitochondria. So bisphenol A
10 metabolites do accumulate in the mitochondria and they
11 lead to energy shutdown, cell death, which of course then
12 kicks up cytokines and can lead to inflammation.

13 Animal in vitro, there were many, many animal in
14 vitro studies that showed increase in ROS markers in a
15 dose response fashion in the blood and in the tissues,
16 including ovary, liver, brain, testis. Also, increases in
17 these markers, including malondialdehyde were seen in
18 animals given greater than 5 mg per kg, but there were a
19 few studies that showed markers elevated even after low
20 doses on the order of microgram per kg.

21 Let me just give you one example that is one of
22 the longer term studies in this group. Wang et al. in
23 2019, it was a 10-week drinking water study. It's a good
24 example in mice. Longer term oral intake at 50 micrograms
25 per kg induced ROS markers in the serum, colon, and liver,

1 as well as corresponding decreases in the activities of
2 the enzymes that protect against oxidant status, such as
3 superoxide dismutase and catalase.

4 There were clear increases in inflammatory
5 cytokines, there were clear reductions in mitochondrial
6 function in the tissues, and there was a clear increase in
7 Caspase genes being expressed, as well as functional
8 enzyme activity in the liver and colon suggesting
9 BPA-induced apoptosis. I thought that was interesting
10 just because there's quite a number of studies that looked
11 at in vitro activities of apoptosis in cancer cells, such
12 as that reviewed by Nomiri in 2019, where they showed BPA
13 inhibited apoptosis in some studies, where actually
14 inducing apoptosis in others.

15 One of the key review papers that OEHHA
16 highlighted was that of Amjad in 2020. It summarized
17 numerous animal studies that had been conducted where
18 bisphenol A induced oxidative stress that was alleviated
19 by co-exposures to a variety of antioxidants like
20 catalase, small molecules like vitamin A, C, and E,
21 melatonin, lycopene, ginseng. Nearly all of the
22 antioxidants reduce bisphenol A oxidative stress, lipid
23 peroxidation, and DNA damage. I think it just really
24 points to the complexity of studying bisphenol A effects
25 in humans. And there's this constant always the

1 interbalance between that oxidation and reduction that's
2 always going on.

3 All right. I'm almost through here. I've just
4 got animal in vitro. There were about three dozen studies
5 in rodent cells from a variety of tissues, almost all
6 found significant increase in ROS markers. For most --
7 for some cell types, high micromolar concentrations cause
8 cell toxicity or cytotoxicity. But there were --
9 actually, the majority of the studies in mammalian cells
10 showed lower non-cytotoxic dose still resulted in ROS
11 formation.

12 So in sum, I think it is clear that bisphenol A
13 causes oxidative stress. It causes oxidative stress at
14 high doses, but also importantly it does so at lower doses
15 as well that are noncytotoxic. Bisphenol A induces
16 reactive oxygen species that appear to be involved in
17 altering cell signaling pathways, promoting cell
18 proliferation and survival, as well as DNA damage.

19 That's it. Thank you.

20 COMMITTEE MEMBER LOOMIS: Thanks, Dr. McDonald.

21 So let me just point out that it is 10 minutes to
22 2 and remind the remaining discussants that you can assume
23 that we've all looked at the report and data to the extent
24 we need to. So please just summarize your observations
25 about the studies and the key takeaway messages that you

1 think the Committee should be aware of.

2 We'll go on now to Dr. McDonald with key
3 characteristics 2 and 3.

4 I'm sorry, Dr. Eastmond.

5 COMMITTEE MEMBER EASTMOND: Thank you. And I'll
6 try to move through this fairly quickly.

7 So key characteristic 2 is really does the agent
8 exhibit genotoxicity? And there is evidence that
9 bisphenol A is genotoxic at multiple endpoints in vitro.
10 Although, the results are somewhat more mixed than it
11 seemed presented in the document to me. For example, it
12 was -- the document says it causes mutations. And
13 where -- so if you look at this, it's negative in many --
14 the Ames test in salmonella bacteria. It's been tested
15 many times. It's been negative. Negative in yeast. It
16 was negative in four mutation assays in standard tests in
17 animal cells, but it was positive in two non-standard
18 tests in human cells.

19 It was also positive in one dominant lethal study
20 in rats and negative in one. So you get this sort of
21 mixed path -- picture, but there's enough evidence here
22 that it does look like it is positive certainly in vitro,
23 and that's for chromosomal damage, DNA strand breaks,
24 adducts as well and oxidative damage to DNA as indicated
25 by Dr. McDonald.

1 The evidence for genotoxicity in vivo is limited
2 and is more problematic and I'll give a couple of
3 examples. So about 20 years ago, there was a very high
4 profile study by Pat Hunt and colleagues that reported
5 that bisphenol A caused aneuploidy in -- and related
6 effects in germ cell. And it's in the document. However,
7 that -- there was a group from Europe that actually had
8 funding from the European Commission to work on that exact
9 same type of study. And I should say there are only about
10 a handful of labs in the world that do these types of
11 studies. So it was actually very fortuitous that this
12 other group by Pacchierotti et al. had funding to repeat
13 the study by Hunt, and they were unable to repeat it.

14 And so the repeat study is also in the document,
15 but you don't see it in the context. The one was quite
16 dramatic sort of result, but the follow-up result by a
17 very reputable group of investigators was not able to
18 repeat that work.

19 And then there's some other issues that come up
20 in vivo, where you have one group in actually what I
21 consider actually quite a poor study, but reports
22 chromosomal damage at very low concentrations of bisphenol
23 A. Similar results are not seen at much, much higher
24 doses, thousand times higher doses by, you know, result
25 labs that are considered to be quite reputable. So you

1 get this sort of issue. It's kind of a messy pattern, but
2 certainly there's some evidence for genotoxicity.

3 Let me -- I'd like to put this, if I could, in a
4 little bit broader context, since most of my career I was
5 involved looking at genotoxicity related to phenolic
6 compounds. And Tom McDonald was involved in some of this
7 many years ago, but -- so the metabolism and toxicokinetic
8 information to indicate to me that positive results when
9 they are seen would likely be much more common or
10 restricted to the high doses of bisphenol A. If you think
11 about the toxicokinetics and metabolism that was
12 presented, it's very similar to other phenolic compounds
13 in that initially when the body is exposed or cells are
14 exposed to these phenolic compounds, phase 2 conjugation
15 takes place, so that basically you have conjugation with
16 glucuronidation and sulfation occurs, and that really
17 predominates.

18 But as you get to higher concentrations, then you
19 start seeing bioactivation by cytochrome P450,
20 monooxygenases. And these will form reactive intermediate
21 such as your quinones. But generally, there's fairly
22 substantial levels of reduced glutathione, which will
23 conjugate with this so -- or plan to activate that.

24 But as you go up to higher doses or higher
25 concentrations, then you'll start seeing more covalent

1 binding to macromolecules, such as proteins and DNA. And
2 that's sort of the common sort of classical toxicology
3 perspective. And that's the way I look at this or
4 interpret. And that seemed to be consistent with the
5 evidence.

6 So this suggests to me that when -- that there
7 should be a sort of strong nonlinear component to the dose
8 response curve. And indeed, the United Kingdom's
9 Committee on Mutagenicity concluded that the dose
10 responses of similar compounds, hydroquinone and phenol
11 are likely to exhibit a threshold response, just because
12 of these multiple protective mechanisms in vivo.

13 Another point I'd like to point out is that this
14 phase 2 metabolism, which tend to be quite efficient is
15 found for phenolic compounds both in the intestine and in
16 the liver. So it becomes important when you evaluate
17 studies to identify the route of exposure, so that, you
18 know, is this relevant to sort oral exposure or is this a
19 unique sort of thing. So when you talk about osmotic
20 pumps implanted -- they are -- the compound is reaching
21 systemic circulation without directly going through the
22 intestine or the liver. So you might expect to see
23 somewhat different results than if the compound was given
24 orally.

25 So in sort of summary, I think there is certainly

1 evidence that -- and I should say, Tom -- Dr. McDonald
2 went through the evidence for -- there's oxidative damage
3 to DNA in multiple studies reporting this as well. So
4 there is certainly evidence that bisphenol A is genotoxic
5 in vitro, but I would consider the evidence in vivo to be
6 much more limited, certainly for traditional endpoints.
7 And that's kind of my summary for key characteristic 2.

8 Key characteristic 3, it alters DNA repair or
9 causes genomic instability is indicated in the report.
10 Overall, there were a relatively small number of studies
11 that looked at the ability of bisphenol A to alter DNA
12 repair or cause genomic instability. And a few of them
13 reported that bisphenol A decreased capacity to -- for
14 cells to repair certain types of DNA damage and in various
15 cell types. And a few others reported decreased
16 expression of DNA repair enzymes.

17 So I consider this evidence in this area to be
18 fairly limited. So for the -- there's maybe some
19 suggestion there, but it's not certainly convincing by any
20 means that bisphenol A may cause some effects on DNA
21 repair, but it's not -- again, it's sort of in a limited
22 evidence or suggestive evidence category.

23 So that's really my comments for those two key
24 characteristics. I'm happy to answer questions if people
25 have them or maybe we'll wait till later.

1 COMMITTEE MEMBER LOOMIS: Yeah, let's move
2 through all the key characteristics and then we'll open it
3 up for questions. Okay.

4 Next up, Dr. Besaratinia, key characteristic 4.

5 COMMITTEE MEMBER BESARATINIA: Thank you. Let me
6 get to my notes. Well, I want to also recognize the work
7 of all contributors to this nearly 600-page report. I'm
8 sure it was a major undertaking and lots of work went into
9 that. The document is a good read and it reviews a large
10 body of work.

11 As we heard today, the literature on the cancer
12 causing potential of BPA is quite rich. By focusing on
13 the epigenetic effects, the report identifies, if I read
14 it correctly, 413 articles published in this topic. I
15 myself did a quick PubMed search and found 128 review
16 articles only on this topic. This is quite astonishing
17 considering that investigating the epigenetic effects of
18 this chemical only gained momentum about 16 years ago. So
19 that was basically due to publication of two seminal
20 studies, one by the Prins group in 2006 and the other one
21 by Jirtle's group In 2007. This latter study I'd
22 recommend inclusion of this second very important study,
23 which was published in PNAS, somewhere appropriate in the
24 report.

25 Following the publications of these two studies,

1 there has been a flurry of research into the epigenetic
2 effects of BPA and other endocrine disrupting chemicals.
3 The report summarizes many of these studies, and the
4 presentation given earlier today highlighted some of the
5 findings of those studies.

6 I'm not going to repeat what is written in the
7 report or was presented today, but just to give a brief
8 overview of my gathering from reading the literature on my
9 own and reading the report. My take is that there is
10 basically three distinct, but often interrelated
11 epigenetic modifications that are associated with BPA
12 exposure. Among these apparent DNA methylation is the
13 most studied one.

14 Relatedly, studies on DNA hydroxymethylation are
15 also beginning to emerge. These studies have been
16 performed in vitro, in vivo, and in human populations. To
17 a much lesser extent, studies on histone codes and
18 non-coding RNAs have been also performed in cell cultures
19 treated with BPA or in tissues and organs of animals
20 treated with this chemical. The histone modification
21 studies have focused on a few active or repressive histone
22 marks. Occasionally, measuring the -- occasionally,
23 measuring enzymes that catalyze these reactions and
24 sometimes quantifying the expression of the associated
25 genes.

1 Likewise, studies on long non-coding RNA,
2 specifically microRNAs and long non-coding RNAs, and BPA
3 exposure have mainly been in vitro and in vivo
4 experiments, and fewer human studies are there in the
5 literature.

6 I'm not going to go through the histone
7 modification or non-coding RNA studies, as many of these
8 studies are extension of the original DNA methylation
9 studies. And most of the comments that I will make for
10 DNA methylation studies will also apply to those other
11 studies.

12 As for DNA methylation studies, a large number of
13 in vitro, and in vivo experiments, and human studies have
14 shown that exposure to BPA is associated with either gain
15 or loss of DNA methylation in a single gene or multiple
16 genes. The report refers to these studies as individual
17 gene methylation studies. There have also been reports of
18 association between BPA exposure and DNA methylation at a
19 global level. These are referred to as global methylation
20 studies in the report.

21 What I would like to note is that with the
22 exception of perhaps a couple of studies, the global
23 studies as they're referred to in the report are not truly
24 genome-wide studies, because they either use methylation
25 array, which interrogate only a small fraction of the CpGs

1 of the epigenome of the genome, at best less than two
2 percent or they use Elisa or immunoprecipitation or other
3 enrichment-based method to analyze specific repeat
4 elements as a proxy for the CpG content of the entire
5 genome. So as it stands, there is a need to study the
6 effects of BPA on the whole epinome perhaps using more
7 advanced techniques, such as NGS based platforms.

8 Based on the in vitro and in vivo data, there is
9 evidence that exposure to BPA is associated with apparent
10 DNA methylation, both individual genes and in gene panels.
11 This associations are mostly cell type dependent as is
12 shown in cell culture experiments. They have also been
13 shown to be tissue specific or sex specific in some animal
14 studies.

15 A wide variety of cell types from different
16 species have been treated in culture with BPA at varying
17 doses, mostly in the nanomolar to micromolar range.
18 Following the treatment, some but not all of these cell
19 types show changes in methylation status, for example in
20 the promoter region of a gene or in repeat elements.
21 Again, methylation changes are detectable in some but not
22 all doses. Also establishing a dose response relationship
23 has not been straightforward.

24 Pathway analysis of the differentially methylated
25 genes has been performed in several of these studies. And

1 there's also enrichment of nuclear pathways that are
2 implicated in cancer, neurodevelopment, and metabolism,
3 and reproduction among others.

4 Let me see. Several of these studies have also
5 measured the expression of enzymes that catalyze DNA
6 methylation, both overexpression and underexpression of
7 DNA methyltransferases, both the de novo and the
8 maintenance DNMTs have been observed in in vitro and in
9 vivo experiment. Their relationship between enzymes level
10 and the methylation status of the tested gene has not been
11 direct as can be expected.

12 More or less, similar findings have been reported
13 from animal studies after in utero or lactational exposure
14 to BPA. Again, I want to underscore the tissue
15 specificity and sex dependency of the effects that have
16 been reported in most of these animal studies.

17 As for human studies, the focus has mostly been
18 on mother-child pairs or adolescents and adults with
19 environmental exposure to BPA. There are also studies in
20 adults occupationally exposed to this chemical. Although
21 associations between DNA methylation status and BPA
22 exposure have been found in some of these studies, the
23 results need to be interpreted quite cautiously. As we
24 heard all day today, the main concern is the reliability
25 and precision of exposure data for BPA in human studies.

1 To make matter more complicated and complex,
2 there is the issue of epigenome plasticity which can
3 significantly impact the human study. As you all know,
4 the epigenome can change by both physiologic and
5 pathologic conditions, developmental stage, normal aging,
6 exposure to a wide range of chemicals and agents,
7 lifestyle factors, diseases. These all can affect the
8 epigenome. So it's tremendously challenging to account
9 for these factors in the epigenomic studies in human
10 population.

11 In the case of BPA, this situation might be even
12 more complicated considering the persis -- pervasiveness
13 of this chemical in the environment. It's complex
14 pharmacokinetics, particularly on -- in its short life --
15 half-life and rapid excretion and most importantly lack of
16 long-term exposure biomarkers for this chemical.

17 I'll briefly mention some examples of the
18 limitations of the published studies in humans. For
19 instance, in mother-child pair studies, spot urine samples
20 from mothers they're collected for BPA measurement in
21 order to find this association with DNA methylation in
22 fetal tissues, placenta, cord blood, or peripheral blood
23 from offspring two years up to 14 years after birth.

24 Again, as we heard all day today, the accuracy
25 and representativeness of a one-time measurement of BPA in

1 mother's urine is at best questionable, especially when
2 it's used to estimate the gestational exposure or the
3 newborn's exposure years after birth.

4 A further complication is the continuous exposure
5 of these newborns to other sources of BPA and the constant
6 alterations of their epigenome as they continue to grow.
7 The same concerns also apply to studies in boys, and
8 girls, and adults whose urine or serum samples were taken
9 at a single time or twice a year in order to make an
10 average for annual BPA exposure.

11 Also, in many studies, a major concern is the use
12 of heterogeneous tissues or mixed cells for methylation
13 analysis, despite the fact that epigenetics -- epigenetic
14 marks are mainly cell type specific. For instance, the
15 use of whole blood or placenta tissue in many studies is a
16 significant limitation, considering that blood is
17 comprised of various cell types. Methylation changes that
18 are associated with BPA exposure can simply be caused by
19 changes in blood cell composition as a result of exposure
20 to not only BPA or -- but also other chemicals and
21 stressors.

22 And a further concern is the use of potentially
23 compromised study subjects. For example, women receiving
24 reproductive medication or undergoing IVF treatment the
25 epigenetic changes that are reported in these women could

1 simply be attributed to those therapeutic and not
2 necessarily BPA exposure.

3 So putting all these together, one can argue that
4 drawing conclusion from the results of human studies that
5 are published so far is very challenging considering the
6 quality of the available data and the design of those
7 studies.

8 So one option would be to consider future studies
9 that are better designed and sufficiently powered and
10 preferably done in well-characterized population. Of
11 course, this is not going to be an easy task considering
12 the cost, time, and efforts that would be needed to carry
13 out these studies, and the wait time to get the results.

14 The alternative, and perhaps a more realistic
15 approach, would be to -- if one wants to reach a faster
16 conclusion would be to focus on the available data from in
17 vitro and in vivo studies. And this should be, of course,
18 complemented with some follow-up studies, for example
19 using banked specimens that are already available from
20 many of those published studies. For example, one can
21 consider the functional consequences of the epigenetic
22 changes that have been reported to be associated with BPA.
23 This is a very important area that is unfortunately very
24 understudied up until now.

25 Along those lines, I think the report mentions

1 the CLARITY Project. Also another source is the target
2 program that was funded by NIH several years ago. And one
3 can maximize the use of banked specimens from those
4 projects for preferably multiomic studies. And doing so
5 we may contemplate, you know, integrated analysis of both
6 epigenome and transcriptome in order to find a functional
7 role of BPDAS -- BPA-associated epigenetic changes. And
8 this can help us place this information in a wider
9 context, which is the gene dysregulation and human
10 disease, particularly human cancer.

11 So I think I'll stop here.

12 COMMITTEE MEMBER LOOMIS: Thanks, Dr.
13 Besaratinia.

14 We'll move on to Dr. Wang with key
15 characteristics 6 and 7. And again, I remind the
16 discussants that we don't need a study by study
17 description, but a summary of the key points that you
18 think the Committee should be aware of, particularly your
19 assessment of the strength of the evidence of each of
20 these characteristics.

21 COMMITTEE MEMBER WANG: Okay. Can you hear me
22 alright?

23 COMMITTEE MEMBER LOOMIS: Yes.

24 COMMITTEE MEMBER WANG: So the first topic is
25 chronic inflammation. So there was a handful of studies

1 that were referenced in the report. I think this reflects
2 that not -- there isn't a whole lot in human studies that
3 have been conducted on chronic inflammation and BPA.
4 These studies, the reports indicated that they reported an
5 association. I would -- I would rephrase that and say
6 that these studies suggest a link between inflammatory
7 markers and exposure to BPA. And I think the major
8 limitation in these human studies or epidemiologic studies
9 is in the definition of what we consider chronic
10 inflammation, which presumes long-term inflammation.

11 And I think the major issue in defining this
12 outcome is that the outcome assessment in many if not all
13 the studies have made it a single time point, where any
14 assumption of chronicity in my opinion cannot be made.

15 So the way I interpret these studies that were
16 referenced is that they have evaluated inflammatory
17 markers, but not necessarily chronic inflammation. So
18 this leads to the first major limitation of the delineated
19 studies, which is study design. The majority are
20 cross-sectional in nature, meaning that the exposure and
21 outcome are measured simultaneously and at one time -- one
22 time point. So we really cannot make any assumption about
23 the chronicity of inflammation as an outcome, but we also
24 cannot make any assumptions about causation. They're
25 simply correlative studies.

1 The second limitation of the reported studies is
2 the consideration of covariates. Some but not all of the
3 studies have accounted for relevant covariates. And the
4 ones that have included covariates in their models, they
5 all consider somewhat different covariates. And I'll just
6 point out that there are actually a number of
7 post-characteristics and medical conditions that have been
8 associated with inflammatory and other immune markers.
9 And these include key cancer risk factors, such as
10 obesity, diabetes, NSAID use or other medication use, et
11 cetera.

12 Other characteristics such as the co-activity has
13 also -- have also been associated with immune marker
14 measurements. So for some of the studies that have
15 reported correlations that did not account for -- you
16 know, many of these covariates, the interpretation of the
17 results is difficult.

18 There was one thing that actually I found
19 interesting about some of the studies that were presented,
20 in that there were curious associations that, you know, in
21 the overall studies, they perhaps didn't observe and
22 associate -- a link, significant odds ratio, but that many
23 of the significant associations were actually reported
24 among population subsets, such as women with PCOS, or
25 within diabetic individuals, or among post-menopausal

1 women.

2 On the one hand and likely, these could just
3 simply be spurious findings, but on the other hand, it
4 made me wonder whether the question that was being asked
5 by many of these studies is not quite the right one, that
6 maybe we ought to be focusing on which susceptible
7 populations there might be for BPA exposure, that there
8 may, in fact, be a biological basis for concern for
9 specific populations at risk.

10 So someone who is obese or there's a diabetic in
11 the population that already experiences chronic
12 inflammation due to those conditions, might expose to BPA
13 exacerbate that level of inflammation? Now, I'll concede
14 that there is actually no evidence in the data presented
15 to suggest that, but that's just another way of looking at
16 it that we may consider in the future.

17 The final limitation of the human studies is that
18 power from any of these studies is uncertain. There was,
19 you know, for example, a cross-sectional study of 76 men
20 in Italy, 200 adults in Korea, 176 healthy newborns in
21 Cyprus, 40 women with PCOS compared to 20 controls in
22 Italy, 60 adults in South India. There were some larger
23 studies, you know, upwards of 400 people. But still, the
24 population, as you can see, is very heterogeneous in terms
25 of what we're looking at. And for the most part, the

1 sample sizes are likely to be inadequate.

2 So moving on to animal studies, there were many,
3 many more animal studies on BPA and what I would consider
4 chronic inflammation. And indeed animal studies, longer
5 term BPA exposure was, in fact, measured, and chronic
6 inflammation as measured within tissues and various
7 organs, in fact, were reported.

8 I think most noteworthy is that there were
9 important dose-dependent associations with severity of
10 inflammation increasing with BPA dose. There was a number
11 of animal models that were reported. Long-term exposures
12 were reported over -- from over weeks to over months and
13 that were assessed. And there was -- even though these
14 animal studies were also heterogeneous, because there were
15 many more of them and because they were covering many more
16 exposures from weeks to months and from different doses
17 and different routes of exposures all coming up with
18 similarly consistent associations. I found the animal
19 studies to be a bit more robust than the -- or a lot more
20 robust than the population or epidemiologic studies.

21 The biomarkers of inflammation were also measured
22 in different ways, including in serum at different time
23 points, mRNA expression at different time points, as well
24 as directly in tissue based on inflammation cell
25 infiltration in the liver, kidney, lungs, prostate lung.

1 So in general, I would say that the human evidence is weak
2 to modest, but that the animal evidence of linking to
3 chronic inflammation is much more robust.

4 Moving on to immunosuppression, I won't spend a
5 whole lot of time on this category, because they're really
6 very -- compared to the other categories, there were very
7 few studies to evaluate. The report suggests that there
8 was significantly -- that there is an association with
9 immunosuppression. You know, in my read of the data, I
10 would say there's probably insufficient evidence. There's
11 not -- certainly, it's not null. Certainly, there is the
12 studies that they cited. There appears to be suggestions
13 of an association with immunosuppression with BPA
14 exposure.

15 I guess where I had a little bit more difficulty
16 in interpreting the results is that there -- I don't know
17 if it's a biological phenomenon, but unlike -- you know,
18 we'll just contrast to the inflammatory studies, of which
19 there were numerous and they were -- they covered, you
20 know, many different types of exposures, many different
21 types of outcomes, over time, the immunosuppression
22 studies, it's not clear whether these studies, because
23 there's so few, whether they are spurious associations.
24 There -- and they blanketed sparsely the different types
25 of, you know, ways of measuring immunosuppression, so

1 there are much fewer studies on dendritic cells than are
2 natural killer cells, there are IgM, and many more studies
3 on macrophages, and neutrophils, and T and B-cell
4 proliferation.

5 But even among those for which they are -- I
6 mean, there's two ways to look at it. One is that because
7 they're looking at all these different outcomes and there
8 are studies popping up for each of these outcomes that,
9 you know, one could conclude that perhaps there is
10 consistency in the association for immunosuppression. But
11 the other way of looking at it is that there really
12 wasn't, you know, any dose response relationship, but I
13 don't know if I'm interpreting that correctly, that, you
14 know, in -- you know, there is different dosing for BPA.
15 And in some, you know, don't -- many of the reports will
16 say there was significant association for this level, but
17 not at this higher level or, you know, I can -- a number
18 of studies do that.

19 And so it's unclear whether there's no dose
20 response or whether there is a threshold effect, right, so
21 that maybe high BPA exposure you tip the balance on
22 immunity to actually inflammation rather than
23 immunosuppression. So it's both sides of the coin. And,
24 you know, none of these studies actually look at both
25 sides of the coin.

1 So I think because of the sparsity of the study
2 on immunosuppression, it's difficult to interpret would be
3 my conclusion and I'll end there.

4 COMMITTEE MEMBER LOOMIS: Very good. Thank you,
5 Dr. Wang for a nice concise and informative summary.

6 We'll go on, key characteristic 8. Dr. La
7 Merrill, you have that one, receptor-mediated effects.

8 COMMITTEE MEMBER LA MERRILL: Hi. All right.
9 Sorry. I just had the sun come in.

10 Okay. So receptor-mediated effects, I think that
11 the evidence is overall strong here. There are a
12 tremendous number of studies looking at bisphenol A, its
13 ability to bind and modulate the activity of estrogen
14 receptors, both the, you know, canonical nuclear receptors
15 of alpha and beta, but also the membrane receptors and
16 another cytosolic ER receptor called GPER or GPR30. And
17 the studies have been done in, you know, cell lines in
18 multiple species using gold standard techniques.

19 And generally speaking, BPA appears to be an
20 agonist of these receptors, like ER-alpha and GPER.
21 However, for beta -- the ER-beta BPA can behave as an
22 antagonist. One of the things that happens with estrogen
23 receptor is the effect of a ligand can depend on the
24 tissue context or other contexts. For example, the
25 chemotherapy tamoxifen can protect against breast cancer

1 by antagonizing in the breast, but can promote uterine
2 cancer due to differences and cofactors that are part of
3 the binding complex of ER at the nucleus. And so
4 interestingly estradiol itself also at ER beta kind of has
5 antagonism properties, so that BPA can antagonize at ER
6 betas consistent with how the natural ligand estradiol
7 works at that receptor.

8 And ER expression in -- sorry, lymphomas --
9 lymphoma cells that are human B-cell lymphomas and
10 characterized as ER alpha negative and ER beta positive,
11 which is the predominant distribution of ER expression in
12 B-cell lymphoma patients and cell lines and so forth.
13 That BPA exposure in the -- in these B-cell lymphoma
14 cells, it did suppress the growth in the human cells and
15 also in the mouse cells, which is consistent with
16 basically how estradiol would work in that context, but
17 not really consistent with what I described earlier with
18 respect to the increase in lymphomas being reported in the
19 animal studies.

20 There's some evidence that BPA can be an
21 antiandrogen. In the human system it seems to interfere
22 with the translocation of AR in several independent
23 studies of human cells in vitro. And thyroid hormone
24 receptor has been evaluated and I didn't find that -- any
25 compelling evidence related to BPA's ability to modulate

1 the activity of thyroid hormone receptor. I didn't see
2 any -- for example, typical gold standard binding assays
3 for that.

4 But OEHHA group was kind enough to summarize
5 other receptors, including aryl hydrocarbon receptor and
6 two PPARs, alpha and gamma. I didn't find that there was
7 consistent evidence of the expression -- excuse me, the
8 activity of either of those three receptors being
9 modulated by bisphenol A. We also had a summary of the
10 possibility that bisphenol A could modulate the expression
11 levels of hormones or the receptors I brought up.

12 There could possibly be a role of BPA in
13 increasing the expression of PXR, a receptor that was
14 increased in association with BPA exposure in a study of
15 infertile women and men, and also in fertile men, but not
16 fertile women. So it's also been shown in female fish.
17 Excuse me, it was absent in female fish, but also present
18 in male fish, and -- but not seen in non-human in vitro
19 models otherwise.

20 So I'm not -- I think that evidence is overall
21 probably pretty weak. I would also say that there's
22 inconsistent evidence for estradiol, progesterone,
23 testosterone, thyroid hormones, thyroid receptor, and
24 androgen receptor being associated with bisphenol A. In
25 human studies it's certainly been measured, but I think

1 we've all discussed exposure assessment issues, but also I
2 think outcome. You know, there's a lot of temporality to
3 the circulating levels of these hormones for people. So I
4 think it's just -- it would be very surprising if there
5 was actually an association that represented biology that
6 could be detected in the context of experiments that we've
7 seen.

8 Just a little more evidence suggesting that there
9 might be an effect on testosterone levels. I can get into
10 that if someone wants, but my take on it was that it was
11 not particularly important.

12 Prolactin levels of the hormone was positively
13 associated with BPA in occupational studies of both sexes,
14 and in several rat studies. But nonoccupational BPA
15 studies, the prolactin evidence was inconsistent. So I
16 thought that that could potentially be some evidence of
17 something real going on, since the occupational studies
18 address some of the, you know, issues that we've discussed
19 in the previous conversations, and then with the rat
20 studies supporting by, you know, having better control of
21 confounding and exposure.

22 Then just to touch on the AHR part of things,
23 there was studies looking at several mouse tissues,
24 showing AHR protein was elevated, specifically male mouse
25 testes, spleen, kidney. And those were three separate

1 independent studies, and then in the human hepatocellular
2 line, which is really a cancer cell line HepG2.

3 And the AhR was measured as RNA in three
4 cross-sectional studies in humans where it was also
5 increased. And I don't know what to think about that. I
6 don't -- I wouldn't really care about the cross-sectional,
7 so much on their own, because of all the things that are
8 wrong with that kind of study. But in combination with
9 the cell and animal evidence, there might be something to
10 that as well.

11 And then lastly, I'll just touch on the PPAR
12 gamma expression levels. There's increased PPAR gamma
13 RNA, mostly identified. We just haven't looked at the
14 protein has much. That RNA of PPAR gamma has been
15 increased in experiments with human adipocytes, monocytes,
16 and liver cells, as well as three different types of mice.
17 And in those cases, it mostly liver. And one of the three
18 mice studies also had testes data. And then there were a
19 few mouse studies showing increased PPAR gamma at the
20 protein level in a couple different tissues, liver, and
21 testes of mice.

22 So overall, I would say that the evidence is
23 strong, mostly based on the ER story, but I wanted to at
24 least share with you all the receptors, in case it's of
25 interest related to some of the whole organs and

1 phenotypes we're discussing.

2 Thanks.

3 COMMITTEE MEMBER LOOMIS: Thank you.

4 Let us move on now to key characteristic 9, and
5 Dr. Landolph has that one, Immortalization.

6 Let's see, are you there, Dr. Landolph? It looks
7 like you're still muted.

8 Do you need a minute to get ready?

9 What we'll do is skip on to key characteristic
10 10, cell proliferation and nutrient supply, and Dr. Bush
11 has that one. Set let's just skip forward, Dr. Bush,
12 please, to yours.

13 COMMITTEE MEMBER BUSH: Absolutely. Yeah. Thank
14 you, Dr. Loomis. Okay. So key characteristic 10 alters
15 cell proliferation, cell death, or nutrient supply. As
16 the document states, there are over 200 relevant studies
17 in human cells in vitro. Full disclosure, I did not read
18 all 200. I did not get that granular. I did read the
19 three comprehensive reviews and followed up as necessary.

20 In general, I viewed the cancer cell line data of
21 less value. As we know, transformed cells, and
22 particularly these long established cell lines, already
23 represent an artifactual system. So I'm also speaking as
24 someone who uses these cell lines all the time in my own
25 lab.

1 So I gave more value to studies utilizing normal
2 cells or noncancer cell lines. As the HID document
3 states, a number of these studies report no increase and
4 others reported decrease in cell proliferation. Of note,
5 most of these studies used concentrations of BPA orders of
6 magnitude more than what the physiologic concentration
7 should be expected to be in the range of 0.5 to about 4
8 nanomolar.

9 And so there is going to be an effect. And there
10 are many studies that report that BPA increases
11 proliferation in various cell types sometimes at a low
12 dose. But this does indicate that BPA's effect may be
13 impacted by cell type, the BPA concentration and both
14 extrinsic and intrinsic factors. In terms of apoptosis,
15 many studies in human cancer cells demonstrating that BPA
16 alters apoptosis signaling pathways and decreases
17 apoptosis, but there are also negative results as well.

18 Similar to cell cycle control and cellular
19 replication, there is data to support a role there. There
20 are a handful of studies that show BPA promotes
21 angiogenesis and angiogenic pathways, and even
22 metabolic -- the metabolic switch towards increased
23 glycolysis.

24 So I'm going to summarize quickly here. As part
25 of this key characteristic 10, there was also evaluation

1 of the in vivo rodent studies and the cumulative data.
2 They're correlating BPA with proliferation in the context
3 of hyperplasia. That was -- those conclusions ranged from
4 some stat -- not statistically significant changes all the
5 way to strongly supportive.

6 So for KC 10 in total, it seems that the way the
7 evidence is presented, there is support for a moderate to
8 strong consensus of key characteristic 10. And I will end
9 there and let Dr. Landolph tell us about key
10 characteristic 9.

11 COMMITTEE MEMBER LOOMIS: Okay. Thanks.

12 Dr. Landolph is back on screen, so let's jump
13 back to KC 9, immortalization.

14 COMMITTEE MEMBER LANDOLPH: Okay. Let me get to
15 it.

16 So immortalization is a part of carcinogenesis.
17 You can't have cancer if you don't have immortalized
18 cells, because otherwise they'll just senesce or die out.

19 So the data here is pretty thin. There are two
20 studies that reported BPA could induce cell transformation
21 in SHE cells, and two other studies in SHE cells, and one
22 in A31-1-13 clone of BALB/c-3T3 cells that did not find
23 significant alterations to cell transformation, so they
24 kind of cancel each other out. BPA did increase cell
25 invasion in three human primary cell lines. And then

1 increases in mesenchymal cell markers were observed in
2 three human cancer cell lines and one human epithelial
3 cell line after exposure to BPA. And one study observed a
4 decrease in cellular senescence gene in the human cancer
5 cell line.

6 Higher urinary BAP levels -- BPA levels in one
7 study were associated with shorter relative telomere
8 length in adult women. Five studies characterized
9 alterations to the telomerase expression activity or
10 telomere length after BPA exposure in human cells.

11 Two studies performed in mammary human cells
12 found decreases in telomerase activity hTERT mRNA
13 expression, or telomere length after exposure to BPA.
14 Three studies performed in human cancer cell lines found
15 increases or no alterations in telomerase expression
16 activity. So it's kind of a mixed bag. I'm not too wild
17 about the BALB/c-3T3 -- I'm sorry, the BALB -- the SHE
18 cell transformation assay. I know Carl Barrett have used
19 it to study the temporal acquisition of transformed
20 phenotypes. But I've talked to friends who have used this
21 or tried to use it, and sometimes they get the same
22 transformation frequency, but they score different events.
23 So I'm a little bit skeptical about how reflective that
24 is.

25 I do know you do get immortalization after you

1 get transformation of colony, so it can do that, but you
2 kind have got these studies canceling them out. So I
3 would say the more interesting studies are probably in
4 telomerase activity area, but this database is pretty
5 thin.

6 So I would say, yeah, it can cause cell
7 transformation, but the studies -- in some studies, but
8 they're canceled out by other studies. I think the most
9 interesting data is the studies in the primary human
10 cells, where the telomerase activity is decreasing and
11 hTERT expression or telomere length after exposure to BPA,
12 and three studies performed in human cancer cell lines
13 found increases or no alterations in telomerase activity
14 and expression activity.

15 So I think that database is kind of mixed. And I
16 don't think it conclusively shows immortalization, some
17 studies being positive, some studies being negative. So I
18 would say there's not a lot of data here at all for
19 causing immortalization in my opinion.

20 COMMITTEE MEMBER LOOMIS: All right. Thank you,
21 Dr. Landolph. So that brings us to the end of the
22 discussion of the key characteristics. We have some time
23 set for Committee discussion right now. And the way I'd
24 like to do this is first to call on the other discussants
25 who reviewed and reported on the animal and mechanistic

1 data and see if there is any additional information that
2 they'd like to bring forward or any perspectives that we
3 haven't heard yet on the data that have been summarized by
4 other discussants.

5 So Dr. Bush, hand up there. Go ahead.

6 COMMITTEE MEMBER BUSH: Thank you, Dr. Loomis.

7 So by my count for the key characteristics, I got
8 about six or seven of these key characteristics that I
9 think folks would generally -- would say had strong
10 associations, and maybe key characteristic 3, 7, and 9
11 would be limited.

12 And I want us to be careful there. You know,
13 development of the key characteristics is certainly a
14 great utility to the community. You know, it's definitely
15 valued and provides that framework, that a diagnostic
16 framework to build upon when it comes to carcinogens, but
17 there's also emerging data, you know, indicating that
18 there needs some -- needs to be some revision of these key
19 characteristics. And I'm going to point to a 2019 review
20 by Krewski and others. In fact, our own Vince Cogliano
21 was on that paper, where they looked at the 86 agents
22 known to cause cancer in humans.

23 And so we're looking at the group one agents.
24 And on average, the group one agents have about four of
25 these key characteristics. None have 10. Only about 20

1 have six or seven, as we've indicated for BPA here. And,
2 you know, those group one agents include asbestos, and
3 plutonium, and tobacco. So, you know, I say this to
4 perhaps help guide the conversation in terms of what these
5 key characteristics represent.

6 So with a little bit of caution.

7 COMMITTEE MEMBER LOOMIS: Thanks. The points are
8 well taken.

9 We'll go on to Dr. La Merrill.

10 COMMITTEE MEMBER LA MERRILL: Yeah, I just wanted
11 to ask a question. Probably, Dr. Stern, you could address
12 it the best. There is several analy -- meta-analyses of
13 adults and kids, as well as actually experimental rodents
14 indicating that BPA is associated with increased risk of
15 adiposity. And, of course, in rodents, you know, it's
16 experimental.

17 So I was wondering if we're conceiving of BMI as
18 a mediator rather than a confounder, how might that change
19 your perspective on some of the epidemiology studies you
20 reviewed? I think breast cancer certainly is associated
21 with obesity and in prostate and gallbladder as well.

22 COMMITTEE MEMBER STERN: Yeah, that's a -- that's
23 a great point. And there are -- and I can look through my
24 summary and point those out, but there were a few studies,
25 only a handful, that actually explore that possibility.

1 And they look for potential effect modification of BMI, as
2 a surrogate for obesity on the association between BPA and
3 cancer risk. And actually, they did not see evidence that
4 BMI was an effect modifier.

5 The other studies either did not consider BMI at
6 all, and that was a problem, particularly for breast
7 cancer, because as we know obesity is a risk factor for
8 breast cancer. And some of the studies did consider it as
9 a confounder. So they adjusted for it, but they may not
10 consider the potential effect modification role.

11 So only a few studies look at that. And I -- if
12 I remember correctly - I'm want to double check now - they
13 did not find evidence that there were differences --
14 different associations by BMI status. But only a handful
15 of studies looked at that. A majority did not consider
16 it.

17 COMMITTEE MEMBER LA MERRILL: Um-hmm. And no one
18 did mediation analysis, so --

19 COMMITTEE MEMBER STERN: No. No. But by doing
20 the effect modification, we can kind of infer at a
21 potential mediation. If we did see, for example, that
22 around women with higher BMI the effect of BPA is stronger
23 for like breast cancer risk, then we can kind of speculate
24 that, you know, further analyses could look at that, but
25 only a few studies look at that, so -- and there was not

1 proper mediation analysis done in any of the studies.

2 COMMITTEE MEMBER LA MERRILL: Okay. Thank you.

3 COMMITTEE MEMBER LOOMIS: Okay. Dr. Wang has a
4 hand up as well. Go ahead, please.

5 COMMITTEE MEMBER WANG: Yeah. I just wanted to
6 reiterate -- actually, I wanted to jump on to what Dr.
7 Bush had along the same lines. You know, the -- for some
8 of the epidemiologic evidence or the human studies that I
9 alluded to for my section on chronic inflammation, it's
10 not that there were -- and I think Dr. Mack has done this
11 previously as well. It's not that they were null studies
12 necessarily, it's that they're -- the study design or the
13 studies were -- are not adequate. They're not -- you
14 know, they're not designed in a way to assess exposure
15 adequately or their outcomes adequately. So I think
16 that's what we have to consider. It's not that there are,
17 you know, a slough of null associations that we're working
18 with.

19 And I did have one question for Dr. Stern. You
20 know, I didn't see and I didn't hear in the summary, but I
21 thought I saw in the literature, can you confirm, are
22 there -- were there no studies on children or like in
23 utero exposure and then childhood cancers?

24 COMMITTEE MEMBER STERN: No, there were no
25 studies, either of those. All studies were in adult

1 populations.

2 COMMITTEE MEMBER WANG: Okay.

3 COMMITTEE MEMBER CRESPI: There was one study of
4 osteosarcoma --

5 COMMITTEE MEMBER STERN: Oh, that's true with
6 younger -- yeah.

7 COMMITTEE MEMBER CRESPI: -- which most of them
8 were pediatric patients.

9 COMMITTEE MEMBER STERN: Yea, that is true. The
10 study in osteosarcoma had adolescence, I think, yeah, but
11 no studies of exposure in utero.

12 COMMITTEE MEMBER WANG: Thank you.

13 COMMITTEE MEMBER LOOMIS: Okay. Dr. Eastmond,
14 another comment.

15 COMMITTEE MEMBER EASTMOND: Yeah, I just thought
16 I'd expand a little bit on that question I asked earlier.
17 But it's more of my perspective, but when you have lots of
18 multiple studies and include -- indeed, the CLARITY Study
19 has seven different arms, all of these have many, many
20 types of statistical analysis, literally hundreds and
21 hundreds. What I start looking for is rather than
22 statistical significance on any one test, but looking at
23 sort of do we see reproducibility and consistency? And I
24 think that's really important.

25 And in some cases, I think it's important to go

1 back to the original study. And that's why I referred to
2 that NTP 1982, if you go back and read what they commented
3 about, specific tumor types and their interpretation, and
4 the same thing with the CLARITY, and reading also the FDA
5 letter that was submitted recently in the public comments.
6 You know, it talks about some of the issues related to
7 statistical analysis and how this was done in combining
8 and their interpretation.

9 You know, for me, I think it's very -- this is
10 helpful to look at, and looking for consistency. And I
11 haven't seen it yet, but maybe others see that. Anyway.

12 Thank you.

13 COMMITTEE MEMBER LOOMIS: Okay. Thanks.

14 So that's an important question. But the way I'd
15 like to organize the discussion here is just that we have
16 a public comment period coming up, and then another
17 Committee discussion period after that. And I'd like to
18 hold discussion that's leading to a listing decision for
19 that next Committee discussion session.

20 So if there's a different question from members
21 of the Committee, let's take that now, but kind of focus
22 on the evidence that's been presented already, rather than
23 the listing decision.

24 So Dr. La Merrill, does your comment fit into
25 that category?

1 COMMITTEE MEMBER LA MERRILL: Yeah. Dave
2 Eastmond asked about consistency and I wanted to remind
3 him that in the NTP 1982 study, the male B6C3F1 mouse had
4 increased malignant lymphomas, as did, of course, the
5 CLARITY Study. And so I agree with this idea to look at
6 consistency. It's a nice criteria to contribute, but we
7 do have two independent studies in two species with a
8 malignant lymphoma, so I just want to clarify that.

9 COMMITTEE MEMBER LOOMIS: Thanks. Important
10 point.

11 Dr. Wang

12 COMMITTEE MEMBER EASTMOND: Was that in the rat,
13 by the way?

14 COMMITTEE MEMBER LA MERRILL: I'm sorry. I
15 didn't hear the question.

16 COMMITTEE MEMBER EASTMOND: I thought the one in
17 the 1982 study was in the rat.

18 COMMITTEE MEMBER LA MERRILL: In my notes it says
19 B6C3F1 mice, but I can double check the --

20 COMMITTEE MEMBER EASTMOND: Okay. I'll look too.

21 COMMITTEE MEMBER LOOMIS: Okay. Dr. Wang.

22 COMMITTEE MEMBER WANG: So I hope this belongs
23 here, but, you know, I do share some of the reservations
24 that Dr. Eastmond has expressed in -- you know, in
25 reading -- I mean, I was -- so I appreciate the

1 statistical overview at the beginning of the presentation,
2 but in my mind, it would be helpful to see a rebuttal of,
3 you know, many of the points that were made in the FDA
4 letter. I don't know if that's appropriate here, but...

5 COMMITTEE MEMBER LOOMIS: Well, let's take that
6 one up after the public comments.

7 Okay. Dr. La Merrill, you still have your hand
8 up if you have something else that you wanted to bring up?

9 COMMITTEE MEMBER LA MERRILL: No, I apologize.
10 I'll use the chat to put in the point.

11 COMMITTEE MEMBER LOOMIS: Okay. Okay. Good.
12 Any other questions about the evidence or additional
13 points that the Committee would like to bring forward
14 right now?

15 Okay. So the next agenda item is public
16 comments. And we probably should do a survey and see how
17 many comment cards we've got before we start.

18 DR. GILSON: Hi, Dr. Loomis. We've received six
19 comment cards.

20 COMMITTEE MEMBER LOOMIS: Okay. So --

21 DIRECTOR ZEISE: Dr. Loomis before -- Dr. Loomis,
22 before going in, I think Vince Cogliano had his hand up
23 and wanted to just clarify one point that was raised in
24 the Committee discussion --

25 COMMITTEE MEMBER LOOMIS: Sorry, I didn't see

1 that.

2 DIRECTOR ZEISE: -- following the comments.

3 Yeah.

4 COMMITTEE MEMBER LOOMIS: Yeah.

5 DIRECTOR ZEISE: Vince.

6 DR. COGLIANO: Thank you. I had a -- I my hand
7 up just for a little bit, because I think -- I think
8 you've got it, but the key characteristics paper that I
9 was a coauthor on, there were quite a few results in that.
10 And I think the idea is that really we didn't find any of
11 the group one carcinogens that had all 10 key
12 characteristics. And the most -- the average was about,
13 as Dr. Bush said, around four or so. And there were some
14 with more, but there were also some with less, so -- but
15 we don't really look at it as how many key
16 characteristics. It's -- the key characteristics tell us
17 how an agent might cause cancer. What are some of the
18 major pathways or events that are going to be involved,
19 but definitely we don't need all 10. That's all.

20 Thank you.

21 COMMITTEE MEMBER LOOMIS: Okay. Thank you.

22 So if there's nothing else, before public
23 comments. So we have six cards. Speakers are limited to
24 five minutes each. That's about 30 minutes or less. So
25 I'm going to suggest that we go ahead with public comment

1 and then call a break after that, and return to Committee
2 discussion, if that sounds okay to the staff and Committee
3 members.

4 Any objections?

5 Okay. So Elizabeth has put up the procedure for
6 public comments. Just really briefly, one has to be
7 registered for the Zoom webinar in order to make a comment
8 orally. You can do this in two ways. You can fill out a
9 speaker card. You can see the URL for that here on the
10 form or you can raise your hand in Zoom. And then when
11 you're prompted to speak, unmute yourself, and remember to
12 lower your hand again when you finish speaking.

13 So the staff will keep time. I'm not sure who's
14 doing that, but they'll let you know when your -- the end
15 of your five minutes is approaching. If you haven't
16 finished, and at the end of five minutes, the microphone
17 will be cut and we'll move on to the next speaker, if you
18 haven't finished.

19 So I don't have access to who has submitted a
20 speaker card, so I think Dr. Gilson will go ahead and call
21 on the speakers in the order in which they registered.

22 DR. GILSON: That's right. Thanks, Dr. Loomis.
23 So first up, we have Robyn Prueitt. If you're here, can
24 you -- I'll go ahead and unmute you. And if you can put
25 up your hand, it will make it a little easier for me to

1 find you and allow you to talk. There we go. You should
2 be able to go ahead.

3 DR. PRUEITT: Okay. Great. Can you hear me?

4 DR. GILSON: Sure can.

5 DR. PRUEITT: Great. Well, thank you for the
6 opportunity to speak today. My name is Robyn Prueitt and
7 I'm a board certified toxicologist at the environmental
8 consulting firm Gradient. And today I'm speaking on
9 behalf of the American Chemistry Council.

10 So the OEHHA guidance criteria for identifying
11 chemicals for listing as known to the State to cause
12 cancer indicate that if the weight of the evidence clearly
13 shows that a chemical causes invasive cancer in either
14 humans or animals, then that chemical may be listed.

15 Upon review of the body of evidence included in
16 the OEHHA hazard identification document for BPA and
17 considering the strengths and limitations of the reviewed
18 studies, BPA does not meet the criteria for listing. As
19 discussed in more detail in the written comments submitted
20 by ACC, the epidemiology studies of BPA in cancer have
21 multiple limitations that can bias the results in either
22 direction and not solely toward the null as indicated in
23 the hazard identification document.

24 Despite the limitations of the epidemiology
25 studies, the results across analyses of specific cancer

1 types are inconsistent and do not clearly show that BPA
2 causes invasive cancer in humans.

3 The evidence from experimental animals also does
4 not clearly show that BPA causes invasive cancer. The
5 majority of the animal studies reviewed in the hazard
6 identification document have significant limitations, such
7 as short study durations and small numbers of animals per
8 dose group, and these studies are of limited utility for
9 assessing BPA carcinogenicity.

10 In contrast, the chronic rodent carcinogenicity
11 study conducted by NTP in 1982 and the CLARITY-BPA core
12 study are well conducted high-quality studies that
13 evaluated BPA over a wide range of doses. The NTP study
14 reported increased incidences of a few types of tumors in
15 male animals, but these do not provide strong evidence for
16 BPA carcinogenicity.

17 Consistent with the conclusions of the study
18 authors, it is more likely that the cancer types observed
19 in this study were chance findings or common spontaneous
20 tumor types in aging male rats. The hazard identification
21 document fails to mention the statistically significant
22 decrease in adrenal tumors in rats which suggests that the
23 large number of tissues and endpoints examined in this
24 study, as well as the multiple statistical tests used may
25 have led to false positive or negative findings that are

1 due to a lack of adjustment for multiple comparisons
2 rather than to BPA exposure.

3 The CLARITY-BPA study reported very few
4 statistically significant increases in the incidence of
5 malignant tumors and rats that are likely the result of
6 chance fluctuations in incidence or false positive
7 findings due to lack of adjustment for multiple
8 comparisons. Despite the reliable evidence from this
9 study that is consistent with a lack of BPA
10 carcinogenicity in rats, the hazard identification
11 document presents a biased review of the study that
12 appears to be aimed toward a conclusion of carcinogenicity
13 for BPA. This was also noted in the written comments
14 submitted by the FDA.

15 For example, the OEHHA authors conducted their
16 own statistical analyses of the CLARITY Study data without
17 any rationale for doing so. And this practice can lead to
18 the reporting of false positive results. The OEHHA
19 authors also used unreliable historical control data sets
20 to attempt to identify rare tumors, but this analysis is
21 inappropriate and goes against the evidence that these
22 tumors are spontaneous and not statistically increased
23 compared to the concurrent controls.

24 The hazard identification document also discusses
25 several issues that have been brought up in the literature

1 regarding the CLARITY study such as background
2 contamination with BPA and controls, potential
3 insensitivity of the rat strain to known estrogenic
4 chemicals, and the lack of an unhandled control group.
5 However, the hazard identification document did not
6 mention that all these issues have been addressed by the
7 CLARITY-BPA study authors and have been shown to not limit
8 the ability of the study to detect carcinogenic effects.

9 Consistent with this, the FDA made the following
10 statements in the comments that they submitted to OEHHA.
11 I quote, "Numerous errors and incorrect or inappropriate
12 analyses of the CLARITY-BPA core study results have been
13 identified. We recommend OEHHA consider these issues for
14 reanalyses as the current methods applied by OEHHA lead to
15 an unsupported conclusion of positive..." -- "...potential
16 positive carcinogenicity of BPA. FDA's multiple
17 evaluations examining carcinogenicity and the results of
18 the CLARITY-BPA study do not support classifying BPA as a
19 carcinogen.

20 With regard to mechanistic evidence, the hazard
21 identification document focused on providing any --

22 DR. GILSON: One minute left.

23 DR. PRUEITT: -- any positive evidence for each
24 of the 10 key characteristics, but did not weigh the
25 evidence for or against each as a plausible mechanism for

1 BPA carcinogenesis. The 10 characteristics are also
2 shared by many non-carcinogenic substances, so the
3 existence of evidence for these characteristics for BPA in
4 certain studies does not provide strong evidence that BPA
5 is carcinogenic.

6 So overall, the available studies of BPA do not
7 provide clear or consistent evidence that BPA causes
8 invasive cancer in humans or animals. Therefore, they do
9 not meet the OEHHA criteria for listing BPA as a
10 carcinogen and BPA should not be listed as such.

11 Thank you.

12 DR. GILSON: Thank you very much.

13 Dr. Loomis, back to you or we can take the next
14 comment.

15 COMMITTEE MEMBER LOOMIS: Yeah, just go ahead. I
16 don't know who the speakers are, so if you would just go
17 ahead with the next one, when each finishes, that would be
18 perfect.

19 DR. GILSON: Okay. Wonderful. So next up, we
20 have Katie Pelch and I'll go ahead and prompt you to
21 unmute.

22 Are you able to unmute yourself?

23 Oh, I see. Go ahead when you're ready.

24 Let's see, you should have permission to talk,
25 but I'm not hearing you. Okay. We'll try to come back to

1 you.

2 COMMITTEE MEMBER LOOMIS: Yeah, let's go to the
3 next speaker.

4 DR. GILSON: So the next speaker is Rainbow
5 Rubin. And Rainbow had to leave, so they provided a
6 public comment that I'll read on their behalf. This is
7 from Rainbow Rubin, PhD and MPH, Director of Science with
8 Breast Cancer Prevention Partners.

9 "Evidence from animal and in vitro studies has
10 suggested an association between increased incidence of
11 breast cancer and BPA exposure at doses below the safe
12 reference doses that are the most environmentally
13 relevant. BPA may increase mammary tumorigenesis through
14 at least two mechanisms, molecular alteration of fetal
15 glands without associated morphological changes and direct
16 promotion of estrogen-dependent tumor cell growth. Both
17 results indicate that exposure to BPA during various
18 biological states increases the risk of developing mammary
19 cancer in mice.

20 "In human breast cancer cases, urinary BPA
21 concentrations have been positively correlated with breast
22 adipose tissue BPA in the case group. BPA mimics both the
23 structure and the function of the hormone estrogen and
24 disrupts endocrine function at very low doses consistent
25 with its nonmonotonic dose response curve.

1 " There is no evidence to suggest that reverse
2 causation is the mechanism of action in breast cancer, as
3 suggested by a Committee member. Just because no study
4 accurately captures BPA exposure during vulnerable stages
5 of development doesn't mean BPA is not a concern. Just
6 because study results are inconclusive does not preclude
7 us from protecting the population from BPA based on the
8 precautionary principle.

9 " Considering the structural and functional
10 overlap between BPA and estrogen, establishing a causal
11 relationship in humans is extremely difficult due to
12 multiple and variable exposures. As Dr. Mack said, there
13 are no evidence against a positive association. Today, we
14 heard ample evidence that BPA is a carcinogen, mutagen,
15 and reproductive toxin.

16 " Please move to add BPA to the Prop 65 list".

17 All right. Now, I see Katie's hand is up again,
18 so let's try again here.

19 Please go ahead.

20 MS. PELCH: Can you hear me?

21 DR. GILSON: So we hear -- this sound is breaking
22 up a bit. Try again.

23 MS. PELCH: Okay. Can you hear me?

24 DR. GILSON: Yes.

25 MS. PELCH: Okay. Thank you. I am Dr. Katherine

1 Pelch. Thank you for having me here today and for
2 allowing me to provide comments.

3 I am a scientist at the Natural Resources Defense
4 Council where I specialist in the hazards and risks --
5 (inaudible) exposure to BPA. (Inaudible).

6 DR. GILSON: Excuse me, if I can cut in here.
7 The sound quality is pretty sketchy.

8 MS. PELCH: Okay. Can I try to call in?

9 DR. GILSON: Go ahead, yeah.

10 MS. PELCH: Okay. I will hang up and I'll call
11 back in a moment.

12 DR. GILSON: Okay. Great. Thank you.

13 COMMITTEE MEMBER LOOMIS: Okay. Let's -- maybe
14 we can go on to the next speaker then.

15 DR. GILSON: Very good. So the next speaker is
16 Luisa Camacho. And if you can raise your hand, that will
17 facilitate my unmuting you or allowing you to unmute
18 yourself. All right. I'm not seeing them here. This was
19 Luisa Camacho with the FDA.

20 So let's go on again. So the last two speaker
21 card submissions were from anon, so anonymous. If you're
22 here and you would like to provide oral comment, please go
23 ahead and raise your hand and I will allow you to unmute
24 yourself.

25 All right. Seeing none, I'll summarize what anon

1 provided in the public comment card. So there are two
2 submissions. The first one says, "Presentations have
3 ignored public comments submitted to the OEHHA document".
4 And then the second comment also from anon is that,
5 "Public comments appear to be hidden from consideration",
6 and then they provide the website where public comments
7 are posted.

8 So to hopefully address these points, the speaker
9 request card is to indicate if you would like to provide
10 an oral comment during the hearing. So written public
11 comments regarding the hazard identification document
12 were -- that were submitted during the public comment
13 period are for the CIC and were provided to the CIC as
14 part of hazard identification materials before them today.

15 And these comments are publicly available on our
16 website.

17 Now, until Katie Pelch calls back in, that rounds
18 out the public comment cards that were submitted.

19 COMMITTEE MEMBER LOOMIS: Okay. I guess we can
20 see if anyone else has their hand up to make a comment who
21 hasn't submitted a card yet.

22 DR. GILSON: If you'd like to provide public
23 comment, but didn't submit a speaker request card, please
24 raise your hand in Zoom at this time.

25 All right. No one has their hand up.

1 COMMITTEE MEMBER LOOMIS: Okay. And Katie Pelch
2 hasn't called back in yet, I guess.

3 DR. GILSON: Oh, let's see. I have a hand up
4 here from Katie, Chair, if we can try again.

5 COMMITTEE MEMBER LOOMIS: Okay. Let's try.

6 DR. GILSON: Okay. So you should now be able to
7 unmute yourself and provide your comment.

8 MS. PELCH: Can you hear me now?

9 DR. GILSON: Yes.

10 MS. PELCH: Okay. Perfect. Thank you so much.
11 Sorry about all the technical difficulties. I'm Dr.
12 Katherine Pelch, I am a scientist at the Natural Resources
13 Defense Council, where my expertise is in providing hazard
14 assessment and risk assessment for chemicals like BPA.

15 So I just wanted to start off by thanking the
16 OEHHA staff and the CIC members for undertaking this very
17 Herculean effort to evaluate the evidence for BPA and want
18 to encourage the CIC to consider the guidance that says
19 that the mechanism of BPA -- or understanding the
20 mechanism for how BPA may be a carcinogen is not
21 necessary. Rather, it is important to look at the entire
22 body of the evidence.

23 And I think that we have heard today through
24 OEHHA's immense efforts to catalogue the data, that there
25 are numerous studies that show that BPA has the potential

1 to be a carcinogen, both the animal evidence and the
2 mechanistic evidence. And we also heard that it is not
3 necessary for BPA to meet all 10 key carcinogen -- or key
4 characteristics of a carcinogen, but we did hear strong
5 evidence that BPA is meeting several, perhaps six or
6 seven, of these key characteristics.

7 So I just wanted to quickly echo my -- the
8 comments that I submitted in written form. I apologize,
9 as I'm driving right now, so hopefully you are able to
10 hear me, and want to encourage the CIC to list BPA as a
11 carcinogen.

12 DR. GILSON: Thank you.

13 COMMITTEE MEMBER LOOMIS: Okay. Very good.
14 Let's do a final check and see if there are any other
15 hands up for public comment.

16 It doesn't look like it, right?

17 DR. GILSON: Right.

18 COMMITTEE MEMBER LOOMIS: Okay. Well, it's a
19 good idea to take a break sometime, and we're past the
20 time program for that on the agenda. So if there's no
21 objection, I'm going to suggest we take 15 minutes right
22 now and reconvene promptly at 3:30.

23 Okay. So I'm not hearing any objection, so the
24 Bagley-Keene warning still applies. Carol is not here,
25 but I think we all heard it. So anyway, 15-minute break.

1 (Off record: 3:16 p.m.)

2 (Thereupon a recess was taken.)

3 (On record: 3:30 p.m.)

4 COMMITTEE MEMBER LOOMIS: Okay. It is 3:30. And
5 we need to move through the rest of the agenda, so let's
6 resume.

7 The next agenda item is again Committee
8 discussion. And I'm going to use the Chair's position to
9 pose a couple of issues that seem critical to me. So
10 others may have a different opinion, but as I reviewed the
11 human evidence of carcinogenicity, it is inadequate
12 overall.

13 And so that means that the strength of evidence
14 in animals and the strength of the mechanistic evidence
15 becomes key in making a decision. And I will just say
16 that the human evidence being inadequate doesn't
17 necessarily mean that BPA doesn't cause cancer in humans,
18 but we have to follow the evidence that we have. And as
19 all of the discussants have pointed out, the human
20 evidence -- human epidemiologic studies have significant
21 limitations that might be resolved by future research, but
22 at this point, we have the literature that we have.

23 So my questions for the experts who reviewed
24 studies of animals and mechanisms are really about how
25 strong the animal evidence is. We've heard three

1 different perspectives on it, but I'm kind of looking for
2 the key summary of the strength of the evidence from all
3 of those whose expertise is in that area. Mine is not.

4 I will say I'm not particularly concerned about
5 multiple testing for reasons that I won't get into unless
6 somebody really wants to discuss that. And I don't really
7 have an expectation of monotonic dose response, because
8 from what we've seen in the whole body of evidence about
9 BPA is that the effects are often non-linear, so that's
10 yet another challenge. And then regarding the key
11 characteristics, my question again for the people who have
12 spent a lot of time with that literature and whose
13 expertise is in that area is where is their strong
14 evidence in exposed humans, if that exists?

15 So we'll go ahead and open it up to the rest of
16 the Committee now.

17 Okay. Good. Dr. La Merrill.

18 COMMITTEE MEMBER LA MERRILL: Hello. Prior to
19 the public comments, Dr. Eastmond and I were discussing
20 the malignant lymphoma data and I was able to confirm that
21 in the 103-week feeding study in BPA-treated male B6C3F1
22 mice, it was not rats. It was mice. And the NTP 1982
23 study, the incidence of malignant lymphoma alone, as well
24 as the combination of malignant lymphoma and lymphocytic
25 leukemia combined was significantly increased in the low

1 dose group by pairwise comparison.

2 We also have evidence that the leukemia and the
3 male F30 -- or excuse me, 344 rats of the NTP 1982 study
4 had a significantly increasing trend of leukemias at 0.02
5 p-value. Their high dose group pairwise comparison was
6 based on 23 out of 50 leukemias in the high dose group
7 versus 13 out of 50 leukemias in the controls.

8 And in the CLARITY Study, the male Sprague-Dawley
9 rats of NCTR substrain had one granulocytic leukemia
10 observed in each dose group at 2.5, 25, and 25,000
11 micrograms per kilogram per day of bisphenol A, but not in
12 the others, and that was considered a rare tumor. So
13 we've got two independent rat studies and one that have
14 leukemia. There was a mouse study with male B6C3F1s that
15 had the leukemia as not significant with 0 out of 44
16 observed in the control group, 1 leukemia in the 1,000
17 parts per million group, and 2 leukemias in the 5,000, but
18 that increasing leukemia incidence did not reach
19 significance. So we have two independent rat studies for
20 that.

21 And then with respect to the lymphoma study, we
22 have the NCTR rats in the two-year observation period also
23 having an increase in malignant lymphoma in the 25
24 milligram per kilogram group at point -- at p-value less
25 than 0.05. And that was an increasing trend that was

1 significant at a p-value of less than 0.01 in the CLARITY
2 Study. And this is an uncommon neoplasm across SD rat
3 strains.

4 And in addition to that comment I made earlier to
5 clarify about the male B6C3F1s having an increased
6 malignant lymphoma, so we have two malignant lymphomas --
7 or, excuse me, two strains -- or two species with
8 malignant lymphomas. The other malignancy that was
9 brought up was the lung metastasis of the mouse mammary
10 cancer model ErbB2, which is highly relevant to human
11 breast cancer as a HER2 positive model. And they found
12 that the 2.5 exposure group for BPA had over 25 percent of
13 mice with lung metastases, whereas their control looked
14 like it was maybe about five or six percent with lung
15 metastases, and just summarizing a bar graph that they
16 provided in that in Jenkins et al. November 2011 paper.
17 And the BPA 25 dose group also had a percent of lung
18 metastases at over 15 percent, so in comparison to about
19 six percent or so in the controls. And those two dose
20 groups were significantly different from the controls.

21 COMMITTEE MEMBER LOOMIS: Thank you.

22 I'll just go through the other members of the
23 committee in the order in which I see their raised hands
24 on my screen. So Dr. Eastmond, you're next.

25 I think you're muted.

1 I can't hear you.

2 Still can't hear you. You're muted.

3 COMMITTEE MEMBER EASTMOND: Unmute. Okay. Can
4 you hear me? I've lost my screen.

5 COMMITTEE MEMBER LOOMIS: Now, I can.

6 COMMITTEE MEMBER EASTMOND: But basically -- so
7 as pointed out, there's a marginal increase in leukemias
8 and lymphomas that occurred at the low dose in the NTP
9 1982 study. It was not increased at the higher dose and
10 it was not a significant trend. So if you look at the
11 abstract of the NTP bioassay, and let me quote, in male
12 mice, there was an increased incidence in leukemias or
13 lymphomas, 2 of 49, 9 of 50, 5 of 50, but this increase
14 was not statistically significant.

15 And then they're end -- so we can get into more
16 details if you want, but that's -- essentially that's what
17 the -- actually bioassay itself, the abstract says of the
18 NTP bioassay. And I hope I can get the picture back here
19 somehow.

20 Am I still on?

21 COMMITTEE MEMBER LOOMIS: Yeah, we see you.

22 COMMITTEE MEMBER EASTMOND: Okay. I can't see
23 anyone.

24 COMMITTEE MEMBER LOOMIS: Okay. Well, I'm going
25 to ask you a question anyway.

1 COMMITTEE MEMBER EASTMOND: Okay.

2 COMMITTEE MEMBER LOOMIS: In my preliminary
3 remarks, I did allude to numerous observations that many
4 effects of BPA seemed to be non-linear. So does the lack
5 of a statistically significant trend still bother you
6 knowing that?

7 COMMITTEE MEMBER EASTMOND: Actually, it does in
8 this case. The real concern about the non-linearity of
9 bisphenol A is brought up really in the low dose region,
10 where people are concerned about non-monotonic effects.
11 These are clearly in the high dose region, high and very
12 high. So the fact that you don't have a clear dose
13 response, for me, is kind of a different subject here
14 entirely, because we're not in that portion of the dose
15 response curve.

16 COMMITTEE MEMBER LOOMIS: Yeah. Thanks.

17 Okay. Other members of the Committee? I don't
18 see any other raised hands.

19 So any interest in further discussion or
20 questions for the discussants before we move on to a
21 decision?

22 COMMITTEE MEMBER EASTMOND: Dana, I'm going to
23 log off and log back on, because I can't see anything at
24 the moment. It's very peculiar.

25 COMMITTEE MEMBER LOOMIS: Okay. We see a couple

1 of hands coming up, Dr. McDonald and then Dr. Mack.

2 COMMITTEE MEMBER McDONALD: Great. Yeah, one of
3 the public comments by Robyn Prueitt sort of reminded me
4 of a comment I wanted to make earlier on the historical
5 controls in the CLARITY Study. I'm really not convinced
6 that we have relevant historical controls for that study
7 and it sort of makes me leery about the whole rare tumor
8 analysis.

9 You know, OEHHA had nicely summarized three data
10 sets of normative data to compare. And they've really
11 talked about the strengths and limitations. But, you
12 know, some of that data is outside the EPA recommended
13 five-year window. And what's really concerning most to me
14 is that the CLARITY studies were fully gavaged studies. I
15 mean the dams were dosed by gavage, the early postnatal
16 life, which is really stressful to the young animals was
17 gavage, as well as gavaged through adulthood.

18 However, the historical controls, included
19 animals from non-gavage dosing or from studies that had
20 mixed gavage, dietary, and drinking water. I think it
21 should be noted that FDA in their public comments to this
22 Committee also highlighted the quote inappropriate
23 application of historical control data, and ACC also
24 commented to this effect.

25 You know, FDA was mostly concerned about genetic

1 background. And they also were really pointed to the
2 differences in the rodent diet. Anyway, I just -- I just
3 feel that the rare tumor analysis, at least from the
4 CLARITY Study, should be sort of given less weight towards
5 our clearly shown criteria.

6 DIRECTOR ZEISE? Hi, Dana. Now you're muted.

7 COMMITTEE MEMBER LOOMIS: So I am.

8 Dr. Mack, you're up next.

9 CHAIRPERSON MACK: Actually, I put my hand up
10 just because I want to push Joe Landolph to give us his
11 bottom line. You asked the three of them to do so and I
12 only heard from one.

13 COMMITTEE MEMBER LOOMIS: Very good.

14 Dr. Landolph has his hand up and you're next.

15 And you're still muted, Dr. Landolph. So if
16 you're speaking, we can't hear you.

17 COMMITTEE MEMBER LANDOLPH: Sorry. Can you hear
18 me now?

19 COMMITTEE MEMBER LOOMIS: Yes.

20 COMMITTEE MEMBER LANDOLPH: Okay. Sorry. Yeah,
21 I read this 600-page document a number of times over. And
22 it's pretty interesting. It reminds me of the way I was
23 trained growing up, you know, as a scientist. And the
24 doggone thing is metabolized. It's glucuronidated. It's
25 metabolized and epoxides are formed, free radicals are

1 formed. It's genotoxic. Dr. Besaratinia indicated in
2 that section that I also read that the epigenetic effects
3 are occurring. They're very complicated, but they do
4 occur and that's another route to carcinogenesis.
5 Oxidative stress, Dr. McDonald covered pretty nicely.
6 There's chronic inflammation.

7 So I think this is a very complicated chemical.
8 I think it operates probably through a number of different
9 mechanisms to cause carcinogenesis. I don't think we've,
10 by any means, settled that yet, but it's got a lot of
11 potentially carcinogenic mechanisms.

12 Yeah, the animal data -- there's a couple studies
13 I didn't like, because they had high backgrounds, like one
14 had 13 in the controls. But there is also a lot of animal
15 data there that's positive, and some of it is
16 statistically significant for trend tests or pairwise
17 comparisons et cetera. In fact, I was reading it into the
18 record on purpose to refresh people's memories about the
19 enormous amount of work that the OEHHA had done in
20 formulating this document and all the things they had
21 found. And I don't think they operated with a lot of
22 biases. I think they just put things together. I was
23 trying to drag them out and was kind of stopped from doing
24 that.

25 So this looks like a classical chemical. It's

1 metabolized by P450. It's glucuronidated. You get
2 oxidative stress. You get a bonus of epigenetic effects.
3 You get some chronic inflammation, so -- and I -- I'm
4 going to jump over to a safety issue for one thing. I
5 really don't like the fact this chemical is all over the
6 doggone place. And I think it behooves the chemical
7 industry, and I say this as a -- as a chemist, which was
8 my training, to be more responsible in doing environmental
9 impact reports, before they put this stuff all over the
10 place.

11 So I'm going to jump back now just to the
12 science. I think this is a carcinogen. I think the
13 animal data is sufficient for us to convict it as a
14 carcinogen. And I think the mechanisms are beginning to
15 become clearer. They're not totally clear yet. So I
16 think that my training tells me I'm going to vote to make
17 this a carcinogen just from my own personal vote and for
18 my own personal reasons. And it's mutagenic too. So
19 there's a lot of properties about this chemical that are
20 deleter -- are dangerous and can contribute to
21 carcinogenicity as well as the animal data.

22 COMMITTEE MEMBER LOOMIS: Okay. Dr. Mack, you
23 still have your hand up. Did you want to say something
24 else?

25 CHAIRPERSON MACK: Yeah, I still -- I'm not one

1 of the animal people, so perhaps you want to ask Dr. Bush
2 first, but then I have a comment.

3 COMMITTEE MEMBER LOOMIS: Yeah. Dr. Bush has his
4 hand up right now, so let's go right over to you.

5 COMMITTEE MEMBER BUSH: Yeah. Thank you. So I
6 very much appreciate Dr. Landolph and Dr. La Merrill's
7 perspective. I agree there is something here. There
8 is -- there is some convincing data, but when I look at
9 the animal data, I'm seeing things that are too
10 inconsistent to warrant a definitive decision on this
11 chemical at this time.

12 I mean, I'm going to remind everyone there is, as
13 the HID document indicated, there's 4,000 hits, 1,300
14 references. There are no slam dunks here. The -- there
15 is a lot of circumstantial data. How many more studies
16 are going to be necessary to find this as being a
17 carcinogen? I question many of the -- the veracity of
18 many of these animal studies, the CLARITY Study being the
19 pièce de résistance and that was inconclusive as far as
20 I'm concerned.

21 Yes, there are some suggestions of association
22 with some cancers. But in my mind, it doesn't meet the
23 criteria for clearly invasive cancer.

24 COMMITTEE MEMBER LOOMIS: Thank you.

25 And Dr. Mack, you wanted to say something else

1 after that.

2 CHAIRPERSON MACK: Yeah. Speaking as an
3 epidemiologist, I have a couple comments before -- which
4 are not directly pertinent to the decision, but I'll make
5 them first.

6 First comment is this stuff is ubiquitous and
7 it's contact sensitive. That means that plastic of the
8 wrong kind being touched has the potential to transmit the
9 agent. So that means that if the agent does have danger,
10 it's likely to be very wide spread danger.

11 Second point is that for breast cancer and for
12 some of the other cancers it's very clear that early
13 exposure is important. And early exposure to a touch
14 sensitive agent is perhaps a real problem with children
15 and children -- all the way up through puberty. So I'm
16 especially concerned that we make the right decision about
17 this particular agent.

18 And to me, now that I've said that, again that's
19 not relevant to the decision, because that's not in the
20 line that's required. But to me, given that this stuff is
21 estrogenic, it magnifies estrogens. It affects estrogen
22 receptors and progesterone receptors. And it looks to me,
23 from what the animal people have said, that there's a real
24 probability that it does, in fact, under some
25 circumstances, cause neoplasms. So my inclination is to

1 say that this should be listed.

2 Thank you.

3 COMMITTEE MEMBER LOOMIS: Thanks, Dr. Mack.

4 Let's do a quick survey of the rest of the
5 Committee, see whether anybody else wants to comment
6 before we proceed to a decision.

7 Dr. Landolph, you still have your hand up. Did
8 you have something else?

9 You're muted, but if you don't have anything else
10 to say, you could just put your hands -- hand down.

11 COMMITTEE MEMBER LANDOLPH: Let's see. Yeah, I
12 just had a small thing to say. I think Tom and I are
13 fairly close on this issue. I expressed and I do share
14 his worry about the ubiquity of this material. I think
15 it's probably been made ubiquitous in an irresponsible
16 manner and I'd like to see this kind of stuff stopped, if
17 possible. That's outside the purview of our committee, I
18 understand. But I think Tom and I are on the same page.
19 I think this chemical looks like a carcinogen. It behaves
20 like a carcinogen. It's metabolized and detoxified like a
21 carcinogen would be. It's genotoxic. It's epigenetic --
22 posing epigenetic effects. So I again indicate that I'm
23 fairly close to Tom's position and I'm going to vote to
24 list it as a carcinogen for all the animal data and the
25 other reasons I just enumerated.

1 COMMITTEE MEMBER LOOMIS: Okay. Thanks.

2 Dr. La Merrill, your comment.

3 COMMITTEE MEMBER LA MERRILL: I just wanted to
4 ask a procedural question of the OEHHA staff. I've had
5 the guidance criteria in front of me as I reviewed the
6 literature and had this meeting with you all today. And I
7 was just curious about the part -- obviously, there's some
8 guidance on how do we look at the quality of a study,
9 which I think have been addressed well. We've talked also
10 about malignancies and malignant potential. There's a
11 point in the guidance criteria where it suggests that we
12 should look at tumors being found to occur in significant
13 excess in the two genders of a species, or in two distinct
14 species, or in two different experiments carried out in
15 two different laboratories under different protocols.

16 And I'm just curious how that's been applied in
17 the past. And, you know, particularly with respect to
18 rodents and, you know, is there a sense in here that if
19 you look at the further language there, it says that a
20 single study in once species might be considered to
21 provide sufficient evidence of carcinogenicity. Another
22 part says evidence of carcinogenicity in animals derived
23 from dah, dah, dah.

24 So I was wondering is there a feeling about
25 whether or not something that's an animal carcinogen would

1 be listed as a carcinogen? I'm just curious about the
2 role of the inadequate human versus the animal
3 data/mechanistic data.

4 Thank you.

5 COMMITTEE MEMBER LOOMIS: So would the staff like
6 to answer that question? Maybe Lauren's --

7 DIRECTOR ZEISE: Yeah, why don't I -- you know,
8 this is -- just I want to start by saying this criteria
9 was developed by the Committee and has been used by the
10 Committee. So it's -- it's the Committee's criteria that
11 were developed in Committee process.

12 And the Committee has already listed a number of
13 chemicals based on animal evidence alone, so that has
14 happened. And I guess what I would -- and potentially --
15 I don't know if someone else from OEHHA wants to raise an
16 issue, but it might make sense to -- Dr. Mack was involved
17 in developing these criteria, so with respect to some of
18 the points you raise, Michelle, I wonder if Dr. Mack would
19 like to weigh in.

20 CHAIRPERSON MACK: My computer is not working
21 well, so I can't raise my hand, but you wanted me to
22 comment on her question.

23 COMMITTEE MEMBER LOOMIS: Yes.

24 DIRECTOR ZEISE: Yeah, particularly the animal.

25 CHAIRPERSON MACK: Well, first of all, the animal

1 description of the two species, two sexes, good studies,
2 rare tumors, those aspects are all pretty standard. And
3 Joe I think played a role in revising anything that I
4 wrote with respect to the criteria.

5 With respect to the no human but only animal,
6 this was written with the recognition that human data is,
7 first of all, very difficult to get, and epidemiologic
8 data is always suspect, and it takes a really lucky
9 circumstance to be able to get completely convincing human
10 data.

11 Second of all, the actual wording of the
12 Proposition 65 did not refer to humans. It said causes
13 cancer. And we've judged several different compounds to
14 be carcinogenic tentatively without any good human data,
15 for the obvious reason that there was none and would not
16 be any, but the animal data was very convincing.

17 So in this case, the animal data is not terribly
18 convincing, but it exists. And in light of the other
19 circumstances, especially the carcinogen -- the -- I'm
20 sorry, the carcin -- carcinogen criteria, I don't have any
21 problem with what I told you before.

22 Does that answer your question, Lauren?

23 DIRECTOR ZEISE: Yeah, thanks.

24 COMMITTEE MEMBER LOOMIS: Thank you, Dr. Mack.
25 That's very helpful.

1 Dr. McDonald, one more comment.

2 COMMITTEE MEMBER McDONALD: Yeah, I just wanted
3 to add a real quick point. I put my hand up when I heard
4 about the ubiquity comment. Just -- I don't think it's
5 been said today, but bisphenol A already appears on the
6 Prop 65 list for repro and development. So I just want to
7 make -- you know, make it clear that this should be
8 focused on the cancer and not whether or not it should be
9 listed.

10 COMMITTEE MEMBER LOOMIS: Thank you.

11 Now, are there any members of the Committee who
12 haven't spoken yet who have a comment or question before
13 we move to vote?

14 Dr. Wang.

15 COMMITTEE MEMBER WANG: So I hate to bring us
16 back, but can some -- can someone from the OEHHA staff
17 just remind me why the CLARITY data were reana -- I mean,
18 I know that there was a comment made that some studies
19 were reanalyzed. I mean, it still bothers me a little
20 that the different results presented from the original
21 report. I mean, there are a number of points made in the
22 FDA document that I would -- it would be helpful for me to
23 hear, you know, somewhat a summary of a rebuttal.

24 DR. SUN: Dr. Loomis, if it's okay, I can say a
25 few words.

1 COMMITTEE MEMBER LOOMIS: Yeah, please do.

2 DR. SUN: Yes. Thank you, Dr. Wang, for the
3 comment. I can say a few things regarding the FDA
4 comment. And our biostatistician can chime in as well. I
5 just want to clarify regarding the historical control
6 critique. The FDA comment gave us, they pointed out that
7 the Charles Rivers and the NTP -- the two second databases
8 are inappropriate to be used. It seems too that they
9 prefer the NTP 2008 and 2010 database. Whereas, in fact,
10 our document, we use a more stringent criteria. So the
11 rare tumor needs to fulfill the criteria for all three of
12 these databases to be considered rare. So rare tumor is
13 defined as less than one percent occurrence. And we only
14 presented those that are not shown seen in concurrent
15 controls. So that's the point.

16 And regarding the statistical test that OEHHA
17 performed and how they're different from the NTP and NCTR
18 reports, I'd like to ask Rose Schmitz to say a few words.
19 But I'll start by saying that it is OEHHA's practice to
20 use the effective number when they are available. In all
21 the past HIDs, we would do this analysis when the data are
22 available to us.

23 So Ms. Schmitz, are you available to talk?

24 MS. SCHMITZ: Sure. Can you all hear me?

25 COMMITTEE MEMBER LOOMIS: Yes.

1 MS. SCHMITZ: Okay. Yeah. So as Dr. Sun pointed
2 out, one reason why there might be a discrepancy between
3 the -- say a p-value or, you know, some significant result
4 in what's presented in the hazard identification document
5 versus in the report is that we use effective number when
6 we can. So we're actually looking at the original animal
7 data and taking into consideration when each tumor of that
8 type was first observed and what animals were actually
9 alive at the time of the first occurrence of the tumor,
10 and what animals were examined at the site. You know, for
11 various reasons sometimes a tissue can't be examined and
12 so those animals would also be excluded from the
13 denominator as would animals who didn't survive until the
14 occurrence of the first tumor. So I think, does that --
15 does that answer your question about why the results may
16 be a little bit different?

17 COMMITTEE MEMBER LOOMIS: Does that answer your
18 question?

19 COMMITTEE MEMBER LA MERRILL: Can I ask Rose just
20 a clarifying question on her last point?

21 MS. SCHMITZ: Um-hmm.

22 COMMITTEE MEMBER LA MERRILL: Rose, when you said
23 the you also exclude animals that didn't have -- survive
24 until the first tumor, do you mean an animal that died at
25 a tumor-free state or do you mean in the cohort, like if

1 it's incident -- if the whole cohort is incident free?

2 MS. SCHMITZ: Yeah. So we look at for each tumor
3 site, so suppose we're looking at, you know, lymphoma in
4 male rats or something or in one experiment we're going to
5 look at when the tumor was first observed in any dose
6 group within that experiment and then any animal at any
7 dose group who didn't survive until the first occurrence
8 of that tumor would be removed from the denominator, does
9 that make sense?

10 COMMITTEE MEMBER LA MERRILL: (Nods head).

11 COMMITTEE MEMBER LOOMIS: Does that answer the
12 questions?

13 COMMITTEE MEMBER WANG: (Thumb up).

14 COMMITTEE MEMBER LOOMIS: Hearing no further
15 comment, we have entered our last hour, so we do need to
16 move along. And so with that in mind, I want to say if
17 any member of the Committee is not prepared to vote now
18 and needs more discussion before we move on? If you feel
19 like we need to talk about it some more, please speak up
20 or raise your hand, otherwise we'll move on.

21 Okay. I am not seeing a request for further
22 discussion. So let's proceed to vote on the listing
23 decision. So the question before the Committee is
24 specifically this, has bisphenol A been clearly shown
25 through scientifically valid testing, according to

1 generally accepted principles to cause cancer?

2 So I'll now call on each member of the Committee
3 to vote and we'll record your votes. So we're going in
4 alphabetical order.

5 Dr. Besaratinia, how do you vote?

6 Can't hear you.

7 COMMITTEE MEMBER BESARATINIA: I'm sorry. I said
8 my vote is no.

9 COMMITTEE MEMBER LOOMIS: Dr. Bush?

10 COMMITTEE MEMBER BUSH: A quick comment. I very
11 much want to say yes. I don't work for the plastics
12 industry. I appreciate what Dr. Landolph and Dr. Mack
13 have said. My concern is that this does not meet the
14 scientific threshold. No other authoritative body has
15 indicated that BPA is a carcinogen. Our own FDA says that
16 it isn't. My vote is no because of that.

17 COMMITTEE MEMBER LOOMIS: Dr. Crespi, how do you
18 vote?

19 COMMITTEE MEMBER CRESPI: My vote is also no.
20 And Dr. Bush summarized what my sentiments and my thoughts
21 are. I just feel like it's not seeing the threshold of
22 clearly shown -- evidence clearly showing. And yeah, so
23 I'll leave it there.

24 COMMITTEE MEMBER LOOMIS: Dr. Eastmond, your vote
25 please.

1 COMMITTEE MEMBER EASTMOND: My comments are
2 similar to those of Dr. Bush and Dr. Crespi. No. I just
3 don't think there's sufficient evidence yet.

4 COMMITTEE MEMBER LOOMIS: Yeah. Dr. La Merrill

5 COMMITTEE MEMBER LA MERRILL: Yes.

6 COMMITTEE MEMBER LOOMIS: Dr. Landolph.

7 COMMITTEE MEMBER LANDOLPH: Yes, for all the
8 reasons I already enumerated.

9 COMMITTEE MEMBER LOOMIS: Dr. Loomis votes no for
10 reasons similar to those articulated by Dr. Bush. And I
11 will say, I am concerned about this ubiquitous chemical,
12 but I just don't think the data are there to list it at
13 this point.

14 Dr. Mack?

15 CHAIRPERSON MACK: Yes.

16 COMMITTEE MEMBER LOOMIS: Dr. McDonald?

17 COMMITTEE MEMBER McDONALD: I vote no for the
18 same reasons stated earlier.

19 COMMITTEE MEMBER LOOMIS: Dr. Stern?

20 COMMITTEE MEMBER STERN: I'm going to say yes for
21 the reasons Dr. Mack and Landolph explained. I understand
22 the concern that it's not a clear -- the evidence is not
23 clear as far as the carcinogens, but I think the key
24 characteristics are convincing. And even though the
25 epidemiological data is not as informative as we want it

1 to be, I'm concerned about a couple of studies that were
2 well done and report the positive association. I feel
3 with more time, we'll see that more of those may show up.
4 So I'm concerned about this.

5 COMMITTEE MEMBER LOOMIS: Dr. Wang, your vote,
6 please.

7 COMMITTEE MEMBER WANG: I'm going to say yes,
8 mostly because I'm convinced by the mechanistic data and I
9 don't -- I don't think it needs to satisfy every single
10 Component, so I don't want there to be sufficient human
11 data before we make a decision.

12 COMMITTEE MEMBER LOOMIS: Okay. Thank you. The
13 Committee has voted, and as I count it, there were five
14 yes votes. So, that is not enough to add the chemical to
15 the list. That we would require six votes. And I'll ask
16 Dr. Gilson or whoever on staff is counting votes to verify
17 what I've just said.

18 DR. GILSON: Yes, that's correct.

19 COMMITTEE MEMBER LOOMIS: Okay. So BPA won't be
20 added to the list at this time. However, you know, given
21 the concerns of the Committee and comments that have been
22 made about the current state of the evidence, I can
23 imagine this one coming back at some future time as
24 evidence continues to accumulate.

25 So we'll move on to the next item, that is the

1 consent item, update on the California Code of Regulations
2 Title 27, Section 27000, a list of chemicals which have
3 not been adequately tested as required. So this is one of
4 the duties of the Committee to review this question and to
5 affirm the changes in response to submissions to the
6 Department of Pesticide Regulation. U.S. EPA has
7 indicated there are no changes. This is basically a
8 ministerial duty of the Committee, in that we rely on
9 information provided to OEHHA by the Department of
10 Pesticide Regulation and the U.S. EPA in order to identify
11 the chemicals that need to be added or removed to this
12 Section 27000 list.

13 And so at this stage, I'll invite Julian Leichty
14 to give the staff presentation on this item.

15 MR. LEICHTY: Thank you.

16 (Thereupon a slide presentation).

17 MR. LEICHTY: All right. So thank you for that,
18 Dr. Loomis. Proposition 65 requires the State to publish
19 and update annually a list of chemicals that are required
20 to be tested under State or federal law for
21 carcinogenicity or reproductive toxicity and that have not
22 yet been adequately tested as required. This can be found
23 in Title 27, Section 27000 of the California Code of
24 Regulations and is commonly referred to as the Section
25 27000 list, separate and distinct from the Proposition 65

1 list of chemicals known to cause cancer, reproductive
2 toxicity. This Section 27000 list has no regulatory
3 impact. It does not require that any testing be done.
4 Rather, it's a source of information concerning chemicals
5 that need further testing pursuant to State or federal
6 law.

7 To update the list, OEHHA requests information
8 from the California Department of Pesticide Regulation and
9 the U.S. Environmental Protection Agency's Office of
10 Pollution Prevention and Toxics and Office of Pesticide
11 Programs each year.

12 This year, OEHHA staff reviewed these responses
13 and identified one recommended change to the Section 27000
14 list, removal of bromadiolone.

15 Based on information received from DPR, data
16 requirements for this compound have been fulfilled and
17 further carcinogenicity and reproductive toxicity testing
18 are not required. The letter from DPR along with
19 additional background, response letters from U.S. EPA, a
20 mock up of the proposed change are all -- and are all
21 available in the staff report provided to the Committee
22 and posted online. The proposed change is also shown on
23 this slide.

24 As Dr. Loomis mentioned, this is a consent item
25 and a ministerial duty of the Committee, in that the CIC

1 and DARTIC us the information provided by DPR and U.S. EPA
2 to identify the chemicals that need to be added to or
3 removed from the Section 27000 list. We ask the Committee
4 members to vote in favor of the proposed change, so we can
5 update the list.

6 And I'll now turn it back to Dr. Loomis for the
7 vote.

8 COMMITTEE MEMBER LOOMIS: Okay. So this is a
9 consent item. If there are any clarifying questions, we
10 can take those now.

11 I'm not seeing any, so we'll proceed to a vote.
12 This does require a roll call vote. So the question we're
13 voting on is should Section 27000 of the Title 27 of the
14 California Code of Regulations be amended as indicated in
15 the report?

16 So again, we'll go through the Committee members
17 in alphabetical order.

18 Dr. Besaratinia?

19 Can't hear you if you're speaking.

20 COMMITTEE MEMBER BESARATINIA: I'm sorry, is
21 there an abstinent vote for this?

22 COMMITTEE MEMBER LOOMIS: I couldn't hear your
23 question. Sorry.

24 COMMITTEE MEMBER BESARATINIA: Oh, I'm sorry. Is
25 there an abstinent vote on this? I'm assuming that it

1 would amount to a no.

2 COMMITTEE MEMBER LOOMIS: You can -- you can
3 abstain, if you wish, or vote no. You can do whatever you
4 want.

5 COMMITTEE MEMBER BESARATINIA: Yeah.
6 Unfortunately, I haven't had the chance to read this,
7 so -- study this, so I'm not going to vote on this.

8 COMMITTEE MEMBER LOOMIS: Okay. So you're
9 abstaining, is that correct?

10 COMMITTEE MEMBER BESARATINIA: Yeah. Yeah.

11 COMMITTEE MEMBER LOOMIS: Okay. Dr. Bush?

12 COMMITTEE MEMBER BUSH: Yes

13 COMMITTEE MEMBER LOOMIS: Dr. Crespi?

14 COMMITTEE MEMBER CRESPI: Yes.

15 COMMITTEE MEMBER LOOMIS: Dr. Eastmond?

16 COMMITTEE MEMBER EASTMOND: Yes.

17 COMMITTEE MEMBER LOOMIS: Dr. La Merrill?

18 COMMITTEE MEMBER LA MERRILL: Yes.

19 COMMITTEE MEMBER LOOMIS: Dr. Landolph?

20 Don't hear you.

21 COMMITTEE MEMBER LANDOLPH: Oh, Yes. Yes.

22 COMMITTEE MEMBER LOOMIS: Yes.

23 COMMITTEE MEMBER LANDOLPH: Yes.

24 COMMITTEE MEMBER LOOMIS: Thanks. Got it.

25 Loomis votes yes.

1 Dr. Mack?

2 CHAIRPERSON MACK: Yes.

3 COMMITTEE MEMBER LOOMIS: Dr. McDonald?

4 COMMITTEE MEMBER McDONALD: Yes.

5 COMMITTEE MEMBER LOOMIS: Dr. Stern?

6 COMMITTEE MEMBER STERN: Yes.

7 COMMITTEE MEMBER LOOMIS: And Dr. Wang?

8 COMMITTEE MEMBER WANG: Yes.

9 COMMITTEE MEMBER LOOMIS: Okay. So we have more
10 than six yes votes, so the change is affirmed.

11 Okay. Assuming the staff agrees with my tally of
12 the votes, we'll move on to staff updates.

13 The next item is an update of the proposition
14 listings, regulations, and litigation that have taken
15 place since the last meeting of the Committee. And again,
16 Julian Leichty will present this.

17 (Thereupon a slide presentation).

18 MR. LEICHTY: Thank you.

19 So I'll be providing an update on Proposition 65
20 development since the last CIC meeting. I'll start by
21 going over the chemicals or endpoints added to the
22 Proposition 65 list or under consideration for potential
23 listing, as well as data call-ins requesting information
24 on chemical toxicity. Then I'll review adopted and
25 proposed safe harbor levels.

1 After that, I'll turn it over to our Chief
2 Counsel Carolyn Rowan to provide an update on other
3 regulatory actions and significant Proposition 65
4 litigation.

5 Next slide, please.

6 --o0o--

7 MR. LEICHTY: Since the Committee's last meeting,
8 five chemicals have been added to the Proposition 65 list,
9 PFNA and its salts were added as reproductive toxicants
10 and trimethylolpropane, triacrylate, technical grade,
11 tetrahydrofuran, methyl acrylate, and 2-ethylhexyl
12 acrylate were added as carcinogens.

13 Additionally, the cancer endpoint has been added
14 for the following chemicals previously listed for
15 reproductive toxicity, perfluorooctane sulfonic acid and
16 its salts and transformation and degradation precursors
17 following the Committee's decision last year, and
18 Perfluorooctanoic acid, PFOA.

19 Next slide, please.

20 --o0o--

21 MR. LEICHTY: Four potential cancer listings are
22 under consideration antimony and trivalent compounds,
23 1-bromo-3-chloropropane, 1-butyl glycidyl ether, and
24 Glycidyl methacrylate are under consideration for listing
25 administratively under the Labor Code mechanism.

1 The DARTIC's -- and next, the DARTIC's 2022
2 meeting was held in October and consisted of a workshop on
3 zebrafish data in development and reproductive toxicity
4 health hazard assessment. No listing decisions were
5 considered. Early in the year, OEHHA issued a data
6 call-in on bisphenol S to request information related to
7 its reproductive toxicity. This information is used in
8 the preparation of the hazard identification document.

9 Next slide, please.

10 --o0o--

11 MR. LEICHTY: Since the Committee's last meeting,
12 cancer no significant risk levels were adopted for oral
13 and inhalation exposures to 1,3-dichloropropene, (1,3-D),
14 and became effective October 1st, 2022. OEHHA also
15 proposed a no significant risk level for antimony trioxide
16 and is reviewing comments received on the proposal.

17 And with that, I'll turn things over to Carolyn.

18 --o0o--

19 CHIEF COUNSEL NELSON ROWAN: Thanks, Julian, and
20 hello again. I have a few updates on Proposition 65
21 regulations and litigation.

22 Since the Committee last met, OEHHA has adopted a
23 number of new safe harbor warning regulations. The safe
24 harbor warning for cannabis smoke and delta-9-THC exposure
25 became effective on October 1, 2022. Those regulations

1 provide non-mandatory specific safe harbor exposure
2 warning methods and content for retail products that can
3 expose consumers to cannabis smoke or delta-9-THC via
4 inhalation, ingestion, or dermal application. And also
5 for environmental exposures to cannabis smoke, and
6 delta-9-THC businesses where smoking of cannabis, or
7 vaping, or dabbing of delta-9-THC occurs.

8 The content identifies the chemical route of
9 exposure and provides specific information to consumers
10 about the risk of using cannabis products including
11 cancer, and while pregnant, the impact exposures can have
12 on an unborn child.

13 OEHHA has also adopted a new safe harbor warning
14 for glyphosate. That regulation provides safe harbor
15 guidance for businesses that cause exposures to glyphosate
16 from consumer products that require a warning. The
17 warning language reflects the range of opinion by
18 authorities on the carcinogenicity of glyphosate. It was
19 approved on September 1 and will become effective the
20 first of the year.

21 OEHHA has also adopted a new safe harbor warning
22 for acrylamide in food. And that regulation provides safe
23 harbor warning content for businesses that cause exposures
24 to Proposition 65 listed chemicals in foods and beverages
25 that require warnings. OAL approved that regulation on

1 CHIEF COUNSEL NELSON ROWAN: Okay. So as I was
2 saying, we're in the discovery stage right now for the
3 PCRM versus Newsom case.

4 There's also the National Association of Wheat
5 Growers versus Bonta litigation. That case involves a
6 First Amendment challenge for the glyphosate warning
7 requirement. The challenge centers on the argument that
8 because only the IARC have identified the chemical as a
9 carcinogen and other agencies, including U.S. EPA, have
10 said it is unlikely to be a human carcinogen, there can be
11 no warning with -- that would not be misleading. So the
12 district court determined that required warnings for
13 glyphosate exposure violated the First Amendment, limits
14 on compelled speech. And the Attorney General's office
15 appealed that to the Ninth Circuit. The case was on hold
16 while OEHHA prepared a new regulation. And now that
17 OEHHA's new regulation is final, the parties recently
18 filed supplemental briefs in the Ninth Circuit addressing
19 the adoption of that new reg. So now the court will
20 decide whether to send the matter back to the district
21 court or proceed to oral argument.

22 There's also the Cal Chamber versus Bonta case,
23 which involves another First Amendment challenge, which in
24 that case is a challenge to the safe harbor warning for
25 acrylamide. The district court previously granted a

1 preliminary injunction and the Ninth Circuit affirmed.
2 That case is back with the trial court now. Although,
3 there's been little activity since a new judge was
4 assigned, the Attorney General's office recently filed a
5 notice informing the court of our new acrylamide warning
6 regulation.

7 And finally, in the Council for Education and
8 Research on Toxics versus Starbucks case, this is the case
9 where CERT challenged the OEHHA regulation on coffee as
10 part of a long-running enforcement action. Recently, the
11 case was argued in September and last month the Court of
12 Appeal issued a decision affirming the trial court's
13 decision upholding the coffee regulation. CERT recently
14 filed a petition for review with the California Supreme
15 Court. So we'll see what happens with that.

16 And that's -- those are my updates on significant
17 Proposition 65 litigation.

18 Dr. Eastmond, did you have a question?

19 COMMITTEE MEMBER EASTMOND: Yeah, I did. Well,
20 it's more of a housekeeping thing. But over the last 10
21 years or so, we've been asked to hang on to various
22 documents related to the various litigation. We never
23 seem to be told when we can get rid of them. It would be
24 helpful if you could let us know which ones we need to
25 still hold on to and which ones we can throw away.

1 I mean, send it out later, because I know this is
2 not off the top of your head. But it would be useful
3 to -- at some point be able to get rid of some of these.

4 CHIEF COUNSEL NELSON ROWAN: Sure. Yeah, I'd
5 have to check the litigation hold list and -- but we
6 can -- we can provide you an update on that.

7 COMMITTEE MEMBER LOOMIS: It looks like there's
8 another question.

9 COMMITTEE MEMBER BESARATINIA: Can I -- can I ask
10 a quick question. Out of curiosity, I just want to see
11 what was the ruling on the fourth item regarding the
12 warning for acrylamide for coffee? And I see that it was
13 against Starbucks and how is it going to affect other
14 franchises?

15 CHIEF COUNSEL NELSON ROWAN: So the ruling in
16 that case, the CERT versus Starbucks case, upheld OEHHA's
17 coffee regulation. So OEHHA had adopted a regulation
18 essentially saying that chemicals formed in coffee from
19 the roasting and brewing process don't require a warning
20 under Proposition 65, because of special circumstances
21 related to the chemical mixture of the coffee. So that
22 was upheld in this Court of Appeal decision. So it --
23 that would mean that warnings are not required.

24 COMMITTEE MEMBER BESARATINIA: Okay. Thank you.

25 COMMITTEE MEMBER LOOMIS: Are there any more

1 questions?

2 CHIEF COUNSEL NELSON ROWAN: Okay. Thank you.

3 COMMITTEE MEMBER LOOMIS: Thanks, Carol. Thanks,
4 Julian.

5 We'll move to the last agenda item then and go
6 back to Director Lauren Zeise. But before we do that, I
7 would just like to thank Lauren and the entire OEHHA staff
8 for really a Herculean effort to put together all of the
9 vast material for this meeting. It's -- it was a huge job
10 and they've really done admirably with that. And I see
11 members of the Committee nodding and clapping their hands.
12 So thanks to all of you for really great background work.

13 So, Lauren, your turn to summarize what we've
14 done and wrap-up the meeting.

15 DIRECTOR ZEISE: Okay. All right. So the
16 Committee considered at length and deliberated at length
17 on whether to add bisphenol A to the Proposition 65 list
18 as a carcinogen. By a vote of five yes and six no, the
19 Committee declined to list bisphenol A.

20 The Committee also voted on a consent item. And
21 they voted to remove bromadiolone from the Section
22 2700[SIC] list that's published in the California Code of
23 Regulations. The vote was 10 yes, one abstention. And so
24 the chemical will be removed from that list.

25 So I think I want to give thanks and

1 acknowledgement for the extensive work that the Committee
2 did to prepare for this meeting. It was a huge document,
3 very complex. It took a lot of time and effort I'm sure
4 to work through this document. And it was pretty obvious
5 that the Committee prepared and considered it very
6 carefully, so we really appreciate your effort on that.

7 And, of course, I want to add my thanks to the
8 staff for all of their work to put that document together,
9 and also to the audience and commenters today.

10 We do have a final item. And that is that Dr.
11 Mack -- Dr. Thomas Mack has informed us of his intention
12 to resign from the Carcinogen Identification Committee
13 after his 30 years of service as Chair of the Committee.

14 It's a tremendous service to the People of
15 California.

16 I'm going to try not to get choked up.

17 (Laughter).

18 DIRECTOR ZEISE: So Dr. Mack came to the
19 Committee in -- early in 1993, he began as Chair of the
20 CIC. So this is Dr. Mack's last meeting. And I
21 thought -- we wanted to step back and take some time
22 and -- you know, for the Committee members to express
23 their thanks to Dr. Mack and -- that wish to and that
24 have -- and wish him well and also for staff at OEHHA.

25 I thought maybe a little history lesson first.

1 And that's that the Governor's -- the Proposition 65
2 statute places the responsibility of the Governor -- on
3 the Governor to cause the Proposition 65 list to be
4 published. And as you all know, you're all appointed by
5 the Governor.

6 And originally, under the Deukmejian
7 administration, when we started this, there was one
8 committee. It was called the Scientific Advisory Panel,
9 the SAP. And they considered whether to put carcinogens
10 on the list and also developmental and reproductive
11 toxicants. So we had that committee. Proposition 65 is
12 kind of a complex -- very complex. The evidence continues
13 to become more complex. And so under the Wilson
14 administration, the decision was made to make two
15 committees, the Carcinogen Identification Committee and
16 the Developmental and Reproductive Toxicant Identification
17 Committee. So we have two committee meet -- to committees
18 to add chemicals to the list.

19 So in 19 -- early in 1993, the question was,
20 well, you know, with all the attention on Proposition 65
21 and a number of things that were going on in the
22 background, it was really important to get an esteemed --
23 esteemed committees together. And, of course, this
24 Committee has continued in that.

25 So we were looking for a Chair for the Committee.

1 And, you know, Dr. Mack had been part of the a lot of work
2 at IARC, the supplement 7, which re -- basically did a
3 lot of well-considered -- I can't -- I can't remember how
4 many carcinogens, but relooking at a large number of
5 carcinogens and Dr. Mack was a rapporteur for some of the
6 IARC monographs. And he had created this LA Cancer
7 Registry and also the Registry of -- International
8 Registry and California Registry of Twins to study chronic
9 diseases and had a tremendous notoriety in publication
10 records, so we asked Dr. Mack. And so he agreed.

11 I remember the meeting with Dr. Mack very well,
12 because I had not -- not much before that point in time
13 given birth to my son and I brought him down to LA and he
14 stayed with my parents and I went to meet Dr. Mack. And
15 he's now 30. We just celebrated his 30th birthday.

16 So anyway, Dr. Mack agreed. You know, esteemed
17 physician and epidemiologist, so he served under five
18 governors. He -- you know, Pete Wilson, Gray Davis,
19 Arnold Schwarzenegger, Jerry Brown, and Gavin Newsom. So
20 all five governors. And I think it's a testimony to Dr.
21 Mack's skill as a -- and brilliance as a Chair and
22 epidemiologist to have been able to span as Chair of the
23 CIC all those different administrations.

24 So, you know, and all the while also you all now
25 see how much work this committee can be ahead of a

1 meeting. And, of course, Dr. Mack has had this very
2 esteemed career at USC as well. So I think I can speak on
3 behalf of the -- this administration, California EPA, and
4 OEHHA in thanking you, Dr. Mack, for your long service to
5 the -- to the State and as Chair -- as Chair of this
6 Committee. So just thank you so much. Really appreciate
7 it. And --

8 (Applause).

9 DIRECTOR ZEISE: Yeah. And so I think I'll turn
10 it over to Dana and you want to facilitate the Committee.
11 I know a couple of staff have something to say as well and
12 maybe Dr. Mack wants to close.

13 CHAIRPERSON MACK: Thank you very, Lauren and
14 thank you for the --from the Committee. It's been a real
15 honor and pleasure to Chair the Committee for 30 years.
16 And I must say I've learned a great deal, not only from my
17 fellow Committee members, but from the regulated community
18 and from the staff. And I guess I should say that I've
19 heard so many plaudits for the document that the staff
20 prepared for this meeting. And I just want to say that
21 it's not unusual. Every single meeting has an incredibly
22 detailed and accurate document that it had to start out
23 with. And it always amazes me how much better the staff
24 is from the average epidemiologic study staff, because one
25 would think that getting a secure job with the State might

1 make -- might make some concessions to quality. But if
2 anything, it's the other way around. So I want to thank
3 the OEHHA staff for 30 years worth of staff excellence.

4 And, of course, Joe, for example, has been there
5 for 30 years as well. It's not only me. And I certainly
6 have grown to appreciate the help of Joe, and of David,
7 and of other members of the staff over the years. So
8 thank you very much.

9 COMMITTEE MEMBER LOOMIS: Thank you, Dr. Mack. I
10 know some other members of the Committee and perhaps of
11 the staff would like to comment. And I'm going to use my
12 seat as Acting Chair to start that off. And I'll just
13 say, first of all, that doing anything for 30 years is a
14 really impressive accomplishment, especially in a
15 political environment like this. Surviving five different
16 governors of both parties is quite a feat. It's been my
17 honor to be Acting Chair for a couple of recent meetings.
18 And I would just say that this public function of running
19 a meeting is really only a small part of what the Chair
20 does. And it has certainly given me an appreciation of
21 the labor that Dr. Mack has devoted to this process for 30
22 years. I'm grateful for that and honored to have been
23 able to help you.

24 So I invite other members of the Committee and
25 the staff to --

1 CHAIRPERSON MACK: Thank you, Dr. Loomis.

2 COMMITTEE MEMBER LOOMIS: -- speak that wish to
3 do so.

4 Okay Dr. McDonald, you first.

5 COMMITTEE MEMBER McDONALD: Yeah. Thank you.

6 As some of you may know, I started my
7 professional career as a toxicologist at OEHHA in 1994
8 through 2005. I proudly served in the Cancer Unit working
9 on Prop 65 issues and children's health guidance. I must
10 admit my time at OEHHA seems very long time ago. Yet even
11 then, Dr. Mack was the CIC member and Chair. And so as
12 you write the hazard ID document, sometimes I feel I would
13 view you as my audience for writing them even back then.
14 And so I just recall from that time that you always ran a
15 very good and tight meeting. And I truly find it amazing.
16 You've served so long and so well in this committee. So
17 Dr. Mack, thank you for your long service to the people of
18 California.

19 COMMITTEE MEMBER LOOMIS: Dr. Landolph, you have
20 your hand up.

21 CHAIRPERSON MACK: Thank you, Tom.

22 COMMITTEE MEMBER LANDOLPH: Let's see. Can you
23 hear me? Not yet.

24 COMMITTEE MEMBER LOOMIS: Yes.

25 COMMITTEE MEMBER LANDOLPH: Yeah. Can you hear

1 me?

2 COMMITTEE MEMBER LOOMIS: Yeah.

3 COMMITTEE MEMBER LANDOLPH: Yeah. It's been a
4 pleasure serving with you, Tom. We both joined the
5 Committee about the same time and we've both survived five
6 governors. And I'd have to say, you know, Tom is a
7 fantastically, scientifically accomplished person and a
8 superb epidemiologist. He can talk to basic scientists,
9 no problem. He's taught me some significant epidemiology.
10 I hope I've shared some basic science with him. Tom is
11 fantastically honest, polite, fair, and open-minded. He
12 always run the Committee -- he has run it by making sure
13 everybody has a chance to get their say in.

14 And he taught me very early on, you don't need to
15 know a mechanism of carcinogenesis to put a chemical on
16 the Proposition 65 list. You just need to know that it
17 does cause tumors in animals or in humans. And you can
18 find the other mechanistic data out later. So, Tom, I've
19 always enjoyed your fair and even handed manner of running
20 a committee. Your politeness in letting everybody have
21 their say.

22 And I think I've only seen you get mad twice in
23 30 years, and that was mild madness, not the -- you were
24 irked, not really fuming mad, which I would have gotten in
25 those circumstances. So thank you very much. It's been a

1 great pleasure being a colleague and a member of the
2 Committee with you and it's been a pleasure working with
3 you.

4 COMMITTEE MEMBER LOOMIS: Thanks. Thanks.

5 Dave Eastmond, you're next on my screen.

6 COMMITTEE MEMBER EASTMOND: Well, Tom -- I just
7 want to say --

8 CHAIRPERSON MACK: Thank you.

9 COMMITTEE MEMBER EASTMOND: -- thank you very
10 much for your leadership, your collegiality, and your
11 friendship over the years. We'll miss seeing you at these
12 meetings, but we hope you are doing other enjoyable
13 things. Anyway, thanks again.

14 COMMITTEE MEMBER LOOMIS: Thank you.

15 On to Dr. Wang, you're next on my screen.

16 COMMITTEE MEMBER WANG: Well, I just want to say
17 I'm really bummed that is my first and Dr. Mack's last
18 meeting, so -- but I do want to thank you, Dr. Mack. I
19 met you about just over 20 years ago. And through our
20 collective work in lymphoma, I've gotten to know you. But
21 of course, I knew about you before I joined the National
22 Cancer Institute. And, you know, I think for -- speaking
23 for us epidemiologists, I think when you see the name Dr.
24 Mack, you sort of consider that as your Northern Star when
25 it comes to method -- you know, epidemiologic methods.

1 So I just want to really thank you for the
2 influence you've had in our discipline. And I look -- I
3 hopefully look forward to seeing you in Los Angeles and
4 continuing to do some work on lymphoma. But I want to
5 thank you and really let you know how much, you know, we
6 sincerely respect you as a person. You're just such a
7 nice person, and especially your academic integrity.

8 So thank you.

9 COMMITTEE MEMBER LOOMIS: Dr. Bush.

10 CHAIRPERSON MACK: Thank you, Sophia.

11 COMMITTEE MEMBER BUSH: Yeah. Thank you, Tom,
12 for your leadership over the last 10 years that I've been
13 on the Committee. It's been a pleasure serving with you.
14 My only regret is that we can't be in person doing this,
15 so I can shake your hand and give you a hug. Thank you
16 for your service.

17 COMMITTEE MEMBER LOOMIS: Dr. Stern.

18 COMMITTEE MEMBER STERN: Yes, I want to thank,
19 Tom, for your years of service and Chair with the -- with
20 the group that not only has been -- he started the Cancer
21 Registry at USC, and he's been there for 30 years or more
22 too, but he also is one of the founding members of our
23 department, the department where I am, so it's an immense
24 honor for me to be able to serve in this Committee
25 together with you, Tom. I have learned a lot from your

1 comments here. And I just hope that moving forward, now
2 that I'm the only epidemiologist from USC left in the
3 Committee, that I can represent us well.

4 COMMITTEE MEMBER LOOMIS: Dr. Besaratinia.

5 COMMITTEE MEMBER BESARATINIA: Yeah, I would like
6 to echo what Dr. --

7 CHAIRPERSON MACK: Thank you, Mariana. And I
8 hope Argentina does well in the next couple days.

9 (Laughter).

10 COMMITTEE MEMBER STERN: I hope so too.

11 (Laughter).

12 COMMITTEE MEMBER BESARATINIA: Yeah. As I was
13 just saying, I'd like to echo what Dr. Stern just said. I
14 haven't had the pleasure of working with Tom on this
15 Committee, but I am privileged to work at USC, the same
16 department where Dr. Mack is. I haven't directly
17 collaborated with him, but I have been working with his
18 trainees who are pioneers in the field, which just tells
19 you what a trailblazer he has been. And all they say
20 about Tom is the greatest of the great thing. And I would
21 appreciate his contribution and work, not only to this
22 Committee, but also the work that he has done and
23 continues to do at USC.

24 Thank you, Tom.

25 COMMITTEE MEMBER LOOMIS: And Dr. La Merrill.

1 COMMITTEE MEMBER LA MERRILL: Tom, I feel like
2 I've had a great pleasure in joining this Committee under
3 your leadership and really got to understand what it means
4 to be a good leader, such an important endeavor, and also
5 how the process works through your role here. And I'm
6 really honored that we had time to overlap. And I wish
7 you all the best in what you do next. So thank you very
8 much.

9 COMMITTEE MEMBER LOOMIS: Okay. Vincent.

10 DR. COGLIANO: Thank you, Dana. I'd like to
11 highlight the contribution Dr. Mack makes to this
12 committee of experts by virtue of his international
13 stature and reputation. A quarter century ago, while
14 working at the other side of the country at the U.S. EPA,
15 I became acquainted with Dr. Mack as a national expert on
16 a variety of topics. We interacted on PCBs, at a
17 saccharin workshop, and on other topics.

18 So I'd like say, Dr. Mack, your grand stature has
19 enhanced the credibility and the reputation of the
20 Proposition 65 program. And you have guided it in the
21 compilation of the most comprehensive list of known and
22 suspected chemical carcinogens. And this is an important
23 scientific research for the State and the entire nation.

24 Thank you, Dr. Mack.

25 COMMITTEE MEMBER LOOMIS: Thanks, Vincent.

1 And Martha.

2 DR. SANDY: Yes. So as -- similar to Tom, I
3 started in 1994 and that's when I met Dr. Mack as Chair of
4 the CIC. It's been a pleasure. I want to thank you, Dr.
5 Mack, for your many years of service to the People of
6 California as a member of the Committee. And I also wish
7 to thank you for your steady leadership over the years in
8 your role as Chair of the Committee.

9 Dr. Mack has a no nonsense style. And he's
10 always kept the Committee on track and focused on the
11 issues related to hazard identification at each of their
12 meetings. He also runs those meetings very efficiently
13 making sure that we got through all the agenda items, even
14 if, as it did happen occasionally, we had to delay, or
15 shorten, or even sometimes even skip taking lunch.

16 So to sum up, I really -- I want to recognize Dr.
17 Mack's significant scientific expertise and experience,
18 particularly in the area of cancer hazard identification,
19 which has been invaluable to the scientific work of the
20 Committee.

21 Thank you, Dr. Mack.

22 COMMITTEE MEMBER LOOMIS: Thanks, Martha. Are
23 there any other members of staff who'd like to comment
24 before we close?

25 All right. I don't see any.

1 Are there any other issues we should talk about
2 before adjourning the meeting? Lauren or members of the
3 Committee anything?

4 DIRECTOR ZEISE: No, just underscoring, it's just
5 been such a pleasure working with Dr. Mack over all these
6 years, and we really wish him the best.

7 CHAIRPERSON MACK: You know, let me just say
8 thank to everybody who commented. I don't know if I'm
9 worthy of all those nice remarks, but it's certainly nice
10 to hear them for the time being.

11 Bye-bye.

12 DIRECTOR ZEISE: Bye, yes.

13 COMMITTEE MEMBER LOOMIS: Thank you for your
14 years of service. Really appreciate it.

15 Hearing nothing else, I think we can adjourn the
16 meeting. Thank you all for a really thoughtful discussion
17 today on a particularly challenging topic.

18 Great work.

19 (Thereupon the Carcinogen Identification
20 Committee adjourned at 4:47 p.m.)

CERTIFICATE OF REPORTER

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, do hereby certify:

That I am a disinterested person herein; that the foregoing California Office of Environmental Health Hazard Assessment, Carcinogen Identification Committee was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California, and thereafter transcribed under my direction, by computer-assisted transcription;

I further certify that I am not of counsel or attorney for any of the parties to said workshop nor in any way interested in the outcome of said workshop.

IN WITNESS WHEREOF, I have hereunto set my hand this 29th day of December, 2022.

JAMES F. PETERS, CSR
Certified Shorthand Reporter
License No. 10063