

VIDEOCONFERENCE MEETING  
STATE OF CALIFORNIA  
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT  
PROPOSITION 65  
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT  
IDENTIFICATION COMMITTEE

GOTOWEBINAR PLATFORM

THURSDAY, DECEMBER 10, 2020

10:00 A.M.

JAMES F. PETERS, CSR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

APPEARANCES

COMMITTEE MEMBERS:

Ulrike Luderer, PhD, MPH, Chairperson

Patrick Allard, PhD

Diana Auyeung-Kim, PhD

Laurence Baskin, PhD

Carrie Breton, PhD

Laurence Baskin, MD

Suzan Carmichael, PhD

Isaac Pessah, PhD

Irva Hertz-Picciotto, PhD

Charles Plopper, PhD

Tracey Woodruff, PhD

STAFF:

Lauren Zeise, PhD, Director

Allan Hirsch, Chief Deputy Director

Carol Monahan Cummings, Chief Counsel

Vincent Cogliano, PhD, Deputy Director, Division of  
Scientific Programs

Sam Delson, Deputy Director, External and Legislative  
Affairs

Julian Leichty, Special Assistant for Programs and  
Legislation, Proposition 65 Implementation Program

APPEARANCES CONTINUED

STAFF:

Francisco Moran, PhD, Acting Chief, Reproductive Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Martha Sandy, PhD, Chief, Reproductive and Cancer Hazard Assessment Branch

ALSO PRESENT:

John Acquavella, PhD, Aarhus University

Stewart Averett, AgriTitan, LLC

George Daston, PhD, Personal Care Products Council, Consumer Healthcare Products Association

Donna Farmer, PhD, Bayer Crop Science

Zen Honeycutt, Moms Across America

Tim Johnson, California Rice Commission

Claire Koenig, Adama Agricultural Solutions, Limited

Steve Levine, PhD, Bayer Crop Science

Daniel Minnema, PhD, Syngenta Crop Protection

Keith Morris-Schaffer, PhD, Exponent

Jay Murray, PhD, Murray and Associates

Brandy Riffle, PhD, DABT, Bayer Crop Science

Gary Roberts, Dentons

Jennifer Sass, PhD, Natural Resources Defense Council

Edward Scollon, PhD, Valent USA, LLC

Larry Sheets, PhD, DABT, Bayer Crop Science

INDEX

	<u>PAGE</u>
I Welcome and Opening Remarks	1
II Prioritization of Chemicals for Future Review by the Developmental and Reproductive Toxicant Identification Committee (DARTIC)	
Staff Presentation	8
Benzophenone-3	
Committee Discussion	20
Public Comment	24
Committee Discussion and Recommendation	26
Bisphenol S	
Committee Discussion	27
Public Comment	36
Committee Discussion and Recommendation	36
Diazinon	
Committee Discussion	37
Public Comment	42
Committee Discussion and Recommendation	44
Diethyl Phthalate (DEP)	
Committee Discussion	44
Public Comment	48
Committee Discussion and Recommendation	49
Domoic Acid	
Committee Discussion	57
Public Comment	64
Committee Discussion and Recommendation	121
Glyphosate	
Committee Discussion	65
Public Comment	71
Committee Discussion and Recommendation	100
Afternoon Session	108
Manganese	
Committee Discussion	108
Public Comment	117
Committee Discussion and Recommendation	118

INDEX CONTINUED

	<u>PAGE</u>
Neonicotinoid Pesticides	
Committee Discussion	119,
	124
Public Comment	136
Committee Discussion and Recommendation	162
Parabens	
Committee Discussion	172
Public Comment	180
Committee Discussion and Recommendation	184
PFAS	
Committee Discussion and Recommendation	188
Titanium Dioxide Nanoparticles	
Committee Discussion	200
Public Comment	205
Committee Discussion and Recommendation	208
Vinpocetine	
Committee Discussion	210
Public Comment	213
Committee Discussion and Recommendation	214
Zearalenone	
Committee Discussion	216
Public Comment	222
Committee Discussion and Recommendation	226
III Update of the California Code of Regulations Title 27 Section 27000 List of Chemicals Which Have Not Been Adequately Tested as Required	226
IV Staff Updates	
- Chemical listings via the administrative listing mechanisms	229
- Adopted and proposed safe harbor levels and other regulations	229
- Proposition 65 litigation	230
V Summary of Committee Actions	236

INDEX CONTINUED

	<u>PAGE</u>
Adjournment	239
Reporter's Certificate	240



1 tell you all about how to participate and go over some  
2 housekeeping items. So what we're going to want to do is  
3 for the participants viewing the meeting through the  
4 webcast, you're going to go ahead and use that link noted  
5 there. There will be up to a 10-minute delay. And if you  
6 would like to provide public comments, you will need to  
7 join the webinar also listed here on the screen.

8           Now, participants showing the webinar will have  
9 an opportunity to provide public comment during today's  
10 meeting by clicking on the raise your hand icon. You  
11 should be able to see that on the left tab of the  
12 GoToWebinar control panel now when the Committee Chair  
13 indicates that she is ready for public comment on that  
14 item. Now, each commenter will be limited to five minutes  
15 or fewer now at the discretion of the Chair. A voluntary  
16 online speaker card can be found, also listed here on the  
17 screen, which we'll invite you to fill out, if you plan to  
18 make a public comment. This will also help us ensure that  
19 we've heard from everyone who intends to speak.

20           Now, if you would like to present slides or have  
21 not previously sent them to OEHHA, please email them to  
22 the listed email address below now and we will show your  
23 slides when it's your turn to speak. Just tell us next  
24 slide and advance and we'll go ahead and do that.

25           Now, if you have a question regarding logistics,



1 for example, about getting a speaker card or presenting  
2 your slides, you may type your questions into the  
3 questions pane of the control panel anytime during the  
4 meeting. Now, please also by is that this is to assist  
5 with issues that may arise in the virtual meeting process,  
6 but is not a mechanism for providing public comment.

7 Now with that, I will go ahead and give the  
8 meeting back to the Director.

9 DIRECTOR ZEISE: Well, thanks so much, Jessica.

10 NEXT SLIDE

11 DIRECTOR ZEISE: Now, before we get into the  
12 substance of the meeting and I turn the meeting over to  
13 the Chair, I'd like to introduce the Committee and staff.

14 So first, I'll introduce the Committee. And if  
15 you could just -- as we're calling your name, if you could  
16 just kind of raise your hand so people can see. Your  
17 names are probably on everyone's screen, but it's kind of  
18 easier to catch if you just hold up your hand.

19 So first, I'll start. It will be just  
20 alphabetically and by last name. So first Dr. Patrick  
21 Allard, Patrick Allard, associate professor at the  
22 Institute of Society and Genetics, University of  
23 California, Los Angeles.

24 Dr. Diane Auyeung-Kim, Executive Director and  
25 head of GRED Non-Clinical Operations and Safety Assessment

1 at Genentech.

2 Dr. Laurence Baskin, professor of urology and  
3 chief of pediatric urology, University of California, San  
4 Francisco.

5 Dr. Carrie Breton, associate professor of  
6 preventative medicine, Keck School of Medicine, University  
7 of California -- Southern California.

8 Dr. Suzan Carmichael, perinatal and nutritional  
9 epidemiologist and professor of pediatrics at the Stanford  
10 University School of Medicine.

11 Dr. Irva Hertz-Picciotto, professor of  
12 epidemiology and Chief, Division of Environmental and  
13 Occupational Health at the University of California,  
14 Davis.

15 And you can't see on your screen yet, Dr. Ulrike  
16 Luderer, who is professor of Medicine, School of Medicine,  
17 and Director of the Center for Occupational and  
18 Environmental Health, University of California, Irvine.  
19 And, Dr. Luderer, do you want to say hello. We're having  
20 technical difficulties, so if you'd like to say hello.

21 CHAIRPERSON LUDERER: Yes. Thank you. And  
22 hello. And I apologize for the technical difficulties.

23 DIRECTOR ZEISE: We'll get them sorted out, so.

24 Okay. And then Dr. Isaac Pessah, Associate Dean  
25 and professor of Molecular Biosciences, School of

1 Veterinary Medicine, University of California, Davis.

2 Dr. Charles Plopper, Professor Emeritus, Anatomy,  
3 Physiology and Cell Biology, School of Veterinarian  
4 Medicine, University of California, Davis.

5 And Dr. Tracey Woodruff, professor, Department of  
6 Obstetrics, Gynecology and Reproductive Sciences and  
7 Director, Program on Reproductive Health and the  
8 Environment, University of California, San Francisco.

9 So welcome, Committee. Thank you for sharing  
10 your expertise today. Looking forward to the discussions.

11 Now, I'm going to introduce the OEHHA staff. And  
12 if you want to turn your camera on as we intro -- as I  
13 introduce you and then turn it off after. Allan Hirsch,  
14 our Chief Deputy Director; Carol Monahan Cummings, Chief  
15 Counsel; Sam Delson, Deputy Director for External and  
16 Legislative Affairs; Dr. Vince Cogliano, Deputy Director  
17 for Scientific Programs; and from -- good morning -- from  
18 the Reproductive and Cancer Hazard Assessment Branch, Dr.  
19 Martha Sandy, the Branch Chief; and Dr. Francisco Moran,  
20 Acting Chief, Reproductive Toxicology and Epidemiology  
21 Section. And then from Proposition 65 Implementation  
22 Julian Leichty, Special Assistant for Programs and  
23 Legislation.

24 So I don't see his camera coming on, but welcome  
25 staff. And now, I'll ask Carol Monahan Cummings, the

1 OEHHA Chief Counsel for some introductory remarks.

2 Carol.

3 CHIEF COUNSEL MONAHAN CUMMINGS: Thanks, Dr.  
4 Zeise. I'm just going to make some general comments now.  
5 But I just want to remind you that I can be available for  
6 any questions you have. Even if you can't see me, I'm  
7 still listening, and so just let me know if you have  
8 questions.

9 As you know, today's meeting concerns the  
10 prioritization of chemicals for potential future listing  
11 discussions. No chemical listings will be considered at  
12 the meeting today. Your discussion and recommendations  
13 concerning priority will inform OEHHA's decisions  
14 concerning potentially bringing a given chemical to the  
15 Committee for future consideration. Such advice is not  
16 binding, but is very helpful to us in planning future  
17 meetings.

18 Our scientific staff will explain the process in  
19 more detail shortly. OEHHA takes no position regarding  
20 whether a chemical should be prioritized or what level of  
21 priority that may be, though staff are available to answer  
22 questions or locate information for you if needed.

23 The Governor appointed you, because of your  
24 scientific expertise to be the State's qualified experts  
25 on reproductive toxicity of chemicals and there's no need

1 for you to feel compelled to go outside that charge. This  
2 Committee can consider human, animal, mechanistic or other  
3 data in making a recommendation to OEHHA on the priority  
4 of a given chemical.

5           If you need more information, need more time to  
6 consider the evidence or discuss it further before making  
7 a recommendation, there is no requirement that you make a  
8 recommendation on all two -- all 22 chemicals that are  
9 before you at this meeting. We can always hold any  
10 chemicals you don't get to over to a future meeting and we  
11 want to make sure you have enough time for discussion.

12           Feel free to ask clarifying questions of me or  
13 the other OEHHA staff during the meeting. If we don't  
14 know the answer to your question, we will do our best to  
15 find it and report it to you. Please, also remember that  
16 all discussion and deliberations need to be done during  
17 the meeting, not on breaks, lunch or with individual  
18 members on or offline, including by a phone, email, in the  
19 chats, or text messages or any other way of communicating.

20           Also, keep in mind that depending on the  
21 technology, even on your breaks, there may be the videos  
22 and the audio may be available to folks listening, and so  
23 make sure you mute and turn off your camera on breaks.

24           Is there any questions for you -- or for me? For  
25 you, there's a lot of questions.

1 (Laughter.)

2 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. Thank  
3 you.

4 DIRECTOR ZEISE: Thanks, Carol.

5 Okay. And now, we'll turn the meeting over to  
6 Dr. Luderer, the Chair of the Committee.

7 CHAIRPERSON LUDERER: Thank you, Dr. Zeise and  
8 good morning, everyone. Welcome, Committee members and  
9 all the members of the public who are also joining the  
10 meeting today.

11 So as you've heard already, the main item before  
12 the Developmental and Reproductive Toxicants  
13 Identification Committee today is to advise OEHHA on the  
14 priority of 22 chemicals or groups of chemicals for  
15 possible consideration for listing at a future meeting.  
16 And so the Committee again will not be making any listing  
17 decisions today.

18 So I'd like to now turn to Dr. Martha Sandy and  
19 ask her to make a staff presentation giving us an overview  
20 of the process today.

21 Dr. Sandy.

22 **PRIORITIZATION OF CHEMICALS FOR FUTURE REVIEW**  
23 **BY THE DEVELOPMENTAL AND REPRODUCTIVE TOXICANT**  
24 **IDENTIFICATION COMMITTEE (DARTIC)**  
25 **STAFF PRESENTATION**

1 DR. SANDY: Thank you, Dr. Luderer.

2 (Thereupon a slide presentation.)

3 DR. SANDY And, good morning to everyone. So as  
4 the Chair has just said, the main item today that we will  
5 discuss is prioritization of chemicals for possible future  
6 DARTIC review and listing considerations under Proposition  
7 65.

8 As several of our DARTIC members have joined the  
9 Committee since the last time we brought chemicals for  
10 prioritization, which was in 2015, I'm going to give a  
11 brief overview of the prioritization process.

12 Next slide, please.

13 NEXT SLIDE

14 DR. SANDY: So the purpose of the prioritization  
15 process is to identify chemicals for evaluation of  
16 developmental and reproductive hazard by the DARTIC.  
17 Specifically, we track chemicals that have some evidence  
18 of developmental or reproductive toxicity, which I will  
19 shorten to DART for the remainder of this talk and we then  
20 prioritizes among this large group of chemicals. The goal  
21 is to identify chemicals that you, the DARTIC, should  
22 evaluate. We want to focus your efforts on chemicals that  
23 are relevant to Californians, so we look at chemicals with  
24 apparent exposure in California and then we look at  
25 chemicals with the most information that suggests they

1 might have DART effects.

2 I want to emphasize that prioritization is a  
3 preliminary appraisal of the evidence of hazard. It's not  
4 a thorough comprehensive review like what we do when we  
5 develop hazard identification materials. The  
6 prioritization process is meant to be a quick screen of  
7 readily available data relevant to DART for a large  
8 number, hundreds of chemicals.

9 Next slide, please.

10 NEXT SLIDE

11 DR. SANDY: So this is a schematic representation  
12 of the prioritization process we follow. It's based on  
13 the top portion of figure 1 in OEHHA's 2004 prioritization  
14 process document that we've provided to you.

15 Let me walk you through this slide. We maintain  
16 a chemical tracking database shown at the top of this  
17 slide. And among the chemicals that are tracked, we  
18 identify those that have apparent exposure in California  
19 and some evidence suggestive of DART. This subset of  
20 tracked chemicals is called the candidate chemicals.

21 We apply focused data screens to those candidate  
22 chemicals. By that, I mean we conduct focused literature  
23 reviews in order to identify chemicals for which we find  
24 positive evidence of DART, either in human epidemiologic  
25 studies that meet the requirements of our human data





1           During the next round of prioritization in 2011,  
2 we applied an animal data screen to candidate chemicals in  
3 the DART tracking database that have been detected in  
4 human biomonitoring studies of the U.S. population  
5 conducted as part of NHANES, the National Health and  
6 Nutrition Examination Study, by the CDC's National  
7 Biomonitoring Program.

8           We brought five chemicals to the DARTIC for  
9 consultation at that time in 2011. In 2015, we reapplied  
10 a human data screen to 19 chemicals that in 2007 had had  
11 some, but not enough, human data to pass the screen. As a  
12 result of those efforts, we brought five chemicals to the  
13 DARTIC for consultation, in 2015.

14           In our most recent prioritization efforts, in  
15 2020, we applied both a human data screen and an animal  
16 data screen to candidate chemicals in the DART tracking  
17 database. For chemicals that passed either one or both of  
18 the human and animal data screens, we looked at the  
19 overall evidence by conducting a preliminary toxicological  
20 evaluation and identified 22 chemicals with the most  
21 compelling evidence to bring to you today for  
22 consultation.

23           Next slide, please.

24                           NEXT SLIDE

25           DR. SANDY: So now, I would like to focus

1 specifically on the part of the prioritization process  
2 shown here in this slide, where candidate chemicals are  
3 screened based on evidence of DART. This year in our  
4 screening process, we applied both a human and an animal  
5 data screen to the results of appropriately focused  
6 literature reviews designed to identify studies reporting  
7 DART effects in either humans or animals.

8 For chemicals that pass either or both of those  
9 screens, we proceed to the next step, as shown on this  
10 slide, in which we conduct a preliminary toxicological  
11 evaluation of the chemical. That entails consideration of  
12 the overall evidence from readily available information  
13 relevant to DART.

14 Based on these preliminary evaluations, we  
15 identify chemicals with the most compelling data as  
16 chemicals to bring to you for consideration, consultation,  
17 and ranking.

18 Next slide, please.

19 NEXT SLIDE

20 DR. SANDY: This slide summarizes the human data  
21 screen that we apply. It is meant to be a quick tool to  
22 identify candidate chemicals with some positive findings  
23 of DART that have been reported in humans. In order for a  
24 chemical to pass a screen, two or more acceptable,  
25 analytical, epidemiologic studies reporting adverse

1 effects for the same major DART endpoint were required.

2 Next slide.

3 NEXT SLIDE

4 DR. SANDY: This slide summarizes the animal data  
5 screen that we applied. As with the human data screen,  
6 this screen was designed as a quick tool to identify  
7 candidate chemicals with a certain minimum amount of  
8 relevant DART findings in animal studies. As shown here,  
9 there are several ways in which a chemical can pass the  
10 animal data screen.

11 The first is if a chemical has a minimum of one  
12 in vivo DART study that meets guideline standards for  
13 methodology and reporting and which reports at least one  
14 statistically significant DART finding.

15 The second is if a chemical has a minimum of one  
16 in vivo, non-DART, guideline quality toxicity study, such  
17 as a cancer bioassay or a chronic or subchronic toxicity  
18 study providing statistically significant evidence of at  
19 least one DART outcome in accordance with U.S. EPA  
20 guidelines for reproductive toxicity risk assessment.

21 The third is if a chemical has a minimum of five  
22 in vivo studies that do not meet guideline standards, but  
23 together appear to support a relationship between exposure  
24 and one or more specific DART outcomes.

25 And the fourth is if a chemical has results from

1 a minimum of one in vitro or non-standard species  
2 experiment reporting disruption of essential developmental  
3 or reproductive processes combined with in vivo data  
4 indicating that the upstream effect would result in one or  
5 more DART outcomes.

6 Next slide, please.

7 NEXT SLIDE

8 DR. SANDY: So this slide highlights where we are  
9 today in the prioritization process. We are at the stage  
10 of consulting with you, the DARTIC, on the 22 chemicals  
11 that we have proposed for Committee consideration.

12 Next slide, please.

13 NEXT SLIDE

14 DR. SANDY: And here we have listed each of the  
15 22 chemicals that we are bringing to you today for  
16 consultation. And I know this slide is rather -- the  
17 writing is small. I'll remind you that this table can  
18 also be found on page seven of the prioritization document  
19 OEHHA released in October 2020.

20 Here also, we are characterizing each of the  
21 chemicals in terms of exposure. We've characterized them  
22 as chemicals having widespread exposure, or having high  
23 exposure in frequent consumers, or as having occupational  
24 exposures, or other limited exposure. For example,  
25 exposure may be associated primarily with recreational or

1 subsistence fishing, and as having high exposure and  
2 infrequent consumers.

3 Next slide, please.

4 NEXT SLIDE

5 DR. SANDY: So today, we are asking you to  
6 recommend rankings for these 22 chemicals in terms of  
7 priority for preparation of hazard identification  
8 materials for possible future DARTIC review and possible  
9 listing under Proposition 65.

10 You will notice that we are asking you to rank  
11 these chemicals as either high priority, or medium  
12 priority, or no priority. And that's based on the  
13 information available at this time. Of course, as new  
14 information related to DART toxicity becomes available in  
15 the future, these priority Designations can be updated  
16 accordingly.

17 And now, I will turn this over to Deputy Director  
18 Dr. Vincent Cogliano to say a bit more about these three  
19 priorities categories.

20 And, Vince, I think you're muted.

21 DR. COGLIANO: Sorry. Sorry for being muted.

22 So thank you very much, Martha. So, you have 22  
23 chemicals today, so I'll be brief. Those of you who have  
24 been here before me, this is my first meeting, will  
25 remember that you've been asked to rank something as

1 either a priority or not a priority. But with 22  
2 chemicals what we'd like to do is get some sense of which  
3 of the ones that are most urgent for us to bring back with  
4 the health identification document in next year or in the  
5 near future.

6           So we'd like you to, as you're considering the  
7 priority rankings, if you decide that a chemical is a  
8 priority for us to develop a health assessment document --  
9 identification document and bring it back to you, the ones  
10 that are high priority should be the ones where there's  
11 really a good compelling case for DART effects or there's  
12 a very good public health case that there's widespread or  
13 important exposures that ought to be considered.

14           Medium priorities are chemicals that are still  
15 priorities but maybe don't meet the same criteria for  
16 being a compelling case for DART effects or also a high  
17 priority for public health impact. And, of course, you  
18 can still rank something as no priority if you don't think  
19 that it's something that we should be devoting our  
20 resources to bringing back before the Committee.

21           This will help us in determining what chemicals  
22 to bring back to you next time. Obviously, if everything  
23 is a high priority, it doesn't give us that kind of fine  
24 distinction between which ones should we focus on, first,  
25 given current data and which ones would be candidates

1 later.

2           As Martha just said, this is just based on  
3 current data today. Obviously, new studies that come out  
4 could change the attention that our staff gives in  
5 developing a hazard identification document. So with  
6 that, I'll relinquish the floor and wish you a good  
7 meeting -- a good and productive meeting and hope that you  
8 get through 22 chemicals today.

9           Thank you.

10           CHAIRPERSON LUDERER: All right. Thank you, Dr.  
11 Cogliano and Dr. Sandy.

12           So our next step is to begin considering each  
13 chemical. So we have kind of rough starting times for  
14 each chemical to meet the goal of discussing all 22 of the  
15 chemicals in one meeting. However, we can carryover  
16 chemicals if that isn't possible, so we can keep that in  
17 mind.

18           So I want to just briefly give an overview of the  
19 process by which we'll be discussing the chemicals one at  
20 a time. So I'm going to ask each of the lead discussants  
21 for each chemical to briefly summarize in one to three  
22 minutes their thoughts on the information on the chemical  
23 and whether it warrants priority for Committee  
24 consideration for listing at a future meeting.

25           And then I'll open up discussion to others on the



1 Committee. And subsequently, we'll take public comments  
2 on the chemicals, which will be limited to five minutes or  
3 less per speaker. And the DARTIC will then further  
4 discuss and provide a final recommendation on whether the  
5 chemical is viewed as high, medium, or not a priority for  
6 consideration by this Committee as a Proposition 65 DART  
7 Identification Committee at a future -- a future meeting.

8 And at the end of that discussion, I'll ask for a  
9 show of hands for high, medium, and not a priority, and  
10 then we'll record those -- those results.

11 So now I'd like to ask Jessica to go over the  
12 public comment process for us.

13 MEETING MODERATOR: Absolutely. So regarding  
14 that, let me go ahead and bring up an information for you.  
15 So with that -- let's see here. Give me one second. Now,  
16 what you're going to want to do is you just want to make  
17 sure if you're going to -- if you want to have any -- say  
18 any public comments or anything like that to raise your  
19 hand. Remember again, the icon will be next to -- if  
20 you're an attendee, it will be next to your name. You can  
21 raise your hand and I'll be able to unmute you, so you are  
22 able to --

23 DIRECTOR ZEISE: Excuse me for breaking in,  
24 Jessica. Were you putting up a slide or not?

25 MEETING MODERATOR: Yes, because I have the other

1 one up, let me bring up that slide again real quick for  
2 you. One second. Absolutely.

3 DIRECTOR ZEISE: Sure.

4 MEETING MODERATOR: All right. Sorry about that.  
5 So that should be the correct slide now that you are  
6 seeing. So, yeah, everyone that -- like I mentioned  
7 before, make sure to raise your hand on the left-hand tab  
8 of the control panel if you want to go ahead and make a  
9 public comment. And then also remember, you have the  
10 speaker card I spoke to you. You have the link there as  
11 well. And we had brought up the slides, if you want to be  
12 able to share those, you'd send them to that email that is  
13 listed.

14 And, yes, so go ahead and take a look at this for  
15 a few seconds and that's how you'll be able to -- you'll  
16 be able to make the public comments there.

17 Now, would like me to go ahead and put that list  
18 up again?

19 DR. SANDY: I don't think it's necessary at this  
20 time.

21 MEETING MODERATOR: Okay. Thank you.

22 **BENZOPHENONE-3**

23 **COMMITTEE DISCUSSION**

24 CHAIRPERSON LUDERER: All right. Thank you,  
25 Jessica. All right. Well, we're going to now start with

1 the first of our -- the 22 chemicals that we'll be  
2 discussing today with the benzophenone-3. And the lead  
3 discussants for this chemical are Dr. Patrick Allard and  
4 Suzan Carmichael. So why don't we start with Dr. Allard.

5 COMMITTEE MEMBER ALLARD: All right. Yes, good  
6 morning, everyone. Can you hear me well?

7 CHAIRPERSON LUDERER: Yes.

8 COMMITTEE MEMBER ALLARD: Yes. Okay. Good.  
9 Well, first of all, I -- very briefly, I want to say  
10 congratulations to the staff of OEHHA for putting such a  
11 useful document together. If I can just make one comment,  
12 in terms of summary, the often -- for example  
13 significance, statistical significance is often mentioned,  
14 but not the magnitude of the effects. And as a summary,  
15 it would have been great to actually have, you know, the  
16 odds ratio or just, you know, the -- again, the magnitude  
17 of effect -- the effect size listed, as in the summary of  
18 the document.

19 So for benzophenone, I applied what Dr. Cogliano  
20 mentioned, you know, balancing the public health aspect  
21 with the amount of data presented. And overall, I rank  
22 benzophenone-3 high for several reasons.

23 So it's an aromatic ketone that's commonly used  
24 in sunscreen as well as many consumer products for the  
25 purpose of UV protections and protection. And the reason

1 why I ranked it high was because of its widespread nature.  
2 As listed, it's found in the urine of close to 97 percent  
3 of people screened in the NHANES survey.

4           While it's not my specific area of expertise, I  
5 did find it concerning that epidemiological data from  
6 cohorts in different countries, so completely independent  
7 cohorts, reported a positive association between  
8 benzophenone-3 exposure and birth weight, which to me  
9 suggested an endocrine disruption mechanism, which is  
10 supported from the mechanistic aspect of the data.

11           What I really extracted from the document and  
12 from literature mining was -- as a point of significance  
13 is really the effect on the thyroid system, specifically  
14 decreasing T-3 and T-4. I did find it concerning that  
15 this was coming up from human studies, so, for example,  
16 the Aker et al. from 2018, as well as in vivo studies, for  
17 example, the zebrafish study from Tao et al. from 2020,  
18 which I do want to mention was performed at really low  
19 exposure levels in the nanomolar range.

20           So the fact that these studies aligned and  
21 there's -- most of these in vitro and other zebrafish  
22 studies that also point to an impact on the thyroid system  
23 thyroid signaling system for me gave weight and concern in  
24 that regard.

25           And also, there's other endpoints that were

1 mentioned and of concerns, including an effect in the  
2 nanomolar range on oocytes in whole ovary culture, in  
3 mice, I believe, which were also of concern. But it was  
4 really the thyroid bit that put me overall -- made me  
5 overall put the benzophenone-3 in the high category.

6 And that's the end of my comments.

7 CHAIRPERSON LUDERER: All right. Thank you, Dr.  
8 Allard.

9 Dr. Carmichael.

10 COMMITTEE MEMBER CARMICHAEL: All right. Hello,  
11 everyone. I agree with Dr. Allard, given that this is a  
12 very common exposure in things like sunscreen agents,  
13 studies at -- one exposure study estimated 95 percent of  
14 residents of LA that they sampled had detectable levels.  
15 Similar levels have been found in NHANES.

16 And then as far as the epi side, there were about  
17 15 studies looking at varied outcomes. So I believe --  
18 and many of them showed suggestive effects. So from that  
19 standpoint, I think that we need a further -- a more  
20 detailed dive into synthesizing that literature. They  
21 ranged in outcomes from mostly birth weight and  
22 gestational duration, some on thyroid hormones, age at  
23 menarche, placental weight, one-on-one birth defect, sex  
24 ratio, childhood fat mass and behavior. So there were --  
25 there were quite a range of outcomes that have been

1 studied.

2 So that's my summary.

3 CHAIRPERSON LUDERER: Thank you, Dr. Carmichael.

4 I'll now open up this chemical to Committee  
5 discussion. Do we have any comments from other Committee  
6 members. Please raise your hand and I can call on you.

7 Okay. I'm not seeing any raised hands.

8 **PUBLIC COMMENTS**

9 CHAIRPERSON LUDERER: We do have one public  
10 comment at least. I know one person Mr. Joe DiNardo who  
11 has asked to speak during the public comment period.

12 Do we have any other public commenters who wanted  
13 to comment on this chemical?

14 DIRECTOR ZEISE: Jessica or Julian, do you see  
15 any other hands or did you see

16 MEETING MODERATOR: No, no hands at this time.

17 CHAIRPERSON LUDERER: All right. Then can -- is  
18 Mr. DiNardo going -- have slides or commenting verbally  
19 only.

20 MR. LEICHTY: No slides.

21 CHAIRPERSON LUDERER: All right.

22 Mr. DiNardo, are you ready? Or Dr. DiNardo, I  
23 should say.

24 MEETING MODERATOR: Yeah, I went ahead and  
25 unmuted him.

1 DR. DiNARDO: Oh, no. No. Okay. Thank you very  
2 much. I appreciate it.

3 I'd like to thank everybody for at least looking  
4 at this compound. It's a very interesting molecule. My  
5 colleagues and I have been following it for about six  
6 years, specifically more on (inaudible) oral toxicity or  
7 embryo toxicity and not coral planula.

8 So again, I'm just very excited that you're  
9 putting this on a high level. The other thing I'd like to  
10 just mention to the Committee is that benzophenone-3, or  
11 oxybenzone, is used basically in sunscreens. That's  
12 (inaudible) percent, which is a fairly high level. Matta  
13 and FDA came out with a study in January I think of this  
14 year, 2020, and then previous year in February of 2019,  
15 which demonstrated its absorption potential.

16 And again, the molecular weight of the molecule  
17 is below or roughly around 228 (inaudible), which is  
18 (inaudible).

19 Other comments that I had is that I'd also like  
20 to have the ability to submit documents. There are  
21 several other publications that we're missing from the  
22 literature mining that you have done. But if that is  
23 feasible and you do go ahead and start looking at this  
24 molecule a little bit deeper, if there is a mechanism for  
25 me to supply information, that would be greatly

1 appreciated. And that's it.

2 CHAIRPERSON LUDERER: All right. Thank you very  
3 much. We appreciate your comments.

4 **COMMITTEE DISCUSSION AND RECOMMENDATION**

5 Now, we have time for any additional committee  
6 discussion?

7 Would any other Committee -- yes, Dr.  
8 Hertz-Picciotto.

9 You're muted still.

10 COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay.

11 CHAIRPERSON LUDERER: Great.

12 COMMITTEE MEMBER HERTZ-PICCIOTTO: Sorry. I'd  
13 forgotten where it was on the GoTo.

14 Yeah, I just wanted to comment that the fact that  
15 the exposure is really quite widespread, and I -- and  
16 Patrick gave a good summary kind of overview, but I was  
17 just -- in reading over the document from OEHHA, the fact  
18 that it's in all these cosmetic products, sunscreen. It's  
19 a major -- you know, it's in virtually all sunscreens and  
20 not -- very many of them that I've -- when I've looked at  
21 ingredients in sunscreen.

22 And -- and that there are studies showing how it  
23 does pass -- that it's found in, you know, in placental  
24 tissue, and you know, has -- it definitely is reaching the  
25 fetus, and that there's some effects there as well. And



1 that combined with the thyroid, which of, course, is  
2 critical for early development, that thyroid hormone  
3 homeostasis is absolutely critical to neurodevelopment,  
4 particularly in the -- in the first and early second  
5 trimester before the fetus itself is -- is producing its  
6 own thyroid hormones to me makes it seem quite important  
7 that we take this up.

8 CHAIRPERSON LUDERER: Thank you, Dr.  
9 Hertz-Picciotto.

10 Do we have any other comments or discussion from  
11 Panel members?

12 All right. If I'm not seeing any hands, then  
13 this would be the time for the panel to make final  
14 recommendations. So, as I said, I'll ask for a show of  
15 hands first for those panel members who believe that this  
16 chemical should be ranked as a high priority So please  
17 raise your hands and I will say your names.

18 (Hands raised.)

19 CHAIRPERSON LUDERER: Dr. Hertz-Picciotto, Dr.  
20 Carmichael, Dr. Baskin, Dr. Plopper, Dr. Auyeung-Kim, Dr.  
21 Pessah, Dr. Woodruff, Dr. Allard, Dr. Breton, and Dr.  
22 Luderer. All right. That is a unanimous vote, so we  
23 don't need to go on to voting for medium or no priority  
24 for benzophenone-3.

25

**BISPHENOL S**

**COMMITTEE DISCUSSION**

1  
2           CHAIRPERSON LUDERER: So moving on to our second  
3 chemical, bisphenol S. For this chemical, the lead  
4 discussants are again Dr. Allard and Dr. Woodruff as the  
5 other discussant on this chemical. Dr. Woodruff, would  
6 you like to start on this one?

7           COMMITTEE MEMBER WOODRUFF: Sure. I thought I  
8 was supposed to look at the toxicology, but can I talk  
9 about the epidemiology. First of all, this chemical, BPS,  
10 is a structural analog of BPA. And I just wanted to note  
11 that this Committee has already voted to declare that BPA  
12 is a reproductive toxicant for effects on the ovary at a  
13 previous meeting.

14           BPS is a substitute for BPA. And it's widely  
15 detected -- similar to what Dr. Allard said about  
16 benzophenone-3, it's widely detected in the U.S.  
17 population and appears to be increasing. There is a lot  
18 of studies that were identified by the DART. And I guess  
19 Patrick will talk about some of the toxicological  
20 evidence.

21           But I just would note, given just -- I would say  
22 because it's structurally similar to BPA, we have a lot of  
23 studies and there's widespread exposure that I would vote  
24 to make this a high priority chemical.

25           There's studies across multiple endpoints that

1 are relevant to the Committee. So there's studies that  
2 have looked at effects on birth weight as well as  
3 gestational duration. And while -- I also wanted to just  
4 note that -- that the Committee is doing prioritization  
5 and we haven't made a final ruling on all the studies and  
6 their evidentiary quality or what they say together. So  
7 I -- I appreciate that we have instructions about how to  
8 prioritize in terms of is there a lot of studies and do  
9 they indicate a direction of effect that is useful for us  
10 to consider in terms of a DART committee and a full  
11 assessment by OEHHA, but also because of the widespread  
12 exposure is another factor that is important for us to  
13 consider.

14           There is also a number -- so there's multiple  
15 studies looking at prenatal exposures to BPS with findings  
16 on adverse birth outcomes. There's also quite a few  
17 studies looking at things like neurodevelopmental  
18 outcomes, as well as effects on thyroid. So it will be  
19 interesting to see, should this be a high priority  
20 chemical, whether this is consistent cross the studies.  
21 And I'm going to -- I think, Patrick, you're going to  
22 talk. I just -- well, actually, I looked across at the  
23 animal studies too, I thought what was interesting about  
24 this chemical is that there was a number of studies that  
25 looked at developmental exposures and effects on mammary

1 glands development.

2 Not sure that's an effect that's been considered  
3 by this Committee, but I think it's really something  
4 important to consider in terms of developmental exposure  
5 and a future effect on health of the offspring. So that  
6 to me made this also a chemical that I thought -- in  
7 addition to potential reproductive effects such as has  
8 been seen with BPS on ovary was something worth -- should  
9 be looked at.

10 CHAIRPERSON LUDERER: Thank you, Woodruff.

11 Dr. Allard.

12 COMMITTEE MEMBER ALLARD: Yeah. I mean, I  
13 concur. I also ranked it high for several reasons. I  
14 think some of the pushback, I guess, against concerns with  
15 regards to BPS is the fact that it's still found at  
16 relatively low levels in the U.S. But if you look at  
17 Asian countries -- some Asian countries like Japan, where  
18 it's found at much higher levels, they've actually studied  
19 the substitution of BPA a lot earlier than in the U.S.  
20 And so the idea is that, oh, one thought is that perhaps  
21 in the U.S. we'll eventually catch up and the levels of  
22 substitutes to be BPA may actually increase overall in the  
23 population.

24 And I think more concerning is also  
25 unfortunately, you know, potential additive or synergistic

1 effects between still ongoing BPA exposure plus the  
2 substitutes. So I think it's worth looking at those BPA  
3 substitutes perhaps in a more concerted fashion.

4           The other thing that I think is really  
5 interesting about BPS is some of our own studies, and I  
6 hope that's okay to mention, as well as other people's  
7 studies, it has structural similarity. And what that  
8 actually shows up biologically as is conservation of  
9 pathways that are elicited by exposure to BPS, but it's  
10 also different.

11           And so, for example, there's a beautiful study  
12 from York et al. in -- from the group of Carol York --  
13 sorry -- in 2016 that exposed human pre-adipocytes to BPS  
14 and BPA and compared the two and showed, you know, overlap  
15 in pathways, but also distinct responses. And so you  
16 can't really look at it specifically and only through the  
17 lens of, you know, is it identical to BPA, because it does  
18 have distinct effects. So we need to also look at it  
19 separately from BPA as well.

20           So these are the parts that for me, in terms of  
21 widespread exposure, the fact that we're likely to be  
22 exposed more to it in the future as we have more and more  
23 substitution and we have more and more BPA-free products  
24 being sold to consumers.

25           And, of course, the vast array of endpoints that

1 were listed, and of high concern to me, and again aligning  
2 with some of our own results that were not mentioned here  
3 in alternative model systems, the fact that sperm and  
4 oocyte qualities were strongly impacted in studies that  
5 were done at or even below human physiological levels. So  
6 all of this together made me rank BPS quite high.

7 CHAIRPERSON LUDERER: Thank you, Dr. Allard.

8 We now have some time for Committee discussion.  
9 Would any of the other Committee members like to comment  
10 on this chemical? Please raise your hands.

11 Dr. Baskin.

12 COMMITTEE MEMBER BASKIN: Yes. I agree with  
13 Patrick and Tracey. One of the issues kind of more going  
14 forward, you know, there's, you know, BPA widespread  
15 probably. And all of us have a fair amount of it in our  
16 bodies unfortunately. BPS is going to catch up soon. And  
17 then the next step is going to be BPM, and, you know, BPE.  
18 And the chemical societies, you know, fortunately can, you  
19 know, change this quite quickly.

20 So is there a way to look more globally at this  
21 when you make, you know, one amino -- you know, one, you  
22 know, change of one carbon molecule in one part of the  
23 molecule in one part of structure even though it's  
24 relatively similar. You know, it's potentially going to  
25 have quite similar, you know, side effects. So as soon as

1 BPS ultimately gets on the list and we find that it's  
2 dangerous, there's going to be BPM. And I guess we're  
3 just going to have to stay ahead of the game here.

4 COMMITTEE MEMBER WOODRUFF: Can I -- can I ask a  
5 question.

6 CHAIRPERSON LUDERER: Thank you.  
7 Dr. Woodruff.

8 COMMITTEE MEMBER WOODRUFF: Yeah. I did notice  
9 that one of the things in -- or one of the items in the  
10 materials was - and we do have this for the neonics - is  
11 to consider the chemicals as a group. And I don't know --  
12 I mean, no one has really talked about doing that with the  
13 BP chemicals as a group. But it might be -- I just was  
14 wondering what you thought about that, OEHHA, about  
15 thinking about those chemicals as a group. Maybe you -- I  
16 mean, it's not -- probably not something to do right this  
17 minute, but in like a future thing to think about rather  
18 than -- and I think it's a good point that you're just  
19 kind of like one-offing these, is that the right way to  
20 address it? Kind of like you do with phthalates, for  
21 example.

22 CHAIRPERSON LUDERER: Dr. Pessah.

23 COMMITTEE MEMBER PESSAH: I just want to make  
24 sure. I think that is a good idea for those types of  
25 chemicals. But for other chemicals, which are very

1 diverse, like organophosphates, I think you can't group  
2 them, because their affects are, in fact, different and  
3 they have different cadres of developmental and neurotoxic  
4 effects. So we might want to not generalize totally  
5 across all classes of chemicals.

6 CHAIRPERSON LUDERER: Thank you. Did any -- did  
7 any of the OEHHA staff want to comment on that?

8 DR. SANDY: Yes. This is Martha Sandy. So we  
9 would take, you know, your advice into consideration, if  
10 you are suggesting we should look at a particular group.  
11 I wanted to say that in this particular set of 22, we --  
12 we only have one group we're bringing to you, which is a  
13 chemical and its salts, glyphosate and its salts. We did  
14 group together three other types of chemicals, but we're  
15 asking you to rank them individually.

16 Now, again, you can give us advice on whether  
17 you'd like to see them all as a group or -- and how big a  
18 group you'd like us to look at. But at the end of the  
19 day, we would, you know, be presenting you with materials,  
20 hazard identification materials, in a meeting for  
21 consideration for listing and you'd have to make a  
22 determination how far you could go in a listing.

23 CHAIRPERSON LUDERER: Dr. Woodruff, do you have  
24 another comment?

25 COMMITTEE MEMBER WOODRUFF: Well I -- yeah, I



1 just wanted to follow up on that. I mean, I agree with  
2 what you're saying. It just might be -- I mean, I guess  
3 we haven't really -- we haven't had -- we've had chemicals  
4 come before us where it's like there's a mixture of that  
5 chemical and its metabolites that people are exposed to,  
6 but we haven't really had a structure to think about  
7 chemicals as a group. And I feel like it's worth thinking  
8 about, because we're exposed to them as a group and  
9 together they could affect the same endpoints with the  
10 caveat that sometimes they have different endpoints. And  
11 I know we have many chemicals to go through today, but I  
12 do think it's something worth, like exploring as a more  
13 efficient way to approach this for future evaluation and  
14 discussion, but that's my recommendation on that, I guess.

15 CHAIRPERSON LUDERER: Thank you, Dr. Woodruff.  
16 Dr. Breton.

17 COMMITTEE MEMBER BRETON: Hi. I just wanted to  
18 follow up with Tracey's comment, because I think as we,  
19 you know, progress in the scientific literature too, many  
20 of these chemical families are being evaluated together in  
21 mixtures modeling approaches too. And so it's going to be  
22 that much harder. Like, they're being evaluated together,  
23 and they're -- so it's going to be harder to just -- to  
24 critique them separately, as more and more literature is  
25 actually looking at them together.

1 CHAIRPERSON LUDERER: Thank you.

2 **PUBLIC COMMENTS**

3 CHAIRPERSON LUDERER: Let's see, I don't see any  
4 other hands in the Committee. Do we have any public  
5 comments, anybody who requested to comment on this  
6 particular chemical?

7 MEETING MODERATOR: I'm not seeing any comments  
8 or hands raised on my end here.

9 CHAIRPERSON LUDERER: All right. Thank you,  
10 Jessica.

11 **COMMITTEE DISCUSSION AND RECOMMENDATION**

12 CHAIRPERSON LUDERER: Do we have any further  
13 Committee discussion on Bisphenol S?

14 All right. Then seeing no additional hands, we  
15 can move on to our final recommendation. So again, I'll  
16 ask everyone to raise their hands. First, we're going to  
17 be voting on classifying this in the high priority  
18 category. So please raise your hand hands and I'll call  
19 your names.

20 (Hands raised.)

21 CHAIRPERSON LUDERER: All right. Dr.  
22 Hertz-Picciotto, Dr. Baskin, Dr. Carmichael, Dr. Pessah,  
23 Dr. Auyeung-Kim, Dr. Woodruff, Dr. Allard, Dr. Breton, and  
24 Dr. Plopper, and Dr. Luderer.

25 All right. So the vote again was for this

1 chemical bisphenol S was unanimous among the Committee  
2 members.

3 **DIAZINON**

4 **COMMITTEE DISCUSSION**

5 CHAIRPERSON LUDERER: Let's see, I think, yes, we  
6 certainly have time for -- to move on to one additional  
7 chemical before we need to take a break. So the next  
8 chemical is diazinon. And the lead discussants for this  
9 chemical are Carrie Breton and -- Dr. Breton and Dr.  
10 Pessah. Dr. Breton, would you like to go first?

11 COMMITTEE MEMBER BRETON: Sure. Okay. So  
12 diazinon -- so this is a widespread organophosphate  
13 insecticide. It was banned for residential use since  
14 2005, but still used widely in agriculture. In terms of  
15 the human epi data, there are a couple different outcomes  
16 that have been evaluated with this chemical. But  
17 generally speaking, there's only one to two epi studies  
18 for each outcome.

19 So when I was looking at that, I kind of felt  
20 that the evidence for birth weight for instance is fairly  
21 equivocal. There's one study that found an association,  
22 another one that did not. The evidence for neuro  
23 outcomes, specifically autism spectrum disorder and just  
24 measures of cognition is probably the most compelling,  
25 although it's still limited in the number of studies that

1 have actually addressed these outcomes.

2           And then the evidence for reproductive effects is  
3 also suggestive and supported in addition by similar  
4 effects that are observed in rat studies. So on the  
5 whole, given the fact that it's -- it's been banned and  
6 that the body of evidence is still somewhat small for  
7 individual outcomes, I ranked this as a medium.

8           CHAIRPERSON LUDERER: All right. Thank you, Dr.  
9 Breton.

10           Dr. Pessah.

11           COMMITTEE MEMBER PESSAH: Thank you. I focused  
12 on the animal studies. It's very well known that diazinon  
13 as an organophosphorothioate is essentially designed to be  
14 a neurotoxicant through its activity on  
15 acetylcholinesterase and cholinergic systems. But the  
16 most -- more recent literature indicates that it has other  
17 effects that may be different from organophosphate induced  
18 acute toxicity. And these are possibly effects on  
19 serotonergic systems, which would, in fact, reinforce some  
20 of the epidemiology studies that suggest impacts on  
21 social -- development of proper social behavior.

22           It also seems to impact long-term expression of  
23 key receptors, not only serotonergic but also cholinergic  
24 receptors. The data, which is most compelling in rats  
25 that has come out of Duke in the last couple of years,

1 which infuse different paradigms, pulsatile versus  
2 constant infusion into pregnant dams, mainly rat studies,  
3 which produced quite significant developmental outcome in  
4 the offspring, including changes in risk-taking behavior  
5 and impairing novel object recognition, as well as having  
6 some cognitive decrements in the offspring.

7           So I tended to rate it, based on the animal  
8 studies, a bit higher than my colleague.

9           CHAIRPERSON LUDERER: Thank you, Dr, Pessah.

10           We have time for Committee discussion at this  
11 point.

12           Dr. Woodruff.

13           COMMITTEE MEMBER WOODRUFF: Yeah. I just wanted  
14 to clarity, it didn't -- it's been banned for residential  
15 use, but it's still being used agriculturally in  
16 California and it's quite widely used, right? So  
17 there's -- I think the thing which we had commented on  
18 before, which is that there's widespread population  
19 exposure to like benzophenone-3 and increasing to BPS, but  
20 that this one would be a concern, it seems like, for  
21 people who are working with it agriculturally. So  
22 occupational exposures and agricultural workers, I assume  
23 would have exposures to this chemical and that would --  
24 and probably higher exposures. So I think that would  
25 warrant concern about having us evaluate it or having high

1 priority for it, I guess, even the animal studies that Dr.  
2 Pessah talked about and are in the documents.

3 CHAIRPERSON LUDERER: Dr. Pessah.

4 COMMITTEE MEMBER PESSAH: Yeah, there is a study  
5 from the CHAMACOS which, you know, is a Berkeley-based  
6 study, but it's actually, at least in one county that they  
7 studied, Monterey County, it has the highest application  
8 rate of any of the organophosphates, kilograms used within  
9 one kilometer of residents during pregnancy. So the risk  
10 for developmental toxicity is there, because the exposures  
11 are there, both through oral intake as well as dermal and  
12 pulmonary intake, it is volatile.

13 CHAIRPERSON LUDERER: Any other comments from the  
14 Committee? Yeah, I wanted to also underscore that, that  
15 in these -- in the agricultural communities, the exposure  
16 is not only occupational, but I think also residential,  
17 due to living in closed proximity to areas where it's  
18 applicate -- applied.

19 All right. Thank you.

20 Do we have any public comment requests for  
21 diazinon.

22 Oh, Dr. Hertz-Picciotto. I'm sorry. I didn't  
23 see your hand there for a minute.

24 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah. I -- I  
25 find it -- this is -- this chemical, of course, has been

1 around for a very long time and has had -- you know, I  
2 mean and it's used globally in a big way. I think it's  
3 been maybe substituted with others -- other -- other  
4 organophosphates in its uses for the -- what used to be  
5 the home uses of course. And yeah, I find that, even  
6 though it's not a lot of studies in humans, which is a  
7 little surprising given how long it's been around, but  
8 what's there actually looks pretty compelling as a basis  
9 to let's move forward.

10 I'm also impressed that, you know, one of the big  
11 questions has been what is the mechanism for these longer  
12 term chron -- you know, these developmental effects from  
13 prenatal exposure. So much attention has paid to, you  
14 know, the acute toxicity and, you know, cholinesterase  
15 inhibition. And even that ends up being pulled up  
16 politically as well. There's not any poisonings going on  
17 anymore, because we, you know, cleaned up that part of the  
18 agricultural experience, which is not really true, but  
19 it's still going on.

20 But I think it's been a bit of a quandary, you  
21 know, really trying to pin down even for chlorpyrifos what  
22 is the mechanism by which, you know, it induces these  
23 neurodevelopmental effects from prenatal exposures. And  
24 so I thought it was really interesting what you were  
25 saying, Dr. Pessah, about the serotonergic and some other

1 mechanisms that -- that the evidence seems to be  
2 converging around.

3           So I find that to be another argument for kind of  
4 raising it in -- not that we need to know the mechanism  
5 necessarily, but that that helps -- helps to buttress the  
6 argument for putting this as a high priority.

7           CHAIRPERSON LUDERER: Dr. Allard.

8           COMMITTEE MEMBER ALLARD: Yeah. I also picked up  
9 on the serotonergic aspect of it. And what I think is  
10 interesting is, you know, in going in line with what we  
11 just said and mentioning chlorpyrifos, chlorpyrifos is  
12 another organophosphate that is not just working through  
13 cholinergic system and there's evidence in rats that -- or  
14 pretty compelling evidence in rats that it also affects  
15 the serotonergic systems.

16           So talking about, you know, the specificity of  
17 the chemical for the cholinergic system is -- as the only  
18 mode of toxicity would not necessarily be reasonable as an  
19 argument to make, because it seems to -- these  
20 organophosphates seem to be affecting the serotonergic  
21 system as well, and we need to be considering this for  
22 evaluation. That's my take.

23           CHAIRPERSON LUDERER: Thank you.

24                           **PUBLIC COMMENTS**

25           CHAIRPERSON LUDERER: Jessica, do we have any



1 requests for public comments?

2 MEETING MODERATOR: We actually do. So it's  
3 going to be Claire Koenig. And Claire, I'm going to go  
4 ahead and unmute you now. You are still self-muted, so  
5 once I click it, go ahead and click it on your side as  
6 well.

7 MS. KOENIG: Hi. Can you hear me now?

8 CHAIRPERSON LUDERER: Yes.

9 MEETING MODERATOR: Yes.

10 MS. KOENIG: First, I just want to thank the  
11 Committee for taking the time to do their due diligence  
12 and review the database for diazinon. I'm speaking today  
13 on behalf of Adama Agricultural Solution Limited.

14 And the point I wanted to raise during my comment  
15 specifically is about exposure for Californians. Since  
16 the commenting period closed, it came to the attention of  
17 Adama that implementation of the federal agency's  
18 Endangered Species Act will ultimately lead to the  
19 eventual cancellation of the majority of diazinon  
20 agricultural uses in California and ultimately thus a  
21 reduction in human exposure potential.

22 While the timeline for implementation has not  
23 been solidified, I thought this was relevant for  
24 consideration during prioritization of the molecule by the  
25 Committee. And that's it.

1 CHAIRPERSON LUDERER: Okay. Thank you for your  
2 comment.

3 **COMMITTEE DISCUSSION AND RECOMMENDATION**

4 CHAIRPERSON LUDERER: Do we have any further  
5 discussion by the Committee -- members of the Committee?

6 All right. Then we can move on to the final  
7 recommendation. So again, I will ask for a show of hands  
8 for each of the possible vote -- categories in which we  
9 can place diazinon. So please pray -- raise your hands if  
10 you vote to make this a high priority chemical or  
11 recommend that it become a high priority chemical.

12 (Hands raised.)

13 CHAIRPERSON LUDERER: All right. Dr.  
14 Hertz-Picciotto, Dr. Baskin, Dr. Carmichael, Dr. Pessah,  
15 Dr. Auyeung-Kim, Dr. Allard, Dr. Plopper, Dr. Woodruff,  
16 and Dr. Luderer. All right.

17 So do we have any votes for medium priority?

18 (Hand raised.)

19 CHAIRPERSON LUDERER: Dr. Breton.

20 And I think that is everyone on the Committee.  
21 So we have one vote in the medium priority and the  
22 remaining votes in high.

23 All right. Thank you.

24 **DIETHYL PHTHALATE**

25 **COMMITTEE DISCUSSION**

1 CHAIRPERSON LUDERER: We can then -- we're making  
2 very good progress here. We can then move along to  
3 diethyl phthalate. So the lead discussants for diethyl  
4 phthalate are Dr. Baskin and Dr. Woodruff.

5 Dr. Baskin, would you like to begin?

6 COMMITTEE MEMBER BASKIN: Yes. Can everybody  
7 hear me?

8 CHAIRPERSON LUDERER: Yes.

9 COMMITTEE MEMBER BASKIN: Okay. Let me just grab  
10 my -- okay. So this one is also a little bit problematic  
11 in the sense that when you want to study one phthalate,  
12 you're going to be trying to study all of them, and you're  
13 going to be studying, you know, all the metabolites. You  
14 know, but saying that, I was able to, you know, look at a  
15 number of these papers. And quite frankly, I felt -- I  
16 felt that there was actually a relatively low reason to  
17 list this. There were a few animal studies, some showed  
18 that there, you know, may be an effect. But a lot of  
19 confounding variables. And most of the studies actually  
20 didn't really relate to reproductive, you know, issues  
21 related to the phthalates.

22 Probably the once -- the one study that was  
23 potentially most interesting was from the National  
24 Toxicology Program way back in 2006 when they did, you  
25 know, a huge analysis of all the papers from that time

1 earlier. And the human study, which, of course, is of  
2 most interest could really be questioned in terms of its  
3 methodology. And the animal studies weren't, you know,  
4 particularly conclusive.

5           There was also a WHO study back in 2003, which,  
6 of course, showed some subtle changes in sperm analysis,  
7 sperm concentration. But, of course, as has been typical  
8 really no change in fertility, so it's unclear whether  
9 that relates to phthalates or the million of other things  
10 that we're exposed to.

11           So in summary, I had actually a low sense here  
12 that this should be looked at for further issues based on  
13 the studies given.

14           CHAIRPERSON LUDERER: Thank you, Dr. Baskin.

15           Dr. Woodruff.

16           You're muted it looks like.

17           CHAIRPERSON LUDERER: No, we still can't hear  
18 you.

19           No. We still cannot hear you. I wonder if we  
20 can get some technical help possibly.

21           COMMITTEE MEMBER ALLARD: You're going through  
22 your phone, Tracey, right?

23           MEETING MODERATOR: Yeah. So I have -- she  
24 joined through the phone. So I just sent her the pin  
25 number, so we're able to unmute. I just sent it again.

1           And, Tracey, if you can hear me, the pin number  
2 is pound 71526 pound.

3           Yeah. It looks like she got switched over to  
4 phone. I'm going to go ahead and assist her real quick.

5           CHAIRPERSON LUDERER: All right. In the  
6 meantime, do we have any other -- any discussion or  
7 comments from the Committee at this point?

8           Dr. Allard.

9           COMMITTEE MEMBER ALLARD: Yeah. I mean, it's  
10 clear that it doesn't have the same potency as other types  
11 of phthalates, like DHP. What I think was striking from  
12 the in vivo data I presented is that a lot of it showing  
13 positive effects was collected at extremely high levels,  
14 so we're talking about in the gram per kilogram per day,  
15 15,000 ppm. So I -- I ended up, you know, sort of leaning  
16 also towards, I guess, more of a low than a no. I wish  
17 there was a low category as opposed to no to medium.

18           But, yeah, so in the category that does not  
19 exist, I was -- I was ranking towards a low.

20           COMMITTEE MEMBER PESSAH: I think I put it in the  
21 low category even though that doesn't exist, Patrick.

22           CHAIRPERSON LUDERER: Thank you.

23           COMMITTEE MEMBER WOODRUFF: Can you hear me now?

24           CHAIRPERSON LUDERER: Yes, we can hear you now.

25           COMMITTEE MEMBER WOODRUFF: Okay.

1 CHAIRPERSON LUDERER: Go ahead.

2 COMMITTEE MEMBER WOODRUFF: I just wanted to say  
3 that while I agree that the studies are not -- let me turn  
4 this off. There is some challenges with them, actually  
5 there's widespread exposure to the phthalates. So I think  
6 that made me concerned that it is -- while I wouldn't say  
7 there's no concern about this, I would say it is possibly  
8 medium in terms of having a look at what -- the studies  
9 that have been done. I think there's going to be more  
10 studies of this as this is -- the science evolves, because  
11 there's -- this chemical is, you know, widespread and  
12 there is some concern about the -- that there is sometimes  
13 effects found in the human studies that are not seen in  
14 the animal studies, so there might be some differences  
15 between the two groups.

16 So, I mean, I agree that the science is not as  
17 compelling as the previous chemicals we discussed, but I  
18 still think it's worth keeping it on the radar for OEHHA,  
19 given that there's widespread exposure to it.

20 CHAIRPERSON LUDERER: Thank you, Dr. Allard --  
21 Dr. Woodruff, sorry.

22 **PUBLIC COMMENTS**

23 CHAIRPERSON LUDERER: Do we have any comments --  
24 any public comments, Jessica?

25 MEETING MODERATOR: I am not seeing any hands

1 raised at the moment. As for -- let's see here in the  
2 questions pane. No, not at this time.

3 CHAIRPERSON LUDERER: All right. Thank you.

4 **COMMITTEE DISCUSSION AND RECOMMENDATION**

5 CHAIRPERSON LUDERER: Do we have any further  
6 discussion from the Committee?

7 Dr. Hertz-Picciotto.

8 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah, this is  
9 something of a question for the OEHHA staff. And so as  
10 I'm noticing in your document, you know, there's this  
11 Table 3, which is basically about exposure levels, in a  
12 variety of different studies. And then many of those  
13 studies, they're -- they're not -- there's nothing  
14 reported under human epidemiologic studies. So I was just  
15 wondering whether -- that there were null studies that you  
16 just -- you didn't actually list in where you're going  
17 through the evidence, and -- because that -- you know,  
18 whether -- whether there's no studies versus there's  
19 studies that actually have null findings to me, it's kind  
20 of -- it makes a difference in how I -- how I view it.

21 DR. SANDY: So this is Martha Sandy with OEHHA.

22 As we said in this particular summary for DEP, we  
23 looked at epidemiologic studies that we identified within  
24 the last -- with an emphasis on those published in the  
25 last two years. This again we're screening many, many

1 chemicals. It's not a comprehensive literature search.  
2 So these are the studies that came to our attention.  
3 We -- we are not saying that we've -- it's a comprehensive  
4 list.

5 CHAIRPERSON LUDERER: Thank you, Dr. Sandy.

6 And I would add that there are other  
7 epidemiological studies earlier than the last two years.

8 Dr. Breton.

9 COMMITTEE MEMBER BRETON: Yeah. I actually  
10 wanted to ask another -- a clarifying question too as  
11 we're going through these. And so I approached these a  
12 little bit as -- my assumption is that it's not going to  
13 be beneficial if all of the chemicals on this list are  
14 ranked high, because then we haven't helped you in any  
15 way, right?

16 So I just want clarification that that -- I mean,  
17 that's how I approached the whole list of chemicals. So  
18 I -- you know, so they were definite -- they were -- you  
19 know, the evidence that I had to work with I ranked in my  
20 mind relative to the other sets of chemicals to be able to  
21 have some low, some medium, and some high. So I just kind  
22 of want to get a sense from you that that -- I  
23 approached -- you know, that that was a reasonable  
24 approach in this.

25 CHAIRPERSON LUDERER: Dr. Sandy, could you



1 perhaps comment on that?

2 DR. SANDY: Yes. I'll let -- as Dr. Cogliano had  
3 said earlier, yes, that it is much more helpful if we get  
4 your sense of what are the most important chemicals to  
5 bring to you in the future for listing consideration. So  
6 if they were all given the same priority, that would not  
7 be very helpful to us.

8 COMMITTEE MEMBER BRETON: Okay.

9 CHAIRPERSON LUDERER: Dr. Woodruff, you had an  
10 additional comment.

11 COMMITTEE MEMBER WOODRUFF: Well, yeah, I just  
12 was -- I just wanted to know -- follow up, because Martha  
13 said that the epi studies only -- or even the animal  
14 studies only cover the last two years, and this chemical  
15 has been studied for a while, which I think Dr. Baskin has  
16 talked about a little bit. And I -- I had a question just  
17 following up on the comment about the priorities. Well,  
18 actually how many chemicals do you think you would --  
19 after you get the recommendations, do you think you'll do  
20 over the next, is it like year or two from this list. And  
21 if something comes up, say somebody publishes a bunch of  
22 stuff on say this chemical, would that then come back to  
23 the Committee and say, oh, my gosh, look at all this new  
24 stuff or we could tell you that?

25 DR. SANDY: Prioritization is an ongoing process.

1 And we realize there are new studies coming out all the  
2 time that can raise the concern or lower the concern about  
3 different chemicals. So we do take all of that into  
4 account. And we may choose to bring something to you that  
5 is clear. We may consult with the Chair as we did with  
6 cannabis, for example -- cannabis smoke recently.

7 COMMITTEE MEMBER WOODRUFF: Oh, right. I  
8 remember that.

9 DR. SANDY: Or we may bring -- you know,  
10 prioritize it again and bring it back to you. It will  
11 depend on the circumstances, the data that are available.

12 So back to what -- how many years we're looking.  
13 If there's a lot of literature, and we think it -- just  
14 looking in the last two years. So for -- we -- we tried  
15 to spell it out. We emphasized the epi studies for the  
16 last two years. We don't have that same statement for DEP  
17 when we talk about the animal studies. Okay. So we  
18 didn't limit it to the last two years.

19 We -- again, we're not claiming that we have done  
20 an exhaustive literature search for all studies that are  
21 relevant on DART effects for DEP. That would take us too  
22 much time and defeat the purpose of trying to do a quick  
23 prioritization screen to identify the ones that we think  
24 are important to look at.

25 CHAIRPERSON LUDERER: Thank you. That's very

1 helpful. Dr. Baskin, you had a comment as well.

2 COMMITTEE MEMBER BASKIN: Yes. I was just going  
3 to make sure I understood this. Are we voting like high,  
4 medium, low now, is that kind of what you want from us,  
5 because a I agree with the prioritization. We can't just  
6 say all 25 of them, you know, are dangerous. I mean,  
7 oxygen is dangerous if you get it in too high of a level  
8 and we're certainly not going to study that, so just a  
9 little bit of guidance.

10 DR. SANDY: We would like to hear from you if you  
11 think there's a chemical that right now you don't think  
12 should be given a priority based on the evidence we know  
13 now. And then we find that a low priority is not very  
14 helpful, because a low is kind of like a no. We'd like  
15 to -- thinking that this is an ongoing process, and  
16 science evolves, and there's always new information,  
17 right?

18 So really, what do you think are the highest  
19 priority chemicals for us to focus on. And then what's  
20 something else that's also really important, you think  
21 it's compelling to bring it to you some time, but it's a  
22 medium. It's slightly lower priority. And then which  
23 ones are -- have less priority, so we'd like those to be a  
24 no for now.

25 COMMITTEE MEMBER BASKIN: Got it.

1 DR. SANDY: And I'll turn to Dr. Cogliano if he  
2 wants to elaborate on what I've just said.

3 COMMITTEE MEMBER BASKIN: So as scientists, you  
4 know, we're always going to find, you know, some concern.  
5 There's, you know, P values. You know, there's level of  
6 evidence. And so you're basically telling me that I  
7 should probably say no for this one, because I didn't  
8 think it was -- I thought it was low but you don't want to  
9 give me a low, so I'm going to say no. But I like the way  
10 that you've -- I get it, where the other ones it was  
11 clear. Like, yes, I'm worried about it. So I get it, so  
12 I think that was very helpful.

13 COMMITTEE MEMBER AUYEUNG-KIM: But there's  
14 (inaudible), where if we do say no to this, that if more  
15 data comes out, you can prioritize it, correct?

16 CHAIRPERSON LUDERER: Yes.

17 DR. SANDY: Yes

18 DR. COGLIANO: Yes, absolutely.

19 CHAIRPERSON LUDERER: Dr. Pessah.

20 COMMITTEE MEMBER PESSAH: I also -- because I  
21 heard that diazinon may be actually registration ceased  
22 due to Endangered Species Act, that I wasn't aware of,  
23 that that one has made it into the high category. But  
24 should that cancellation of registration occur and the  
25 ramp down period is relatively quick, diazinon doesn't

1 persist in the environment and so we may want to take it  
2 off the list, depending on what the circumstances are.

3 CHAIRPERSON LUDERER: And the staff can comment  
4 on that. But I assume that that would be something that  
5 staff would be taking into account when they're deciding  
6 when chemicals to bring to the Committee for listing,  
7 correct, Dr. Sandy?

8 DR. SANDY: That's correct. That's correct.

9 CHAIRPERSON LUDERER: Thank you.

10 Dr. Woodruff, did you have another comment?

11 COMMITTEE MEMBER WOODRUFF: No.

12 CHAIRPERSON LUDERER: All right. Do we have a --  
13 any public comments?

14 MEETING MODERATOR: I am seeing no hands or  
15 comments at this time.

16 CHAIRPERSON LUDERER: All right. Thank you.  
17 Then we can go on to our -- making our final  
18 recommendation on diethyl phthalate. So I'll -- again as  
19 before, I ask everyone on the -- anyone on the Committee  
20 who would put this in the high priority category to please  
21 raise your hands.

22 (No hands raised.)

23 CHAIRPERSON LUDERER: Okay. I see no hands for  
24 high.

25 The medium priority?

1 (No hands raised.)

2 CHAIRPERSON LUDERER: I am seeing no hands for  
3 medium.

4 No priority?

5 (Hands raised.)

6 CHAIRPERSON LUDERER: Dr. Hertz-Picciotto, Dr.  
7 Baskin, Dr. Carmichael, Dr. Pessah, Dr. Auyeung-Kim, Dr.  
8 Allard, Dr. Breton, Dr. Plopper, Dr. Woodruff, and Dr.  
9 Luderer. So that's all the Committee members voted to not  
10 prioritize diethyl phthalate.

11 All right. So I believe now is the time when we  
12 need to take a break for 10 minutes. It's 11:20. So  
13 unless there's something -- is there anything that the  
14 staff would like to bring up before we take a break?  
15 We'll take a break for ten minutes until 11:30.

16 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah. This is  
17 Carol. I just want to remind everybody that it's possible  
18 that you could be seen or heard, so if you want to turn  
19 off your camera and your mic, while you're on break,  
20 that's great. And just a reminder not to communicate with  
21 each other on these chemicals that you're looking at  
22 today.

23 Thank you.

24 CHAIRPERSON LUDERER: Thank you very much.

25 All right. We'll see everyone again at 11:30.

1 Thank you.

2 (Off record: 11:20 a.m.)

3 (Thereupon a recess was taken.)

4 (On record: 11:33 a.m.)

5 **DOMOIC ACID**

6 **COMMITTEE DISCUSSION**

7 CHAIRPERSON LUDERER: All right. So now we can  
8 go ahead and get started moving on to the next chemical  
9 for discussion, which is domoic acid. And the lead  
10 discussants are Dr. Pessah and Dr. Hertz-Picciotto. Dr.  
11 Hertz-Picciotto, would you like to start this one?

12 COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay. I was  
13 actually hoping that Dr. Pessah would start.

14 CHAIRPERSON LUDERER: We can do that.

15 COMMITTEE MEMBER HERTZ-PICCIOTTO: There is no  
16 human epidemiologic evidence, but I'll just give it kind  
17 of opening thing, which is that, you know, Domoic Acid is  
18 produced by harmful algal blooms, which are becoming more  
19 and more common along the Pacific coastal waters. And to  
20 my understanding actually OEHHA and others at the State  
21 Health Department have kept this problem at bay with  
22 regard to our food supplier -- our seafood supply through  
23 very, very close monitoring of the waters, and the  
24 fisheries, and so forth along our coast.

25 There are some studies I noted that are on

1 ongoing. One of them is in the Washington area with the  
2 Native American populations that these -- I forgot what  
3 kind of plants they are -- the name of it, but it's a  
4 really important part of their cultural heritage. And  
5 that is one population that has had some very high  
6 exposures and there are some studies now ongoing there.

7           Our environmental health sciences center did  
8 sponsor a workshop of scientists on domoic acid. But at  
9 this point, I don't see a particular -- you know, any  
10 imminent issues in regard to human health, partly because  
11 of what's in place currently for monitoring. And, you  
12 know, the data on wildlife is actually pretty compelling.  
13 That's the -- it's a terrible neurotoxin. And, you know,  
14 beaching sea lions and many other sea mammals where -- who  
15 have to eat the seafood - that is their source of  
16 sustenance - have been suffering quite greatly. But as  
17 far as human exposures, I think, at least as far as  
18 California goes, I don't see that being any kind of major  
19 issue to be taken up. That's it.

20           CHAIRPERSON LUDERER: Thank you.

21           Dr. Pessah.

22           COMMITTEE MEMBER PESSAH: So I would have to  
23 agree about the exposure and the sort of the monitoring  
24 that, you know, tries to restrict exposure through  
25 seafood. That's clearly a regulatory sort piece that



1 limits exposure. And then unfortunately I read the  
2 Burbacher studies that just were published a few weeks ago  
3 or maybe a few months ago in the non-human primate, where  
4 they adjusted doses to the near regulatory levels. And  
5 the neurotoxicity and the developmental toxicity that they  
6 report were really troubling actually.

7           These were in utero exposures in non-human  
8 primates and they looked at a number of behavioral  
9 outcomes, as well as imaging of the brain and other  
10 metrics of hippocampal health and they found some rather,  
11 you know, dramatic differences at these exposures, which  
12 are near the regulatory levels. And so I don't know if  
13 there's single regulatory level across states like I'm  
14 sure they were referring to maybe Washington State  
15 regulations. But if not, and if we're all kind of in the  
16 same ballpark in terms of the monitoring and then the  
17 flags going up for seafood content of these, domoic acid,  
18 this is one chemical we know a lot about the mechanism of  
19 action. And we know that that mechanism causes harm, both  
20 developmentally, because domoic acid crosses the placental  
21 barrier, but also the very high sensitivity of the  
22 developmental stages to domoic acid.

23           So I would have actually placed it in the medium  
24 category, if it wasn't for these recent studies that seem  
25 to raise flags about very low levels that are near current

1 regulatory warning levels. So I moved it up to high.

2 Sorry.

3 CHAIRPERSON LUDERER: Thank you. And those are  
4 studies that are not in the document, is that correct?

5 COMMITTEE MEMBER PESSAH: I'm sorry?

6 CHAIRPERSON LUDERER: Those are so new that  
7 they're not -- they haven't -- weren't reviewed for this  
8 document, to clarify.

9 COMMITTEE MEMBER PESSAH: No, they're not in --  
10 no, they're not in it.

11 CHAIRPERSON LUDERER: Yes, right. Just wanted to  
12 make sure that everyone understood that.

13 Dr. Woodruff, do you have -- did you have a  
14 comment? I thought I saw you raise your hand.

15 COMMITTEE MEMBER WOODRUFF: No, I didn't raise my  
16 hand.

17 CHAIRPERSON LUDERER: Okay.

18 COMMITTEE MEMBER WOODRUFF: But I do have a  
19 question though, since you called on me. So in this --  
20 one of the factors for considering this is kind of public  
21 health significance. And I -- I guess I'm wondering  
22 how -- if we looked at the chemical, how that change --  
23 because the State of California is already doing pretty  
24 intensive monitoring and evaluation for this toxicant,  
25 because of the seafood implications.

1           So I don't know. To me, some of the other  
2 chemicals we're looking at are not being paid attention to  
3 as much in the regulatory process, so it has more of a  
4 public health significance that we -- we recommend it for  
5 high. So I wasn't completely sure. I know we're just  
6 weighing in on the science. But I know OEHHA is involved  
7 in this regulatory compliance. Maybe they could comment  
8 on what happens if it's listed, how that changes what's  
9 going on?

10           CHAIRPERSON LUDERER: Dr. Sandy perhaps or Dr.  
11 Cogliano.

12           DR. COGLIANO: This is Vince Cogliano. I'll --  
13 Go ahead, Martha, were you going to -- No.

14           Yes. As you pointed out, OEHHA and the State of  
15 California has a strong program of monitoring domoic acid  
16 in shellfish. It has made fisheries closures/reopenings  
17 based on levels of domoic acid. And it's based on the --  
18 right now on the neurotoxic potential and it's a very  
19 strong neurotoxicant as also been mentioned.

20           If it were listed under Prop 65, that would be  
21 part of the scientific justification for a fee for  
22 potential fishery closure. And if we developed a maximum  
23 acceptable daily level, or a MADL, if that became more  
24 important than the neurotox standard, we would -- it could  
25 potentially change the -- change the level. But right

1 now, we do have an active program. We've closed fisheries  
2 because of high levels of domoic acid in shellfish and  
3 will continue to do so.

4 COMMITTEE MEMBER HERTZ-PICCIOTTO: Do you know --  
5 Martha, anything to add or Lauren?

6 COMMITTEE MEMBER HERTZ-PICCIOTTO: Also, if one  
7 of you can address the issue of -- and I understood that  
8 there has been discussions among the State health  
9 departments of, you know, Washington, Oregon, California  
10 are -- is our current regulatory standing the same as what  
11 Washington, because that's apparently what the Burbacher  
12 paper, it sounds like, used as their -- you know, their  
13 measure of exposure in the non (inaudible) studies.

14 DR. COGLIANO: I'm not prepared to discuss the  
15 levels of the other specific states. I know that the  
16 states do coordinate very closely on how they look at  
17 domoic acid. I can find that information out and get back  
18 to you.

19 COMMITTEE MEMBER HERTZ-PICCIOTTO: It seems  
20 relevant to this decision.

21 DR. COGLIANO: Okay. Well, I will --

22 CHAIRPERSON LUDERER: Thank you.

23 DR. COGLIANO: I will check then on the levels of  
24 the other -- of the other Pacific states and how they  
25 compare with California's levels and take a little bit of

1 time to get back to you.

2 CHAIRPERSON LUDERER: Okay. Thank you.

3 Dr. Carmichael.

4 COMMITTEE MEMBER CARMICHAEL: And I just want to  
5 just once again be clear about what our call is. I mean,  
6 because there's a lot -- there's multiple levels of  
7 deciding what actually comes for full review, right? And  
8 this is -- this is just one level. So even if  
9 hypothetically we said that all of these were high, then  
10 OEHHA then takes all these types of things into  
11 consideration, some of which we really aren't called to  
12 figure out, I guess, to decide then a priority for full  
13 review. Like, it came up with diazinon also, for example.

14 DR. COGLIANO: Yes. As Martha had said earlier,  
15 prioritization is an ongoing process. So, yeah, this is  
16 one factor and it's as of today. If other information  
17 comes out, if it becomes clear that this is of higher or  
18 lower public health importance to bring it to the  
19 Committee, that would factor into our decision.

20 COMMITTEE MEMBER CARMICHAEL: And that's where I  
21 thinking about the low versus -- or the -- I started  
22 thinking about low versus no. I kind of think of the no  
23 as low, because all of these were brought to us in the  
24 first place, because there was some -- some evidence of  
25 concern. So just trying to put -- put all this into

1 perspective.

2 DR. COGLIANO: Right. The reason for have -- not  
3 having a low priority category is the -- just to be clear,  
4 if you were to tell me that something is low priority, I  
5 can't interpret that as saying don't really work on that  
6 right now. This isn't -- its --

7 COMMITTEE MEMBER CARMICHAEL: Okay. That's fair  
8 enough.

9 DR. COGLIANO: So low priority is a bit of a  
10 mixed message. So we want to void that to be clear.

11 COMMITTEE MEMBER CARMICHAEL: Got it.

12 CHAIRPERSON LUDERER: Okay. Thank you.

13 DR. COGLIANO: Thank you. I will go check on the  
14 domoic acid and get back.

15 CHAIRPERSON LUDERER: All right. Do we -- I  
16 guess one of -- the question is do we want to wait for  
17 that information before making our final recommendation on  
18 this chemical.

19 **PUBLIC COMMENT**

20 CHAIRPERSON LUDERER: But before we talk about  
21 that, I just want to ask Jessica if there are any public  
22 comments on domoic acid?

23 MEETING MODERATOR: At this time, there are no  
24 hands raised.

25 CHAIRPERSON LUDERER: All right. Is there a

1 recommendation from staff about whether we should move on  
2 to the next chemical and delay the vote until we have  
3 additional information do the panel members have an  
4 opinion about that?

5 COMMITTEE MEMBER HERTZ-PICCIOTTO: I would prefer  
6 to wait before voting.

7 DR. SANDY: This is Martha Sandy with OEHHA. I  
8 think we'll have that answer for you in a little while,  
9 after the next chemical.

10 CHAIRPERSON LUDERER: Okay. Great. Then we will  
11 do that. Thank you.

12 **GLYPHOSATE**

13 **COMMITTEE DISCUSSION**

14 CHAIRPERSON LUDERER: All right. Well, the next  
15 chemical is glyphosate and it salts. And the lead  
16 discussants for glyphosate are Dr. Breton and Dr. Plopper.

17 Dr. Breton, would you like to begin?

18 COMMITTEE MEMBER BRETON: Okay. Sorry. Let me  
19 get my notes. All right. So glyphosate and its salts.  
20 This is a very widely used herbicide across, you know,  
21 certainly California and the country.

22 The literature, in terms of the human literature,  
23 this -- the literature provided in the report was from the  
24 last five years. And so in looking at that literature,  
25 the evidence is suggestive for some pregnancy outcomes.

1 There were five studies -- sorry, six studies in the last  
2 five years, five of them on female exposures and one on  
3 male exposures. Of the five in maternal exposures, four  
4 were associated with some sort of effect, primarily  
5 miscarriage, or late spontaneous abortion, or preterm  
6 delivery.

7 In contrast, you know, other outcomes have been  
8 looked at. So there are two studies that looked at birth  
9 weight, none of -- neither of them found any effects on  
10 birth weight. There were two studies on  
11 neurodevelopmental effects that are suggestive. Female  
12 reproductive effects haven't really been evaluated in  
13 humans. There's only one study that did not observe any  
14 effects. And, you know, so I -- the animal evidence I  
15 didn't really take -- that wasn't sort of my charge, so I  
16 didn't really look in depth at the animal evidence. I  
17 think we'll hear from that next.

18 In terms of the human evidence, you know,  
19 there's some suggest -- some suggestive effects for  
20 pregnancy outcomes. And given the incredibly widespread  
21 use of this herbicide, you know, I initially ranked it as  
22 high.

23 CHAIRPERSON LUDERER: Thank you, Dr. Breton.  
24 Dr. Plopper.

25 COMMITTEE MEMBER PLOPPER: Yes. Can you hear me



1 all right?

2 CHAIRPERSON LUDERER: Yes.

3 COMMITTEE MEMBER PLOPPER: I'm having trouble  
4 hearing you, so if you can hear me, that's great.

5 CHAIRPERSON LUDERER: Yes.

6 COMMITTEE MEMBER PLOPPER: My reaction is this  
7 would be a very high priority, because it is widely used.  
8 And just to follow-up on Dr. Breton's comments, the animal  
9 studies are extensive. And virtually all of them, whether  
10 they're looking at developmental impact, whether they're  
11 looking at reproduction, whether they're looking at impact  
12 on female reproductive organs, or male reproductive  
13 organs, or brain, particularly hippocampus, they all show  
14 that there are really significant effects.

15 And the other thing that I think should be  
16 concerning to the Committee is the fact that many of these  
17 effects for some of these long-term studies, they're  
18 looking at second and third generation animals after the  
19 exposure and they're finding even more serious effects.  
20 So I'd be glad to go into this in detail. There's 24  
21 animal studies, four different species, and a wide variety  
22 of exposures strategies. So it's not like it's one  
23 particular exposure that's causing a problem. And the  
24 dose responses seem to be very -- show very strong  
25 impacts.

1           Every study that looked at sperm, as an example,  
2 all four of them, found exactly the same abnormalities and  
3 classes. There's all -- I think there -- it could be  
4 considered a male toxicant, a female toxicant, and also  
5 probably a neurodevelopmental toxicant. So that's my  
6 comment.

7           CHAIRPERSON LUDERER: Thank you, Dr. Plopper.

8           Do we have any discussion from the Committee?

9           Dr. Woodruff.

10          COMMITTEE MEMBER WOODRUFF: Yes. Thank you. I  
11 just wanted to follow up briefly on the neurodevelopment,  
12 because that was a great summary by Dr. Plopper. I'll  
13 just note that the study, there is a epidemiological  
14 study. It's out of UCLA that's quite good in terms of how  
15 they did the evaluation of the exposures. And they looked  
16 across multiple pesticides. They didn't specifically  
17 start to look for glyphosate, but glyphosate came out as  
18 the -- and this was a study that looked at exposures to  
19 pesticides using California pesticide use data, but then  
20 including modeling of the data to make a -- to look at  
21 close to -- living close glyphosate use or other pesticide  
22 use and farther away.

23          And I thought what was very interesting about  
24 that study was even though they didn't start -- they did  
25 agnostically across all pesticides, glyphosate came up as

1 being significant continuously for the outcome of autism.

2 So I think it's very interest --

3 COMMITTEE MEMBER PLOPPER: Yes.

4 COMMITTEE MEMBER WOODRUFF: -- I think it's very  
5 worthwhile for us to look at that, given the animal  
6 studies. And I just wanted to follow up and ask Dr.  
7 Plopper about this, because I know there's a number of  
8 study in bees finding neurodevelopmental effects in bees.  
9 Like they -- exposures can cause them to have difficulty  
10 in flying and other types of behavioral aspects. And I  
11 was wondering if you thought that if we did -- this did  
12 get prioritized, that that would be relevant literature to  
13 consider for this.

14 COMMITTEE MEMBER PLOPPER: Yes, I would. I was  
15 trying to avoid that issue, because it wasn't brought up  
16 here, but I think that is a major concern. And the other  
17 thing that I think is a concern about this is that the  
18 impact on the geese is a good example of why we need to  
19 consider this, because those geese are probably exposed  
20 buy aerosol, as well as by the water, as maybe by the food  
21 they eat.

22 And I hate to say this, but the way they are  
23 exposing, they are spraying this now everywhere, everyone  
24 is -- a large portion of the folks that are in  
25 agricultural areas are probably getting exposed. As

1 really of concern to me -- I was not going to bring it,  
2 but since you did, I will. The other -- the two things  
3 are the geese and the children. And the one thing that  
4 rather bothers me is that they now have limited the  
5 playgrounds for a distance close to a playground for  
6 children, but they haven't limited day care centers. And  
7 from the evidence we have from a experimental study, and  
8 Dr. Breton can comment on the epidemiology experimental  
9 studies, suggests that those first three or four years in  
10 children are when they're going to be most susceptible to  
11 this and the dose doesn't have to be very high.

12           Sorry, I didn't -- you got me into this. But the  
13 geese are the thing that got me concerned, but I thought  
14 I -- yes, I think you're right. And, in fact, that's a  
15 good example of nobody is doing anything. They're just  
16 watching these geese and it's causing a problem. Yes.

17           CHAIRPERSON LUDERER: All right. You were  
18 talking about bees, Dr. Woodruff, or...

19           COMMITTEE MEMBER WOODRUFF: Yes, I understand Dr.  
20 Plopper's comment went on --

21           (Laughter.)

22           COMMITTEE MEMBER WOODRUFF: Yes, the geese are  
23 also very interesting, yes (inaudible)

24           CHAIRPERSON LUDERER: All right. I just wanted  
25 to clarify that.



1 speak. And please limit yourselves to five minutes just  
2 as a reminder.

3 MEETING MODERATOR: Hi. Yes, I'm trying to  
4 unmute the individual, but I'm not sure where you're  
5 seeing their name -- their name listed.

6 CHAIRPERSON LUDERER: It's on my list oh -- it's  
7 on my agenda. So perhaps the agenda -- I mean we can move  
8 on to the --

9 DIRECTOR ZEISE: They did submit a card to speak,  
10 Jessica. You're not seeing them.

11 MEETING MODERATOR: Yeah, you said Harvey. I'm  
12 not seeing that anywhere.

13 DIRECTOR ZEISE: Harvey Makishima.

14 CHAIRPERSON LUDERER: Makishima,  
15 M-a-k-i-s-h-i-m-a, last name.

16 MEETING MODERATOR: No, I'm not seeing that. My  
17 apologies.

18 CHAIRPERSON LUDERER: We can move on to the next  
19 person. Maybe we can try to get that sorted out.

20 MEETING MODERATOR: Sure.

21 CHAIRPERSON LUDERER: All right. We have Zen  
22 Honeycutt from Moms Across America.

23 MEETING MODERATOR: All right. So I went ahead  
24 and unmuted you. You just have to unmute yourself on your  
25 end now.

1 MS. HONEYCUTT: Okay. Can you hear me?

2 MEETING MODERATOR: Yes.

3 CHAIRPERSON LUDERER: Yes.

4 MS. HONEYCUTT: Okay. Great. Thank you. Do you  
5 have my presentation?

6 MEETING MODERATOR: I do. Let me go ahead and  
7 pull that up now. Give me one second.

8 MS. HONEYCUTT: Okay. Great. While that's  
9 coming up, I just want to thank all of you for taking the  
10 time to do such a thorough, you know, investigation of  
11 these different chemicals. As a mom who has been focused  
12 on glyphosate for eight years of my life now, you -- like,  
13 you're my heroes. Thank you so much for taking the time  
14 to review this and all of the other chemicals, which I  
15 don't have the time to. I have not taken the time to  
16 review, so I appreciate you looking at all the other  
17 chemicals as well.

18 MEETING MODERATOR: All right. You should see  
19 your presentation now.

20 (Thereupon a slide presentation.)

21 MS. HONEYCUTT: Oh. Okay. Great. So you can go  
22 ahead to the next slide.

23 NEXT SLIDE

24 MS. HONEYCUTT: I'm Zen Honeycutt from Moms  
25 Across America. We're a non-profit and we're asking you

1 to please lift prop -- glyphosate herbicides on the Prop  
2 65 list or put it as a high priority. I would put it  
3 first priority considering the amount of use for all of  
4 these different reasons and I'm sure you'll see many more  
5 as well.

6 Next slide.

7 NEXT SLIDE

8 MS. HONEYCUTT: First, I want to point out that  
9 glyphosate is never used alone and therefore we request  
10 that you review the studies that include the glyphosate  
11 full formulation and base your decision whether or not to  
12 list glyphosate on the California Prop 65 list as a  
13 reproductive effect or endocrine disruptor, based on  
14 studies that also include the full formulation.

15 Next slide.

16 NEXT SLIDE

17 MS. HONEYCUTT: And I'd like you to -- my request  
18 is that you also consider all of the different areas in  
19 which glyphosate can impact the endocrine system, not just  
20 the reproductive organs, you know, including, as one of  
21 the previous speakers mentioned, the brain, also the  
22 thyroid, the kidney, the heart, the pancreas, the testes.  
23 And my presentation we have studies here linked to -- we  
24 have links to studies in the words that are in blue, like  
25 the thyroid, and the liver, and breast de -- birth



1 defects, and breast, and autism issues, and also  
2 developmental delays.

3 Next slide.

4 NEXT SLIDE

5 MS. HONEYCUTT: One, in particular, paper to look  
6 at is the Munoz et al. paper, which actually shows nine  
7 different pathways in which glyphosate does impact the  
8 endocrine system and hormone receptors. And I appreciate  
9 you taking a -- you know, giving that paper your concerted  
10 attention.

11 Next slide.

12 NEXT SLIDE

13 MS. HONEYCUTT: I'd like to point out that  
14 endocrine disruptors can cause, you know, the birth  
15 defects, miscarriages, preterm births, developmental  
16 delays and death. And there is the three studies that  
17 show they are toxic to human placental cells. Studies --  
18 both in -- I just mentioned France here, but there's also  
19 Argentina and many other places, especially in Washington,  
20 regarding anencephaly, where there's birth defects shown  
21 after families have been exposed to pesticides,  
22 particularly glyphosate herbicides.

23 And also, there's a higher risk of birth defects  
24 in live births with exposure to agrochemicals in  
25 particularly glyphosate in surface water.

1 Next slide.

2 NEXT SLIDE

3 MS. HONEYCUTT: Glyphosate has been shown to be  
4 an endocrine disruptor in numerous animals, as Dr. Plopper  
5 mentioned. Also, I'd like to include rabbits, amphibians,  
6 and pigs. And the pig study is very interesting out of  
7 Denmark, because he had thousands of pigs and when he  
8 sprayed them the non-glyphosate -- fed them the  
9 non-glyphosate sprayed greens, they had three percent of  
10 birth defects. When he gave them the glyphosate-sprayed  
11 greens, it went up to 33 percent of the sows had birth  
12 defects. So that's a very interesting one to look at.

13 Next slide.

14 NEXT SLIDE

15 MS. HONEYCUTT: Also, I'm sorry about the slide  
16 here. The EPA has just recently found and has -- just  
17 found that glyphosate harms endangered species. It's 93  
18 percent of the species and 97 percent of the critical  
19 habitats, that's 1,676 species. And what's interesting is  
20 that they also found that it's moderately toxic to  
21 mammals. And the last time I checked, our children and we  
22 are ani -- mammals. So your consideration greatly impacts  
23 our current and future generations as well. And one of  
24 the primary ways that glyphosate harmed this species is by  
25 endocrine disruption.

1 Next slide.

2 NEXT SLIDE

3 MS. HONEYCUTT: Please consider that glyphosate  
4 is sprayed as a drying agent on crops such as wheat, and  
5 that impacts the farming communities. And also, I'd like  
6 to mention there's another study that just came out that  
7 shows epigenetic effects by Maamar, M-a-a-m-a-r. It just  
8 came out today and it actually shows third and fourth  
9 generation increase of diseases by when the males were  
10 exposed to glyphosate and that was an animal study, a rat  
11 study. So that's a brand new one that came out today.

12 Next slide.

13 NEXT SLIDE

14 MS. HONEYCUTT: The runoff into waterways comes  
15 from agriculture and landscape use. It's 285 million  
16 pounds per year is used in the United States. And one  
17 study shows that 71 pregnant women had a significantly  
18 correlated with glyphosate exposure with shortened  
19 gestational lengths, which we all know means miscarriages,  
20 infertility and infant death, which, of course, is tragic.  
21 And glyphosate has been detected in human breast milk,  
22 dairy, eggs, and thousands of food -- U.S. food samples.  
23 So we're being exposed to it every day.

24 Next slide.

25 NEXT SLIDE

1 MS. HONEYCUTT: The American women are also  
2 experiencing a rise in infertility. This is old data, but  
3 you can see the rise. And please keep in mind that  
4 assisted reproductive technologies are only really for the  
5 wealthy people. So this is -- this data doesn't even  
6 though that -- you know, a bit of the impact of our  
7 infertility problem that we have in America.

8 Next Slide.

9 NEXT SLIDE

10 CHAIRPERSON LUDERER: Ms. Honeycutt, I think Dr.  
11 Zeise is trying to get our attention, because you've used  
12 up your five minute time. Could you please wrap it up for  
13 us?

14 MS. HONEYCUTT: Okay. Yeah, sure.

15 CHAIRPERSON LUDERER: Thank you.

16 MS. HONEYCUTT: You can just go through the next  
17 slides --

18 NEXT SLIDE

19 MS. HONEYCUTT: -- just to cancer. And the next  
20 slide. Sorry about that.

21 NEXT SLIDE

22 MS. HONEYCUTT: And then thyroid issues.

23 NEXT SLIDE

24 MS. HONEYCUTT: And then, of course, this one  
25 that shows that it causes autism effects and liver

1 disease.

2 And then I just have a list of the studies.

3 Thank you so much for your time. I appreciate it.

4 CHAIRPERSON LUDERER: Thank you very much for  
5 comment.

6 Were we able to find Harvey Makishima? Is that  
7 person available to comment at this point, Jessica?

8 MEETING MODERATOR: Let's see. I am going  
9 through the list again. Yeah, still not -- still not  
10 seeing it.

11 CHAIRPERSON LUDERER: Okay. If not, we can move  
12 on to the -- and you can just please let me know if after  
13 the next group of people is finished whether that person  
14 has arrived.

15 MEETING MODERATOR: Absolutely.

16 CHAIRPERSON LUDERER: So the next, we have three  
17 commenters from Bayer Crop Science, the -- Donna Farmer,  
18 Steven Levine, and John Acquavella. I'm not sure which  
19 order, if that's the order they're planning on presenting  
20 in.

21 Donna Farmer is listed first here.

22 MEETING MODERATOR: I will go ahead with Donna.  
23 Donna, I'm going to go ahead and unmute you and you should  
24 be able to hear us and speak now.

25 DR. FARMER: Can you hear me?

1 MEETING MODERATOR: Yes.

2 CHAIRPERSON LUDERER: Yes.

3 DR. FARMER: So I will go first, followed by Dr.  
4 Levine and then Dr. Acquavella.

5 (Thereupon a slide presentation.)

6 DR. FARMER: Good morning or good afternoon, I  
7 think we're right at your lunchtime. On behalf of Bayer  
8 Crop Science, I would like to thank OEHHA, and the Chair,  
9 and the members of the DARTIC for the opportunity to speak  
10 to you today about glyphosate and its salts. I'm Donna  
11 Farmer. I'm a Senior Science Fellow in Bayer's Regulatory  
12 Human Safety Center. And I have provided toxicology  
13 support for glyphosate over 20 years. I have a PhD in  
14 anatomy and cell biology from the University of Cincinnati  
15 College of Medicine. And prior to joining the company, I  
16 held faculty positions in departments of anatomy like Dr.  
17 Plopper, and also in obstetrics and gynecology.

18 And I really appreciate how difficult this task  
19 is and the hundreds of publications that are out, both on  
20 glyphosate and on the glyphosate formulations. But  
21 another thing that I want to bring your attention to is  
22 that, you know, normally as pesticide we have to be  
23 regulated. And normally, there's one regulatory data  
24 package that's enough to assess endpoints relative to  
25 human health, but in this unique case, there are seven.

1           When glyphosate went of patent, other  
2 manufacturers of glyphosate developed and submitted their  
3 own data packages to regulatory agencies around the world.  
4 And the results of those studies are remarkably consistent  
5 and agencies have concluded that glyphosate is not a  
6 reproductive or developmental toxicant, and is not an  
7 endocrine disruptor. And they also take into  
8 consideration the published literature.

9           Glyphosate is highly regulated. It's toxicology  
10 is well understood and accordingly, it should be lower  
11 priority for future review, in our opinion, for Prop 65.

12           Now, included in those regulatory data packages,  
13 or toxicology studies, they specifically evaluate  
14 reproduction and development. And for glyphosate there  
15 are 10 rat multi-gen reproduction, 15 rat and rabbit  
16 developmental toxicities. In addition, many of other  
17 required studies listed as subchronic and chronic,  
18 including neurotoxicity, have endpoints that also will be  
19 informative on male and reproductive systems.

20           Now, these regulatory studies must be conducted  
21 according to accepted guidelines as indicated on slide 2.  
22 And on the list of 24 animal studies provided to DARTIC,  
23 only two regulatory studies, a rat developmental toxicity  
24 and rabbit chronic onco study were included.

25           Go to slide 2, please.





1 a reproductive toxicant. And the overall  
2 multi-generational no-observed-adverse-effect-level, or  
3 the NOAEL, in rats for parental offspring -- parental  
4 offspring and reproductive toxicity is 700 milligrams, per  
5 kilogram, per body weight, per day.

6 And the next slide --

7 NEXT SLIDE

8 DR. FARMER: -- to put that multi-generational  
9 NOAEL in perspective, and a lot of you have been talking  
10 (inaudible) so use doesn't always equate to exposure and  
11 exposure doesn't always equate to a high internal  
12 exposure.

13 I call your attention to the green box and the  
14 arrow. On logarithmic scale, that NOAEL, where no effects  
15 were observed, is six to eight orders of magnitude higher  
16 than glyphosate levels from bystanders in the general  
17 population. And the applicator exposure is in the similar  
18 range. And Dr. Acquavella has published on applicator of  
19 exposure and I assume he will provide further comment.

20 Now, going back to that list of 24 animal studies  
21 that Dr. Plopper mentioned, seven of the published studies  
22 were conducted with glyphosate, five were in rats and two  
23 were in mice.

24 In evaluating those studies, there was an  
25 inconsistency in the study design, the number of animals,

1 the duration and route of exposure. It went from a  
2 biscuit, to an intraperitoneal injection, to water  
3 exposure. Difference in the endpoints that were assessed,  
4 and there was a lack of consistency in results between the  
5 studies. So some studies had effects on testes, others  
6 didn't. So had effects on ovaries, others didn't, and had  
7 an effect on anogenital distance, others didn't.

8 Overall, these studies do not provide complicit  
9 evidence that glyphosate adversely affects reproduction or  
10 development.

11 In conclusion, regulatory authorities around the  
12 world reviewed the multiple regulatory data packages and  
13 the published literature in a weight-of-evidence  
14 evaluation and have concluded that glyphosate is not a  
15 reproductive or developmental toxicant and is not an  
16 endocrine disruptor.

17 Again, glyphosate is highly regulated, its  
18 toxicology is well understood, and accordingly, it should  
19 be a lower priority for future review into Proposition 65.

20 I again thank you for this opportunity to speak  
21 and I'd be pleased to answer any questions from the  
22 Committee.

23 CHAIRPERSON LUDERER: Thank you, Dr. Farmer.

24 I think we're -- we'll move on to Dr. Levine.

25 MEETING MODERATOR: All right, Dr. Levine, I'm

1 going to go ahead and unmute you. You have to go ahead  
2 and do it on your end. And I will go ahead and pull up  
3 your slides as well.

4 DR. LEVINE: Thank you. Thank you. I'll wait  
5 till you pull up the slides.

6 MEETING MODERATOR: All right. I am just moving  
7 them over. It should take a few seconds. All right.  
8 Perfect. Let me show my screen.

9 Okay. You should be seeing them now.

10 (Thereupon a slide presentation.)

11 DR. LEVINE: Okay. Thank you. Good afternoon,  
12 everyone. I'm Steve Levine. I'm an environmental  
13 toxicologist and a Bayer Distinguished Fellow. And what  
14 I'm going to talk about today are mechanistic studies with  
15 glyphosate that further inform the developmental and  
16 reproductive toxicity assessment. And this will build on  
17 the information you've just heard.

18 Next slide, please.

19 NEXT SLIDE

20 DR. LEVINE: In 2010, glyphosate was screened for  
21 its potential to interact with the estrogen, androgen,  
22 thyroid, and steroidogenic, or EATS, pathways under EPA's  
23 Endocrine Disruptor Screening Program, or the EDSP.

24 Glyphosate was not selected based on known or  
25 likely endocrine activity and was only tested because it

1 met qualitative criteria, human exposure. Glyphosate was  
2 screened in all 11 EDSP validated assays following GLP,  
3 and the list of studies is to the right. And I'll  
4 elaborate on those on the next slide. The majority of  
5 these assays had mechanistic endpoints and several assays  
6 also had apical endpoints that assessed the hypothalamic,  
7 pituitary, gonadal, and thyroid axes for potential  
8 developmental and reproductive effects. All of these  
9 assays were accepted as valid studies by EPA and used in a  
10 weight-of-evidence analysis.

11 Next slide, please.

12 NEXT SLIDE

13 DR. LEVINE: This table summarizes the EDSP data  
14 and it showed no interaction with the endocrine system and  
15 "NI" in the cells below denotes no interaction. If you  
16 look on the left-hand side, those are the 11 screening  
17 assays, five in vitro mechanistic assays and six in vivo  
18 assays. The in vivo assays provided mechanistic  
19 information, as well as looking at apical endpoints, such  
20 as reproduction and development.

21 If you look across the top, the row on the top,  
22 it looks at the different modes of action that were  
23 investigated, estrogenicity, anti-estrogenicity,  
24 androgenicity, anti-androgenicity, as well as  
25 steroidogenesis, so effects on testosterone and estrogen



1 assessment, as well as the relevant and reliable  
2 information in the peer-reviewed literature based on their  
3 systematic review. And based on their evaluations, they  
4 concluded that estro -- that the estrogenic, androgenic,  
5 and thyroid pathways, including steroidogenesis are not  
6 impacted by glyphosate.

7 So in closing, the results from the EDSP further  
8 support the results from the comprehensive regulatory  
9 toxicology database ensuring that glyphosate is not a  
10 reproductive or developmental toxicant.

11 Because I heard something about geese or birds, I  
12 just want to point out that there are multiple avian  
13 reproduction studies and glyphosate has shown no impact on  
14 reproduction in avian species and there's also been  
15 extensive regulatory testing in the area for bees, looking  
16 at potential effects on larval and adults. Many of those  
17 studies that have been used for regulatory purposes have  
18 been published. And I would point you to those in the  
19 literature. So I'm going to stop there and see if there's  
20 any questions.

21 Thank you.

22 CHAIRPERSON LUDERER: Thank you, Dr. Levine. I  
23 think we'll go on to the third presentation and then if  
24 there are any questions from panel members, perhaps all  
25 three of you could answer at the end.

1           The next speaker then is the Dr. Acquavella.

2   And, Jessica, can you unmute him, please?

3           MEETING MODERATOR:  Yes, let's see.  Find the  
4   name on the list.  And also, I haven't seen -- Harvey  
5   never -- I never saw that name listed either, so apologies  
6   there.

7           CHAIRPERSON LUDERER:  All right.

8           MEETING MODERATOR:  Let's see here.  One more  
9   time, give me the name here.  I'm not seeing it listed.  
10   John Acquavella, A-c-q-u-a --

11          MEETING MODERATOR:  Oh, here we go.  Perfect.  
12   Okay.  John, I'm going to go ahead and unmute you.  You  
13   will still be self-muted, so just make sure you click the  
14   button again as well.  All right.  You can go ahead and  
15   speak.  We should be able to hear you now.

16          CHAIRPERSON LUDERER:  Is there a presentation?

17          MEETING MODERATOR:  Yes, I'm pulling that up  
18   next.

19          (Thereupon a slide presentation.)

20          MEETING MODERATOR:  All right.  John, you are  
21   unmuted, so you should be able to speak.  And you should  
22   see your presentation as well.

23          We're not hearing you.

24          MEETING MODERATOR:  John, if you need to -- I  
25   don't know if you can hear me, but if you go up to your

1 control panel where it says audio, it shows that you're  
2 using computer audio, which is unmuted. If you briefly  
3 click over to either whether it says no audio or to the  
4 phone audio, let it sit there for a few seconds, and then  
5 toggle back over. Okay. It looks like he's switching his  
6 audio now.

7 All right. I just unmuted you again, go ahead  
8 and -- yeah, see if you can speak now.

9 CHAIRPERSON LUDERER: We're not hearing him.

10 MEETING MODERATOR: All right. John, if you can  
11 still hear me, go ahead and toggle back over to the phone  
12 and let's have you dial in, because we're not getting any  
13 audio. And then once his phone turns green, I'll be able  
14 to -- to unmute him again. Now, John, you are connected  
15 via phone now. If you can go ahead and enter in that PIN  
16 number that I'm sending you, you'll be able to unmute  
17 yourself.

18 All right. John, you're unmuted again. Go ahead  
19 and speak for us.

20 CHAIRPERSON LUDERER: We still don't hear  
21 anything.

22 MEETING MODERATOR: Yeah, he's actually reaching  
23 out in the questions pane. So I'm going to see if I can  
24 text him what to -- what to do next. I'm going to go  
25 ahead and mute myself briefly.



1           Okay. John, I just sent you a response in the  
2 questions pane. If you can hear me, what I put in there  
3 is if you go to the audio pane again, your microphone is  
4 it on. But GoToWebinar might have it set to maybe the  
5 computer audio, where it's using that speaker. So if you  
6 can look at that dropdown in the microphone section, see  
7 if you have more than two options and switch through --  
8 through the options that you have there and we'll see if  
9 we can hear you.

10           CHAIRPERSON LUDERER: Yeah, we're still not  
11 hearing anything.

12           MEETING MODERATOR: Okay. John, I unmuted you  
13 again. Go ahead and see if you can speak now.

14           CHAIRPERSON LUDERER: Jessica, would it possible  
15 for you to maybe try to continue troubleshooting while we  
16 call on the next person who requested public comment?

17           MEETING MODERATOR: Sure. Yeah. Absolutely.  
18 I'm writing him in the background, but, yeah, who would  
19 you like to -- to go next.

20           CHAIRPERSON LUDERER: I think -- I think the last  
21 person that I have on my list, and some from the staff can  
22 correct me if I'm wrong, is Jennifer Sass from National --  
23 Natural Resources Defense Council.

24           MEETING MODERATOR: I do see Jennifer here. All  
25 right, Jennifer, I'm going to go ahead -- you are

1 self-muted. I unmuted you on my end. Go ahead and click  
2 the button again. You should be able to speak.

3 CHAIRPERSON LUDERER: And did -- are there any  
4 slides?

5 MR. LEICHTY: No slides.

6 MEETING MODERATOR: Yeah, I don't believe so.  
7 Okay.

8 CHAIRPERSON LUDERER: Okay.

9 DR. ACQUAVELLA: Excuse me. Can you hear me now?

10 MEETING MODERATOR: Yes, we can.

11 CHAIRPERSON LUDERER: Is that Dr. Acquavella?

12 DR. ACQUAVELLA: Oh, terrific. Thank you. Okay.  
13 So I'll wait and speak after or I'll speak now as  
14 you prefer.

15 CHAIRPERSON LUDERER: Well, since your slides are  
16 up and we are waiting for you, why don't you go ahead and  
17 then we'll proceed with Dr. Sass.

18 DR. ACQUAVELLA: Okay. Great. Thank you. I'm a  
19 professor of clinical epidemiology at Aarhus University in  
20 Denmark, but I have a history with glyphosate epidemiology  
21 and biomonitoring.

22 So next slide.

23 NEXT SLIDE

24 DR. ACQUAVELLA: What I thought I'd do is share  
25 some biomonitoring results that I think are informative

1 with regard to the exposure metrics used in epidemiology  
2 studies.

3 Next.

4 NEXT SLIDE

5 DR. ACQUAVELLA: And I'm going to speak mainly  
6 from the farm family exposure study where we biomonitorred  
7 farmers and their families for 24 hours before, 24 hours  
8 the day of, and for three days after applications on their  
9 farm for either glyphosate, 2,4-D, or chlorpyrifos.

10 Next slide.

11 NEXT SLIDE

12 DR. ACQUAVELLA: So the direct exposure scenario  
13 is represented by the applicators. You can see for  
14 glyphosate 60 percent had detectable values. We monitored  
15 these chemicals in urine with a one part per billion limit  
16 of detection. Forty percent of the farmers actually  
17 didn't show detectable glyphosate, including nine who did  
18 applications of 100 acres or more. The average  
19 application size was 90 acres, so this was quite different  
20 than for the other chemicals.

21 Next slide.

22 NEXT SLIDE

23 DR. ACQUAVELLA: If we plot the geometric mean  
24 values by day of study, you can see glyphosate is excreted  
25 rapidly, that's the green curve, consistent with the

1 consensus half-life of 6 to 12 hours.

2 And next slide, please.

3 NEXT SLIDE

4 DR. ACQUAVELLA: This is the cumulative dose  
5 distribution. And you can see that all the glyphosate  
6 values, again the green curve, are clustered around the  
7 median, which is 10 to the minus 4th milligrams per  
8 kilogram, with just a few values that went up to about 10  
9 to the minus third milligram per kilogram.

10 Next slide.

11 NEXT SLIDE

12 DR. ACQUAVELLA: Now, the spouses in this study,  
13 none of whom mixed or applied glyphosate, provide insight  
14 into the indirect exposure scenario. And only two of the  
15 48 spouses actually had detectable glyphosate in their  
16 urine as a result of an application on their farm.

17 Next slide.

18 NEXT SLIDE

19 DR. ACQUAVELLA: The children provide information  
20 about both the direct and indirect exposure. Some of the  
21 children worked with their father on this application.  
22 And they had a profile that looked just like the  
23 applicators, but 52 children didn't work on the  
24 application, and only one of the 52 had a measurable level  
25 of glyphosate in the urine.

1 Next slide.

2 NEXT SLIDE

3 DR. ACQUAVELLA: So in conclusion, we have a  
4 pretty good handle on direct glyphosate exposure  
5 scenarios. The doses tend to cluster around 10 to the  
6 minus 4th milligram per kilogram versus the NOAEL that Dr.  
7 Farmer mentioned. The indirect exposure scenarios were  
8 predominantly less than the limit of detection, reflecting  
9 minimal internalized dose for residents on the farm where  
10 glyphosate was applied.

11 And so I know a number of the epidemiology  
12 studies used remote exposure metrics. I just don't see  
13 how they can be valid when we can't even measure  
14 glyphosate in the urine of people who are living on the  
15 farms where the applications were made. And I think  
16 before those remote metrics can be taken at face value,  
17 some validation needs to be done.

18 Thank you.

19 CHAIRPERSON LUDERER: Thank you, Dr. Acquavella.

20 Are there any questions from the panel for  
21 speakers so far?

22 Okay. Then we will move on to Dr. Sass from  
23 NRDC. And I think you said there were -- there are no  
24 slides for that talk, is that right, Jessica?

25 MEETING MODERATOR: Correct. Let me go back and

1 on unmute. Let's see here. All right. So you should be  
2 able to unmute yourself now and be able to speak.

3 Jennifer, if you can hear me, if you go over to  
4 where your name is listed, there's going to be a red  
5 microphone next to your name. Go ahead and click on the  
6 red microphone and it will unmute you on your end.

7 COMMITTEE MEMBER BASKIN: Am I the only one that  
8 our last speaker kind of cut out at his summary statement?

9 CHAIRPERSON LUDERER: Yeah, I didn't have that  
10 problem. Did anyone else have it?

11 (Heads shake.)

12 CHAIRPERSON LUDERER: No.

13 COMMITTEE MEMBER BASKIN: Sorry.

14 MEETING MODERATOR: I'm going to send Jennifer a  
15 message just in case she cannot hear me. Let me see.

16 (Multiple voices.)

17 MEETING MODERATOR: So sorry, what was that?

18 DIRECTOR ZEISE: I just -- while you're sorting  
19 that out, I do think there's one more speaker card from  
20 Gary Roberts. So while you sort that out, you may want to  
21 take -- Ulrike, you might want to take --

22 CHAIRPERSON LUDERER: Okay.

23 DIRECTOR ZEISE: -- Gary Roberts and then  
24 Jennifer Sass.

25 CHAIRPERSON LUDERER: Okay. Jessica, do you --

1 can you unmute Gary Roberts?

2 MEETING MODERATOR: Yes. I see him right here.

3 CHAIRPERSON LUDERER: Okay.

4 MEETING MODERATOR: All right, Gary, I'm going to  
5 go ahead and unmute you from my end. You should be able  
6 to click the button and be unmuted.

7 MR. ROBERTS: Can you all hear me?

8 MEETING MODERATOR: Yes.

9 CHAIRPERSON LUDERER: Yes.

10 MR. ROBERTS: Excellent. Thank you for your  
11 time. I'll be brief. On behalf of Bayer, I offer this  
12 comment concerning glyphosate and a chemical you'll  
13 consider later and imidacloprid.

14 Each of these two chemicals, you've been  
15 presented with written scientific evidence that U.S. EPA,  
16 an authoritative body, has recently examined all Prop 65  
17 relevant aspects of the reproductive toxicity of these  
18 chemicals, developmental, male and female.

19 For glyphosate, you were presented with a  
20 conclusion from U.S. EPA in the Bayer comments that was  
21 reaffirmed less than two months ago, was originally  
22 announced in January 2020, and that says there are quote,  
23 "No risks of concern to human health from current uses of  
24 glyphosate," closed quote. Of course, this includes a  
25 determination of no concern for reproductive toxicity.

1           For imidacloprid, the human health draft risk  
2 assessment for registration review offered a similarly  
3 reassuring discussion at pages 14 to 15 of the document,  
4 which was noted in Bayer's comments. These EPA  
5 assessments fall within a specific provision of your  
6 prioritization procedure that I want to underscore.

7           The prioritization procedure, part of your  
8 materials and referenced earlier by OEHHA staff, in the  
9 third full paragraph on page four says quote, "It is  
10 unlikely that chemicals will be proposed for DART  
11 Identification Committee review that have been recently  
12 reviewed by an authoritative body and found to have  
13 insufficient evidence of reproductive toxicity". Cancer  
14 references were emitted from that quote.

15           EPA has determined there is insufficient evidence  
16 of reproductive toxicity chemicals. Further, there is no  
17 reason for the Committee to depart from its normal  
18 practice of placing chemicals such as these in a lower  
19 priority ranking.

20           And this makes sense. These chemicals have been  
21 recently reviewed by a body that this Committee  
22 specifically considers authoritative. Moreover, you have  
23 heard and will hear that the weight of the evidence  
24 strongly supports EPA's assessments. And EPA does not  
25 stand alone. Regulators all over the world agree. In the



1 case of glyphosate, for example Brazil, just drew the same  
2 conclusion earlier this month.

3 I would be pleased to answer any questions you  
4 may have. Thank you.

5 If there are no questions, I'll reserve the  
6 remainder of my time.

7 CHAIRPERSON LUDERER: Thank you very much, Dr.  
8 Roberts.

9 And our last commenter is Dr. Jennifer Sass. Are  
10 we able to get audio for her?

11 DR. SASS: Yes. Can you hear me now?

12 CHAIRPERSON LUDERER: Yes.

13 DR. SASS: Yeah. Thanks. I can see you nodding  
14 your heads. I apologize. I was thwarted by having  
15 multiple screens and multiple documents and I undermined  
16 my ability to navigate it.

17 So therefore, I'm going to actually just give  
18 very short comments on this one. I am going to also  
19 comment on the neonicotinoids, which are coming up in the  
20 next discussion. But for this one, I'll only direct you  
21 to my written comments on glyphosate and to say that we at  
22 NRDC, the Natural Resources Defense Council, strongly  
23 support moving this nomination further. We're very  
24 concerned about the human health impacts of this and we --  
25 I refer you to my details in my comments, as well as the

1 document that the staff put together, which is very good,  
2 and some of the previous commenters. So thank you.

3 **COMMITTEE DISCUSSION AND RECOMMENDATION**

4 CHAIRPERSON LUDERER: Do we have any other  
5 discussion by the Committee or questions?

6 Dr. Allard.

7 COMMITTEE MEMBER ALLARD: Yeah. I guess maybe I  
8 can just like think out loud here about the process in my  
9 mind. The fact that glyphosate is one of the -- if not  
10 the, I think, most prevalently used herbicide and that its  
11 use is going to increase or has already increased  
12 absolutely dramatically over the last years in California,  
13 in the U.S., and in the world, and, you know, balancing  
14 the need to perhaps look further at a chemical that  
15 perhaps is not -- does not have overwhelming evidence from  
16 all fronts or as has been reviewed by other entities, but,  
17 yet, is it our duty -- perhaps, it is our duty -- at least  
18 in my mind, it is our duty to provide an independent  
19 review of other organizations to make sure that something  
20 that is as prevalently used in California, and in many  
21 other places, is indeed safe.

22 So that's -- so that's the things that I'm  
23 balancing here, you know, the public health aspect versus  
24 the data and balancing these two aspects. So, I mean,  
25 I'll be voting, so I'll -- people will know where I stand

1 on that, but I -- you know, that's sort of -- we cannot  
2 just look at the data. We also have to look at the  
3 incredible use of this chemical in California.

4 CHAIRPERSON LUDERER: Dr. Woodruff.

5 COMMITTEE MEMBER WOODRUFF: Yeah. I just wanted  
6 to follow up on the issue about the increasing use,  
7 because I know we didn't -- I'm not sure it was covered in  
8 here. But there was a study published -- there's been  
9 several studies published on the increasing use of  
10 glyphosate or prevalence of it over time. And there was a  
11 study in JAMA that looked at levels in people from the  
12 nineties to more recently, and the amount of glyphosate  
13 they measured in the people has gone up dramatically. And  
14 it's higher -- I'm going to try and look at this, is --  
15 it's -- they measured it in a significant portion of  
16 people.

17 So I feel that even though we may have not had so  
18 many measurements of glyphosate in the past, that we're  
19 going to be seeing higher prevalence of that -- of people  
20 in the -- higher amounts of glyphosate in people. And I  
21 also wanted to comment that a lot of the regulatory bodies  
22 that -- the regulatory bodies look at glyphosate slightly  
23 different around the world. Some of them look just at  
24 hazard, like we are charged to do. We're -- is my  
25 understanding. Correct me if I'm wrong, from the OEHHA

1 staff, is that we only look at hazard. We don't consider  
2 the exposure levels. A lot of the other regulatory bodies  
3 that look at -- have made pronouncements about glyphosate  
4 incorporate both hazard and exposures. So I think that's  
5 an important distinction.

6 CHAIRPERSON LUDERER: Thank you, Dr. Woodruff.

7 I had Dr. Pessah, you had your hand raised and --  
8 yeah, go ahead.

9 COMMITTEE MEMBER PESSAH: Yeah. Actually, I have  
10 a question for those that actually reviewed this  
11 extensively. You know, it's a very important question of  
12 what the trends are going to be like with exposure. And  
13 what I hear is that there's quite a discrepancy between  
14 current levels of exposure, even for most highly exposed,  
15 and the concentrations and/or the doses used to produce  
16 effects in animals. And I wonder if that's an order of  
17 magnitude, five orders of magnitude. Where are we with  
18 that, because that has a real impact on potential risk?

19 CHAIRPERSON LUDERER: Dr. Plopper, did you have a  
20 comment?

21 COMMITTEE MEMBER PLOPPER: That's a very good  
22 question. And the problem that I have is that the way  
23 these -- we would need to do a thorough analysis, because  
24 the way these are administered, or where they're taken in  
25 and have a very different impact. And one of the problems

1 with these studies, which I didn't think we had time to go  
2 into now, is that there are different formulations used.  
3 And the formulations I think Dr. Woodruff made a very good  
4 point. It's using more, but if you look at the  
5 formulations that were used 10 years ago, I suspect my  
6 brief look was that they're different. And they run all  
7 the way from less than one percent of the chemical is --  
8 is glyphosphate[SIC] up to almost a hundred percent. And  
9 the other additives are generally used to promote  
10 introduction of this chemical into whatever it's being  
11 sprayed on. And that could be -- also be people.

12           So I think those are major concerns. And the  
13 problem is that there -- the studies are -- like Dr.  
14 Woodruff said, the studies are getting better and more  
15 relevant as to what the current concentrations are in  
16 people. And I think that's what we would need to look at.  
17 And I don't think it's a simple question. It's a very  
18 complex one.

19           But it does concern me that some of these  
20 experimental studies talk about more than one generation  
21 after the exposure of finding negative effects. And that  
22 would what -- concern me as much as anything is that  
23 whatever -- it may not be an effect that has an impact on  
24 a pregnant mother for instance, but it may on her  
25 grandchildren. And there's already experimental evidence

1 that would suggest that's true.

2           So I don't know what to say. I think just my  
3 personal opinion is that there's so much of this chemical  
4 used in California, that the -- it would be irresponsible  
5 not to take another look because, as Dr. Woodruff said, we  
6 do this in a different perspective than a lot of these  
7 other regulatory agencies.

8           So I don't know if that's answered your question,  
9 but it's a pretty complicated issue, because there's so  
10 much of it and its composition -- chemical composition is  
11 very different.

12           COMMITTEE MEMBER PESSAH: Thank you. That was  
13 very helpful.

14           CHAIRPERSON LUDERER: Thank you.

15           Dr. Hertz-Picciotto.

16           COMMITTEE MEMBER HERTZ-PICCIOTTO: I just want to  
17 speak to, you know, emerging evidence and I noticed that  
18 this paper was not -- not in the document. It came out in  
19 I think it's 2018. It's Shrestha and this is a study  
20 using this very large Agricultural Health Study supported  
21 by the national institutes of health. It's been going on  
22 for over 20 or 30 years now. And it has about 37,000  
23 people who are part of the study.

24           And this was a study where they look at  
25 hypothyroidism. And there were -- there's actually very

1 strong evidence in this paper of hypothyroidism. But  
2 actually there's a table -- of course, they were looking  
3 at many, many pesticides. And the number of exposed cases  
4 to glyphosate is larger than any of the other -- no, no.  
5 There's one more -- there's one other. 2,4-D is actually  
6 just slightly higher with 671. But there were 663 cases  
7 and this have -- did have an elevated and significant  
8 relative risk or -- in this study that was quite  
9 significant.

10           And the -- it's -- it's a well-known study, you  
11 know, authors who have been working on the Agricultural  
12 Health Study for many, many years. So I think this is --  
13 this -- this is one of those outcomes that is certainly of  
14 great significance for reproductive and developmental  
15 harm. And I think, you know, some of -- there are new  
16 studies coming out all the time, so it's possible that  
17 other agencies can reach other conclusions looking at  
18 different data -- you know, the date that Dr. Acquavella  
19 presented was from, I think, 2004 and 2006, as far as  
20 exposure.

21           So whether those exposures are still relevant to  
22 today's populations in the -- agri -- for agricultural  
23 uses might be something to question.

24           CHAIRPERSON LUDERER: Great. Thank you very  
25 much. I don't see any other hands raised. And I don't

1 believe we have any additional public comments. So then  
2 we can move on to our Committee recommendation. So again,  
3 I'll go through the high, medium, and no priority. And  
4 please raise your hands and I'll call the names of all the  
5 hands that I see.

6 So who recommends that this be place on high  
7 priority, please raise your hands.

8 (Hands raised.)

9 CHAIRPERSON LUDERER: Dr. Woodruff, Dr. Plopper,  
10 Dr. Auyeung-Kim, Dr. Baskin, Dr. Pessah, Dr. Carmichael,  
11 Dr. Breton, Dr. Picciotto, and Dr. Luderer.

12 And then who -- I think we have one person who  
13 hasn't voted yet. Medium?

14 (Hand raised.)

15 CHAIRPERSON LUDERER: Dr. Allard votes for medium  
16 priority. Okay. All right. Thank you, everyone.

17 I think it's time now to break for lunch. So we  
18 have a 40-minute -- 40 minutes allotted to lunch. It's  
19 about 12:45. So shall we say then that we meet at --  
20 let's say, what did we say? Forty -- we had 40 minutes.  
21 So 1:55?

22 COMMITTEE MEMBER ALLARD: Can I ask about --

23 CHAIRPERSON LUDERER: So 1:25, sorry. Yes.

24 COMMITTEE MEMBER ALLARD: Can I ask about timing  
25 for the rest of the day, because we are at -- I don't



1 think we've hit half of the chemicals that we're supposed  
2 to discuss today, right, so --

3 CHAIRPERSON LUDERER: Well, we're actually  
4 exactly where we were on the schedule, which was that we  
5 would break for lunch --

6 COMMITTEE MEMBER ALLARD: Okay.

7 CHAIRPERSON LUDERER: -- around 12:45 to, yeah,  
8 12:30 to 12:45, so it's 12:45 now.

9 COMMITTEE MEMBER ALLARD: Okay.

10 CHAIRPERSON LUDERER: So we're actually doing  
11 pretty well.

12 COMMITTEE MEMBER ALLARD: Great.

13 CHAIRPERSON LUDERER: Yes.

14 DIRECTOR ZEISE: So 45 minutes would be -- did  
15 you say 1:35 or sorry 1:25, ulrike?

16 CHAIRPERSON LUDERER: 1:25, yeah, is that good?  
17 Is that what you're proposing.

18 DIRECTOR ZEISE: I think that would be --

19 CHAIRPERSON LUDERER: Okay.

20 DIRECTOR ZEISE: -- that would be okay.

21 CHAIRPERSON LUDERER: Okay. All right. Okay.  
22 So 1:25 we'll see everyone back.

23 (Off record: 12:45 p.m.)

24 (Thereupon a lunch break was taken.)

25

1 AFTERNOON SESSION

2 (On record: 1:25 p.m.)

3 MANGANESE

4 COMMITTEE DISCUSSION

5 CHAIRPERSON LUDERER: All right. I think the  
6 Committee is here, so I think we can reconvene and get  
7 started with the afternoon part of our meeting.

8 So our next chemical under consideration is  
9 manganese. And the lead discussants for that are Dr.  
10 Pessah and Dr. Hertz-Picciotto.

11 Dr. Pessah, would you like to start?

12 COMMITTEE MEMBER PESSAH: Can you hear me now?

13 CHAIRPERSON LUDERER: Yes.

14 COMMITTEE MEMBER PESSAH: Okay. So manganese is  
15 both an essential element and a potent toxicant. And the  
16 reason for that is manganese takes part in many enzymatic  
17 reactions in the body, both in the central nervous system  
18 and out in the periphery, so it's tightly regulated. And  
19 sources of manganese include occupational exposure,  
20 particularly miners and welders that can have high levels.

21 In fact, acute effects are essentially termed  
22 manganism. But more recently there have been low level  
23 effects that are relevant to developmental and  
24 neurotoxicity. And these include disruption of the  
25 hypothalamic axis that disrupt gonadotropin releasing

1 hormone and luteinizing hormone. These effects have been  
2 shown to impact both the reproductive success and  
3 reproductive outcomes, as well as puberty and sex hormone  
4 outcomes in offspring.

5           There have been several studies published within  
6 the last year that indicate both reproductive and in  
7 developmental outcomes with both chronic exposure as well  
8 as low level chronic exposure. I think these outcomes  
9 have been, to some extent, seen in human studies, but I'll  
10 let Dr. Hertz-Picciotto comment on these.

11           So I would have -- I rank it in a high relevance  
12 category.

13           COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay. So I  
14 guess I'm going to start by saying there really are, I  
15 think, these two issues. You know, one is what is the  
16 evidence around high exposures to manganese and this  
17 issue, because it's an essential element, you know, at low  
18 levels, deficiencies will cause some of the same actual  
19 adverse outcomes as high levels to me.

20           So there are a number of studies in showing this  
21 inverted U shape or depending on how you get the -- you  
22 know, so the optimal is in that middle ground, middle area  
23 of exposure and -- or dose. And that I think this is  
24 going to be really a discussion we have to have about the  
25 idea of listing something. And on the one hand, I

1 think -- and I can -- I'll talk through some of the  
2 outcomes, but really quickly in a moment.

3 But basically, the bottom line is reproductive  
4 outcomes, an abundant literature, birth weight  
5 particularly, and other outcomes, neurodevelopment as  
6 well, and then the endocrine and reproductive impacts.

7 So what -- you know, that's one part of the  
8 story. But the other part is then do we want to have  
9 labeling that says, you know, this product contains  
10 manganese, which is known by the State of California to  
11 cause birth defects and other developmental harm, or  
12 whatever the -- I think that's about the language. And so  
13 my concern really is about Prop 65, its process, and  
14 what -- would there be some way to modify the messages  
15 that -- or is that part of the statute itself or  
16 regulation where -- so I just be -- I feel like this is  
17 really a critical issue and I -- and I don't know how much  
18 of this is OEHHA's job to sort that out versus us as this  
19 Committee.

20 Again, I think at the high doses that are  
21 relevant, but not high, like astronomically high. I'm  
22 just -- at the high levels that are seen in these  
23 epidemiologic studies, there -- there is very clear harm.  
24 And this is -- this is a huge literature -- I mean, there  
25 were 50 or so papers, and the vast majority of them were

1 seeing harmful effects at the high end. Some of the  
2 studies were in populations where their entire range is  
3 kind of at the high end.

4           So they would see linear effects. And then some  
5 were all kind of below end that we're seeing linear in  
6 kind of the other direction, where higher was -- was  
7 better, if you're down in the low range, so -- but then a  
8 lot of that, I think, was resolved by looking at the range  
9 of those exposures. And you do see these U-shaped and  
10 inverted U, depending on whether your XY axis is a benefit  
11 or a harm.

12           And so -- so that's, to me, I feel like the crux  
13 of what we do here really depends on how -- how can we --  
14 is there a way to adapt the Prop 65 process and mission  
15 for some -- for a chemical that really does have critical  
16 benefits at the low dose -- you know, so that deficiency  
17 is not what we want to see people ending up with at the  
18 end of the day.

19           And then just to talk through, you know, we've  
20 got birth weight, we've got birth length, we've got  
21 Ponderal Index, head circumference, chest circumference,  
22 gestational age, you know, neural tube defects. And, you  
23 know, multiple studies for each of those. And, you know,  
24 in one case, for instance, you know, many of these studies  
25 also did look at other metals, which I think was kind of

1 critical, not all of them, but, you know, for instance,  
2 there's one study of neural tube defects that actually  
3 showed, you know, cases had higher manganese and lead, but  
4 then nothing -- you know, there was no association with  
5 nickel, and mercury, and arsenic, and so forth. So, you  
6 know, that's -- that's a strong literature.

7           The neurodevelopment literature also cognitive  
8 development in preschoolers and infant development. Here,  
9 you see U-shaped relationships in many of these studies  
10 and some -- some with, you know, fairly large sample  
11 sizes. So I think it's a strong literature. And, you  
12 know, very -- some robust -- very robust studies I've  
13 highlighted. You know, I kind of made my own little  
14 spreadsheet. And I've got, you know, several good --  
15 really good strong studies that I think the methods were  
16 appropriate and so forth from different countries, and  
17 neurodevelopment being definitely one where there's a  
18 pretty good literature with good control for confounding.

19           And then turning to the reproductive -- female  
20 reproductive and male reproductive outcomes, again some  
21 good studies, and, you know, more on the female side, I  
22 think, perhaps, but I think that this is -- this is --  
23 there's -- this -- if it weren't for the essential element  
24 part, I would say absolutely this is a high priority. But  
25 with it being an essential element, I do have concerns

1 about how -- how we should be approaching it and really  
2 what I would recommend.

3 CHAIRPERSON LUDERER: Thank you, Dr.  
4 Hertz-Picciotto. I think, Dr. Sandy, did you have a  
5 comment on that concern that was just raised?

6 DR. SANDY: I'll see if Carol wanted to say  
7 something first.

8 Carol, I think you're muted.

9 CHAIRPERSON LUDERER: Still muted.

10 MEETING MODERATOR: Carol, if you can hear me, so  
11 it does show that you're unmuted. If you go to where it  
12 has the microphone option on the audio tab, make sure that  
13 it didn't switch over to a separate speaker or something  
14 like that or you could be muted on the headset itself.

15 Yeah we're still not hearing you. Is it there by  
16 chance a button on your headset that could be muted  
17 possibly?

18 (Laughter.)

19 CHIEF COUNSEL MONAHAN CUMMINGS: Can get it to  
20 work.

21 CHAIRPERSON LUDERER: Now, it's working.

22 MEETING MODERATOR: You are. You're there.

23 CHIEF COUNSEL MONAHAN CUMMINGS: Oh, my gosh.

24 Okay. I'm not going to touch it ever again. Very sorry.

25 But I just wanted to address the questions about

1 the essential element issue. And you may or may not know  
2 that we have vitamin A listed under Prop 65. And one of  
3 the -- the way that the Committee addressed it was to kind  
4 of put parameters around it. And say up to this amount is  
5 necessary, but above this amount is -- can be toxic. So  
6 even though it's on the list, it's not just kind of every  
7 exposure to this chemical can cause these effects. And so  
8 that's -- that's an option for us in the future. You  
9 know, if we get to the point of listing it, we can -- we  
10 can certainly talk to you about how you want to approach  
11 that.

12 In terms of the warning, there's no mandatory  
13 warning language. The statute just says clear and  
14 reasonable warning. We have regulations that provide  
15 examples for businesses to use. And recently we updated  
16 those regulations and we are periodically adding  
17 additional ones for either certain types of exposures or  
18 certain chemicals.

19 And so in the future, if we needed to, we could  
20 add one for essential nutrients or for this chemical in  
21 particular. So I think we have ways to address that issue  
22 under the law. And so -- but for the most part, it would  
23 be addressed later in the process. Does that help?

24 CHAIRPERSON LUDERER: Thank you.

25 Dr. Allard, you had a comment or question?



1 COMMITTEE MEMBER ALLARD: Yeah, just a  
2 clarification. I was wondering if one of the distinctions  
3 can be naturally occurring, so sort of distinct from the  
4 level question, naturally occurring versus exogenously  
5 added to products. Is that one of the distinctions that  
6 can be made in the process?

7 CHIEF COUNSEL MONAHAN CUMMINGS: Yes. We have a  
8 regulation that addresses naturally occurring chemicals,  
9 either that are intentionally added or intent -- or,  
10 sorry. The source is from human sources or it just is  
11 taken up by a plant, for example, and so somebody is  
12 exposed through consumption. So we do have a regulation  
13 that addresses that -- that issue of naturally occurring.

14 CHAIRPERSON LUDERER: Okay. Dr. Breton.

15 COMMITTEE MEMBER BRETON: I just wanted to --  
16 just to further clarify, when you were saying like with  
17 vitamin A as an example, does that mean that the label  
18 itself could have language on it that indicated an optimal  
19 range, let's say, or -- and so that you could specify both  
20 going below or going above may be harmful. Is it on the  
21 labels themselves that the (inaudible) would see.

22 CHIEF COUNSEL MONAHAN CUMMINGS: I have not seen  
23 a label for that. Labels aren't actually required under  
24 Prop 65. You can give warnings in a variety of ways. But  
25 based on the listing, you could say, you know, that

1 exposure to this chemical above X is known to cause  
2 reproductive effects.

3           However, this is a, you know, necessary element  
4 and so keep your dose below whatever the other X is,  
5 right? So -- so we -- we can't address it that way. And  
6 that's why I was saying we could come up with our -- with  
7 a specific warning that would address those issues, if the  
8 chemical gets listed.

9           (Multiple voices.)

10           DIRECTOR ZEISE: Jump in here too real quick.  
11 Hi. This is Lauren at OEHHA. The way that vitamin A is  
12 listed, it really is listed in a way that precludes a need  
13 to warn for levels that aren't harmful. So the way -- the  
14 actual listing of it did include a parenthetical. So that  
15 would be something to look at as well.

16           Of course, if we got to that point, if the  
17 Committee considered it, we would look to the Committee  
18 for their guidance on that.

19           COMMITTEE MEMBER HERTZ-PICCIOTTO: I think the  
20 difference between -- possibly a difference between  
21 vitamin A and manganese is that -- I mean, both of them,  
22 you know, you can get exposed through the diet, but  
23 manganese also has these exposures through air and water  
24 and occupational situations which I'm not sure any of  
25 those would apply to vitamin A, where in other words a

1 person can control what they eat, but they can't always  
2 control what's -- other sources that they may be getting  
3 and they may not be aware of them. So it's well and good  
4 to say well we need this much, but then beyond that how  
5 will they know what they're actually getting.

6 CHAIRPERSON LUDERER: Dr. Pessah, you had a  
7 question/comment?

8 COMMITTEE MEMBER PESSAH: Yeah. Where I found it  
9 very difficult was there seems to be overlapping ranges in  
10 exposures, doses for both the biological -- the important  
11 effects of manganese and those that might be obtained  
12 through -- sort of nutraceuticals that may be charged up  
13 in manganese. And those dose ranges would overlap and  
14 maybe even, of course, be added to it depending on the  
15 time of day that the intake occurred.

16 So I don't think it -- you can clearly break the  
17 physiological levels from those that would be considered  
18 adverse. I think they overlap and can be additive.

19 CHAIRPERSON LUDERER: Any other comments or  
20 questions on manganese?

21 **PUBLIC COMMENT**

22 CHAIRPERSON LUDERER: Do we have any public  
23 comments, Jessica, requests for comments?

24 MEETING MODERATOR: I do see a hand raised from  
25 Donna. It has been up a while, so I'm not sure if it's

1 regarding this. So I am, Donna, going to lower your hand.  
2 And if you do want to mute -- actually you unmuted  
3 yourself. I'll go ahead and let you speak.

4 DR. FARMER: No, it was not about this. It was  
5 earlier on glyphosate.

6 MEETING MODERATOR: All right. So then -- yeah,  
7 so no hands raised regarding what we just -- what we just  
8 spoke to.

9 CHAIRPERSON LUDERER: All right. Okay. Thank  
10 you. Any further discussion by the Committee?

11 **COMMITTEE DISCUSSION AND RECOMMENDATION**

12 CHAIRPERSON LUDERER: Okay. We can then move on  
13 to our final recommendation. So we have high, moderate,  
14 and no concern.

15 So please raised your hand if you believe that  
16 manganese should be considered a -- of high concern.

17 (No hands raised.)

18 CHAIRPERSON LUDERER: All right. I see no hands.  
19 Moderate concern?

20 (Hands raised.)

21 CHAIRPERSON LUDERER: I see, Dr. Plopper, Dr.  
22 Woodruff, Dr. Baskin, Dr. Carmichael, Dr. Breton, Dr.  
23 Auyueng-Kim, Dr. Hertz-Picciotto, Dr. Pessah, Dr. Allard  
24 and Dr. Luderer.

25 Okay. So everyone is in the moderate category.

1 Thank you.

2 **NEONICOTINOID PESTICIDES**

3 **COMMITTEE DISCUSSION**

4 CHAIRPERSON LUDERER: So then we will move on to  
5 our next -- this is a group of chemicals, the  
6 neonicotinoid pesticides, acetamiprid, clothianidin,  
7 imidacloprid and thiamethoxam. And since we have to vote  
8 on each of these separately, I think the -- and we have  
9 several -- we have parabens coming up too and PFASs where  
10 we have to do that, I'm going to propose that we discuss  
11 them one at a time and then vote after each one after  
12 we've discussed it, unless there's -- there are objections  
13 to that. I think that might be the simplest way to do  
14 this.

15 Tracey -- or Dr. Woodruff.

16 COMMITTEE MEMBER WOODRUFF: Just -- yeah, I'm  
17 just thinking I want -- I guess we can't consider them as  
18 a group. I don't know. It's kind of --

19 CHAIRPERSON LUDERER: I mean, the database is not  
20 going to be different.

21 COMMITTEE MEMBER WOODRUFF: (inaudible.) So it's  
22 -- anyway. Okay. That's fine. I guess I would prefer to  
23 discuss it as a group and then go through them  
24 individually, I mean, because they have just like a  
25 similar mechanism of action. You know, studies -- while

1 studies have been done individually, they are relevant  
2 across the chemical. That's why it's a little bit  
3 complicated.

4 CHAIRPERSON LUDERER: How -- okay. So the  
5 discussants are Dr. Woodruff, Dr. Carmichael, and Dr.  
6 Plopper. How do the other discussants feel about that?

7 COMMITTEE MEMBER PLOPPER: That was one of my  
8 concerns too. And not only do these look the mechanisms  
9 are the same and some are not as thoroughly studied as  
10 others, but the result is about the same.

11 COMMITTEE MEMBER WOODRUFF: Right.

12 COMMITTEE MEMBER PLOPPER: And the other is that  
13 one of them is a metabolite of another one.

14 COMMITTEE MEMBER WOODRUFF: Right. Right.

15 COMMITTEE MEMBER PLOPPER: So those two should be  
16 definitely considered together. So, you know, my concern  
17 is the one that produces a metabolite, how do we know when  
18 we're looking at what's the effect of identified as  
19 treatment with that chemical is not actually the result of  
20 the metabolite. That was -- that's my concern. I think  
21 if there were some way we could do it that way, I think it  
22 would be very useful, because we're not -- well, anyway.

23 CHAIRPERSON LUDERER: So discussing them all as a  
24 group, is that your preference?

25 COMMITTEE MEMBER PLOPPER: That would be my

1 preference too, because I think like she said, it would be  
2 more effective in -- and like I said, one of them is a  
3 metabolite of the other, so we can't really discuss them  
4 separately anyway --

5 CHAIRPERSON LUDERER: Okay.

6 COMMITTEE MEMBER PLOPPER: -- because we don't  
7 know if it's that one or the metabolites that's the  
8 problem.

9 CHAIRPERSON LUDERER: Dr. Carmichael.

10 COMMITTEE MEMBER CARMICHAEL: It's fine with me.  
11 Yeah, it's fine with me too and the epi is going to be  
12 very brief.

13 CHAIRPERSON LUDERER: All right. Okay.

14 **DOMOIC ACID**

15 **COMMITTEE DISCUSSION AND RECOMMENDATION**

16 COMMITTEE MEMBER ALLARD: I (inaudible) the  
17 conversation, but I just -- I'm just noticing in the chat  
18 that Dr. Cogliano has been waiting to make an intervention  
19 about the domoic acid since before the lunch break.

20 CHAIRPERSON LUDERER: Oh. Oh, no.

21 COMMITTEE MEMBER ALLARD: And so we just -- I  
22 just want to make sure that at some point we go back to  
23 it.

24 CHAIRPERSON LUDERER: All right. Well, we -- why  
25 don't we -- well we can do that now, since we haven't

1 started the discussion yet, if Dr. Cogliano would like to  
2 give us that information.

3 DR. COGLIANO: Yes, I'm able to do that. Thank  
4 you very much. So the question was about the levels of  
5 Cal -- that California uses in relation to other Pacific  
6 states. And California uses the FDA actual levels, where  
7 we would take action if the level in crab meat or any  
8 other seafood exceeded was greater than or equal to 20  
9 parts per million. Oregon and Washington do the same.

10 Now, there's a separate one for viscera of  
11 dungeness and rock crab, where FDA and California say to  
12 take action above 30 parts per million, because people eat  
13 less of that. Oregon and Washington banned it at equal to  
14 or 30 parts per million. So it's a very, very, very minor  
15 difference.

16 The other thing that we uncovered -- our staff is  
17 really great. I mean, they -- they jumped right on this.  
18 So there are more recent studies that show neurotoxic  
19 effects in humans and in non-human primates at lower  
20 levels than these action levels. And this would also  
21 suggest a concern for developmental neurotox. But these  
22 are neurotoxic on studies. Neurotox studies not  
23 developmental neurotox studies by and large. The  
24 developmental neurotox studies that we were able to find  
25 are summarized in your large document.



1 CHAIRPERSON LUDERER: Okay. Thank you very much  
2 for getting us that information. Since we just heard that  
3 and we have not voted yet on domoic acid, perhaps we  
4 should go back and complete that vote before continuing  
5 with the neonicotinoid pesticides. And thank you, Dr.  
6 Allard, for noticing that in the chat.

7 All right. So then we will vote on domoic acid.  
8 So please raise your hand if you believe that we should  
9 rank domoic acid as being a high priority.

10 (No hands raised.)

11 CHAIRPERSON LUDERER: Okay. I'm not seeing any  
12 hands.

13 Moderate -- is that for high, Dr. Pessah, or  
14 moderate?

15 Were you voting for high or moderate?

16 COMMITTEE MEMBER PESSAH: That was high.

17 CHAIRPERSON LUDERER: Okay. All right. All  
18 right. So one for high. I think I didn't miss anyone  
19 else. All right, Dr. Pessah, for high.

20 And then do we have any people voting for  
21 moderate priority.

22 (Hands raised.)

23 CHAIRPERSON LUDERER: Okay. Dr. Plopper, Dr.  
24 Woodruff, Dr. Carmichael, Dr. Breton, Dr. Auyeung-Kim, Dr.  
25 Hertz-Picciotto, Dr. Allard, and Dr. Luderer.

1           And anyone considering -- I think I said Dr.  
2 Baskin. No, if not. All right. All right. Dr -- then  
3 we have one -- is there a vote for no priority?

4           (No hands raised.)

5           CHAIRPERSON LUDERER: Okay. All right. That's  
6 what -- so then we are -- we have finished then with  
7 domoic acid.

## 8                           NEONICOTINOID PESTICIDES

### 9                           COMMITTEE DISCUSSION

10           CHAIRPERSON LUDERER: And we'll go back to the  
11 neonicotinoid pesticides. So as we just, I think,  
12 concluded, we would discuss these -- or the discussants  
13 thought it would be better to discuss them as a group.

14           So, Dr. Carmichael, would you like to start with  
15 that?

16           COMMITTEE MEMBER CARMICHAEL: Sure. I'll just  
17 summarize that epi studies briefly and then I assume the  
18 others can get into more detail the -- more broadly the  
19 mechanisms and so forth.

20           So two of these had no epi studies, so those are  
21 quick, the clothianidin and the thiamethoxam. And the  
22 acetamiprid had one human study -- human epidemiologic  
23 study that is. And it was suggestive, but very small, and  
24 once again it was just one study. It was 65 pre-term low  
25 birth weight babies who were admitted to the NICU in one

1 hospital in Japan. They looked at urine samples at birth  
2 and at two weeks and they only really had much detection  
3 of a metabolite. They measured seven different  
4 neonicotinoids, but only really detected this one  
5 metabolite of acetamiprid. And it was detected in a  
6 quarter of the newborn samples and only 12 percent of the  
7 samples they took two weeks later. They did test  
8 suggested findings of a higher detection rate and the  
9 babies who were small for gestational age.

10 But it was a really -- it was an odd analysis in  
11 that they were -- they were putting together the samples  
12 that they took from the same babies at two different time  
13 points. So that's all there is there.

14 And then for imidacloprid -- I'm sorry if I'm  
15 mispronouncing any of these. There's basically two  
16 studies. One is three publications, but they're all from  
17 the national birth defects prevention study and I'm a  
18 coauthor on those. That is a large population-based case  
19 control study. And it was based on California  
20 participants in that study and whether they lived within  
21 five a 500 meter radius of resident -- of proximity to  
22 commercial agricultural pesticide applications. And this  
23 was based on the Pesticide Use Reports in California.  
24 Looked at a bunch of different compounds from 10 to 30  
25 different chemicals per birth defect that actually had

1 enough exposures to be able to study them, which was set  
2 at at least five exposed cases. So we looked -- we  
3 actually looked at a ton more chemicals, but didn't have  
4 the power to look at them, because they were less  
5 frequent.

6           Basically, in summary, looked at a number of  
7 different structural anomalies and found modest suggestive  
8 associations with this compound and gastroschisis, one  
9 congenital heart defect, and anencephaly and orofacial  
10 clefts. But again, these -- they were in the range of,  
11 you know, 1.5 to around two-fold increased risk or odds  
12 ratios. And it is the only study that we're aware of  
13 that -- who had -- we called it hypothesis generating,  
14 because other studies had looked at these compounds  
15 specifically for these outcomes, so that's what there was  
16 in that sort of area.

17           And then the other study that was listed in our  
18 materials was a study of autism by Keil and others,  
19 including Dr. Hertz-Picciotto. And they did find modest  
20 association of use of products that in -- for flea and  
21 tick control on pets that include this ingredient and  
22 found that consistent users had a two-fold increased risk.  
23 Again, it was suggestive, but it is a -- it's the only  
24 study about -- that I'm aware of, at least that was in  
25 our -- I didn't do a full literature search beyond what

1 was in our materials. But that is the summary of the  
2 epidemiologic literature we have before us.

3 CHAIRPERSON LUDERER: All right. And did --  
4 let's see, our next discussant is Dr. Plopper.

5 COMMITTEE MEMBER PLOPPER: Okay. Well, there --  
6 the animal toxicology studies kind of vary in number and  
7 the subjects that they focused on. And the one that had  
8 the most was the imidacloprid. And it's a metabolite of  
9 the -- of one of the others. And the areas that seem to  
10 be the -- have the most problem or show the most changes  
11 from animal to animal were in the impact on development  
12 and growth of offspring and negative impacts on the brain,  
13 in terms of failure to do these various tests.

14 And for some of the chemicals, there was -- some  
15 didn't -- most of them didn't look at it, but those that  
16 did found changes in various endocrines related to  
17 testosterone and various androgen receptors. The female  
18 reproductive studies, so changes in the ovary and in --  
19 again, in estradiol and LH and FSH levels, and  
20 progesterone levels.

21 And in males, it seemed to be consistent that  
22 there was some sort of a pathology in the testis, as well  
23 as the inability of the sperm to function either through  
24 motility or viability. And there were large numbers of  
25 mutations. And the number of sperm production was

1 dropped. And it's variable. I don't know if you want to  
2 go through chemical by chemical, but it's variable, the  
3 amount of study in each one of them.

4 But they also -- when they had the same -- looked  
5 at the same subject, it tended to be pretty much the same  
6 result. The one that did the most on the brain found that  
7 the hippocampal area was really damaged. So I'll just  
8 stop there. I don't know if -- let Tracey do the rest of  
9 it.

10 CHAIRPERSON LUDERER: Okay. Yeah, I think at  
11 the -- once all three of you have spoken, I think maybe  
12 we'll ask you for your preliminary kind of thoughts on the  
13 priority.

14 So, Dr. Woodruff.

15 COMMITTEE MEMBER WOODRUFF: Yes. Thank you for  
16 the previous comments. I just want to note that this  
17 mechanism the neonics act on cen -- or a cen -- act on the  
18 central nervous system, in terms of they act on the  
19 nicotinic acetylcholine receptors and prevent  
20 acetylcholine from transmitting. So sort of similar to  
21 the organophosphates. And it's true the most studies were  
22 on imidacloprid. And there aren't very many human  
23 studies, but there are quite a few animal studies.

24 And I think the thing that I was expecting was to  
25 see more neurodevelopmental studies, but there are quite a

1 few studies looking at exposures and effects on male  
2 reproductive health. So the findings indicate effects on  
3 male reproductive organs including seminal vesicles,  
4 epididymis, testes, and effects on sperm, including  
5 reduced sperm concentration, reduced sperm mobility and  
6 viability, increased sperm abnormalities. And this was  
7 also studies on changes in male reproductive hormones,  
8 including a number of studies, I think Dr. Plopper  
9 mentioned this, that reported decrements in testosterone  
10 measurements. So that's some consistency across those  
11 findings.

12           And while there were some studies -- I mean, you  
13 know, across any science, there's some studies that  
14 there's variability in findings. But I would note that  
15 the studies that looked at more chronic exposures were  
16 more likely to find studies in the ones that didn't find  
17 effects. And the ones that didn't, tended to be more  
18 short-term, acute exposures.

19           So I thought that was -- actually, the male  
20 reproductive effects studies were quite compelling. There  
21 were a number of studies looking at neurodevelopmental  
22 effects, some of the more guideline studies and saw  
23 various responses, including decrease in auditory startle  
24 response, decreased performance in certain types of tests.  
25 And that -- albeit, there's only one study in humans that

1 is somewhat consistent with that.

2           And then there were some studies looking at  
3 effects on birth defects. So skeletal variation, so  
4 decrements in pup weights, but essentially developmental  
5 effects that were again, you know, these -- kind of like  
6 we're looking at the prioritization, but it wasn't  
7 inconsistent or could be consistent with the very small  
8 number of human findings.

9           Finally, there were also female reproductive  
10 effects, including effects on the ovaries, the ovarian  
11 damage, decreased ovarian weights, effects on ovarian  
12 follicle development. And similarly, as Dr. Plopper  
13 mentioned, there were also observations of effects on  
14 hormones related to that.

15           And I just -- I noticed when I was looking at  
16 this, that the State of Michigan has done a review of  
17 this, because they reviewed the toxicity -- I'm sorry, not  
18 Michigan, Minnesota -- for their water quality guidelines.  
19 And they noted that they also found similar effects. So  
20 they found developmental effects, reproductive and  
21 neurotoxicity effects for imidacloprid, clothianidin, and  
22 thiamethoxam.

23           So I -- just looking at the -- well, so anyway.  
24 That's my story. Now, I know you want to talk about them  
25 individually. I'll have to think about that for a minute,



1 so...

2 CHAIRPERSON LUDERER: Okay. Do we have -- maybe  
3 we'll start again with Dr. Carmichael, did you have  
4 preliminary thought about what the priority?

5 COMMITTEE MEMBER WOODRUFF: Yeah, I would just  
6 say -- so, I wanted -- I wanted to start, because I just  
7 think the male reproductive effects were pretty compelling  
8 across these studies, so I --

9 CHAIRPERSON LUDERER: Okay.

10 COMMITTEE MEMBER WOODRUFF: -- I just think that  
11 from that perspective, I would rank this as high, given  
12 that this is a pretty widely used pesticide. Though I  
13 have to -- you know, I -- I look at it as a group, so I  
14 want to think about how I would rank them individually.

15 CHAIRPERSON LUDERER: So high for the group is  
16 what your -- your first thought.

17 COMMITTEE MEMBER WOODRUFF: Um-hmm.

18 CHAIRPERSON LUDERER: Yes.

19 Okay. Dr. Carmichael.

20 COMMITTEE MEMBER CARMICHAEL: Just one other  
21 point to make, I'm not sure if any of us made it, is that  
22 the -- the poundage that's applied to your is like at  
23 least 10-fold higher for imidacloprid than the other  
24 ones -- than the other three, so I --

25 COMMITTEE MEMBER WOODRUFF: Right.

1 COMMITTEE MEMBER CARMICHAEL: And it -- and I'm  
2 just not sure if there was more evidence. I think maybe  
3 one of you said there was more evidence on that one than  
4 the other ones tended to be and --

5 COMMITTEE MEMBER WOODRUFF: Right.

6 COMMITTEE MEMBER CARMICHAEL: -- it may for that  
7 reason.

8 COMMITTEE MEMBER WOODRUFF: Yeah. So maybe  
9 that's the way to prioritize it, because it's used at a  
10 higher rate, but you --

11 COMMITTEE MEMBER CARMICHAEL: Depending on how  
12 approach it, yeah, that would be the one that sounds like  
13 it's higher -- higher -- more commonly an exposure.

14 CHAIRPERSON LUDERER: Dr. Plopper.

15 COMMITTEE MEMBER CARMICHAEL: So in that vein, I  
16 mean, for that one I would tend towards a higher more  
17 moderate. Not a no for me.

18 CHAIRPERSON LUDERER: All right. Dr. Plopper.

19 COMMITTEE MEMBER PLOPPER: I would agree. I  
20 thought the male reproductive information was very -- very  
21 compelling. And I -- I would have no problem ranking them  
22 based on how much is actually used here. But I think we  
23 should also consider the ones -- one that's a metabolite  
24 and make sure that the -- that the parent compound is  
25 considered at the same time.

1 COMMITTEE MEMBER WOODRUFF: Yeah, that's a good  
2 recommendation. I agree with that.

3 COMMITTEE MEMBER CARMICHAEL: So which ones are  
4 metabolites of each other? I had just written down  
5 that --

6 COMMITTEE MEMBER WOODRUFF: Well, yeah, I have  
7 that this one is just a DMAP is a metabolite of  
8 acetamiprid. (inaudible)

9 COMMITTEE MEMBER PLOPPER: I'll have to --

10 COMMITTEE MEMBER CARMICHAEL: Clothid --  
11 clothianidin is a metabolite of thiamethoxam. I'm sure  
12 took -- I'm not sure where I took that from.

13 COMMITTEE MEMBER PLOPPER: Yes.

14 COMMITTEE MEMBER WOODRUFF: Yeah, yeah. That's  
15 what you -- right.

16 COMMITTEE MEMBER CARMICHAEL: And then DMAP is a  
17 metabolite of the -- which one.

18 COMMITTEE MEMBER WOODRUFF: It's -- that's the  
19 metabolite acetamiprid.

20 COMMITTEE MEMBER PLOPPER: Yes.

21 COMMITTEE MEMBER CARMICHAEL: Okay. And that was  
22 in that one study that I viewed. Yeah, okay. But that  
23 wasn't -- that's not something that we studied as --

24 CHAIRPERSON LUDERER: Separately, right. Yeah.

25 COMMITTEE MEMBER WOODRUFF: Oh, well. Now,

1 that's not covered. You're right.

2 (Laughter.)

3 CHAIRPERSON LUDERER: All right. Any comments  
4 from any of the other panel members?

5 Dr. Allard.

6 COMMITTEE MEMBER ALLARD: Yeah, I'm probably  
7 going to open a can of worms here, but, you know, that  
8 what's interesting about neonicotinoids is that they were  
9 designed to affect the cholinergic system, but with a  
10 specificity for non-human species. But I was kind of  
11 going back to our mandate. And the fact that we are  
12 looking at these chemicals from the ability to cause  
13 reproductive toxicity and it actually does not  
14 specifically say humans. And when we think about  
15 beneficial species like these and the weight of evidence  
16 showing that these chemicals kind affect -- can actually  
17 be at the root of colony collapse disorders, I was  
18 wondering whether we should put that -- the reproduction  
19 of other species, beneficial species into -- into the  
20 balance and not just focus perhaps too narrowly on  
21 ourselves or rats and mice.

22 CHAIRPERSON LUDERER: Thank you for that comment.  
23 And perhaps the staff have a -- can say something about  
24 that. But first, I know Dr. Pessah had his hand raised.

25 COMMITTEE MEMBER PESSAH: Well, I teach this

1 stuff to veterinarians every year. And the data on  
2 beneficial insects is quite damning, I think. The  
3 reproductive effects, you know, this experiment has been  
4 done on millions, and millions, and millions of  
5 domesticated animals constantly and many of them are high  
6 valued breed specific. You think that one would have  
7 picked up on reproductive effects just from adverse  
8 reporting. And I don't really see this in the literature.  
9 Now, not scientific, but breeders are, you know, very  
10 sensitive to anything that affects the reproductive  
11 success of their animals.

12           And with respect to production, that hasn't shown  
13 up in any of the sort places you would think you'd pick it  
14 up. But in terms of what Dr. Allard just said, that is a  
15 huge issue. And if we need to consider that, that would  
16 move up the priority for me, but otherwise, I think it's  
17 probably in the moderate.

18           CHAIRPERSON LUDERER: Do we have any input on  
19 that question from staff?

20           CHIEF COUNSEL MONAHAN CUMMINGS: Yeah. This is  
21 Carol. I think that the way that Prop 65 has always been  
22 interpreted is that it's warnings for human exposures to  
23 chemicals. We can rely on animal studies to identify  
24 those chemicals, but it isn't designed to address kind of  
25 environmental issues like bees directly. So I don't -- I

1 don't think you can really take that into account on -- in  
2 terms of plop 65 not to say it isn't important, but  
3 it's -- it wouldn't raise the priority or make us, you  
4 know, list a chemical based on the effects on bees  
5 directly, if that helps.

6 CHAIRPERSON LUDERER: Yeah. Thank you for that  
7 clarification.

8 **PUBLIC COMMENTS**

9 CHAIRPERSON LUDERER: I know we have a number of  
10 public comments, so why don't we turn to the public  
11 comments and then we can have further discussion after the  
12 public comments from the group.

13 So, let's see. I see that we have -- these are  
14 organized by the agent somewhat. So for acetamiprid Keith  
15 Morris-Schaffer from Exponent requested comment. Jessica,  
16 is -- can you unmute him?

17 MEETING MODERATOR: Absolutely.

18 CHAIRPERSON LUDERER: Yes.

19 MEETING MODERATOR: And I see Keith. So Keith,  
20 I'm going to go ahead and unmute you. Just go ahead and  
21 press the button on your side as well and then we can hear  
22 you.

23 DR. MORRIS-SCHAFFER: Can you hear me?

24 CHAIRPERSON LUDERER: Yes.

25 MEETING MODERATOR: Yep.

1 DR. MORRIS-SCHAFFER: Okay. Thank you.

2 Good afternoon, everyone. My name is Keith  
3 Morris-Schaffer and I'm a toxicologist with Exponent in  
4 our Sacramento office, speaking on behalf of Nippon Soda  
5 and their United States branch, Nisso America, which is  
6 the primary distributor of acetamiprid-based pesticide  
7 formulations in the U.S.

8 We appreciate the opportunity to speak to you all  
9 today and we appreciate the Committee members,  
10 particularly the lead discussants, who reviewed  
11 acetamiprid. And I've spent time reviewing the literature  
12 and providing thoughtful comments of their own.

13 As we presented in our written comments, we do  
14 respectfully request that DARTIC consider each of the  
15 neonicotinoids on an individual basis for the purposes of  
16 prioritization. The four neonicotinoids listed for  
17 prioritization by OEHHA are four disparate compounds with  
18 unique physical, chemical and toxicological properties  
19 that should be evaluated independently.

20 The United States Environmental Protection Agency  
21 has very recently stated that the neonicotinoids present a  
22 broad spectrum of different insecticidal properties and  
23 outcomes and that based on its generalized toxicological  
24 profile from animal studies, acetamiprid does not, in  
25 fact, have a recognized common mode of action with other

1 substances.

2           Furthermore, as presented in our written  
3 comments, there's no indication that in the published or  
4 unpublished literature that acetamiprid has a mode of  
5 action that's specific to male reproductive or  
6 developmental toxicity.

7           And just with regards to more detail on  
8 mechanism, it should be noted that, as Dr. Allard I think  
9 said, they were designed originally to target insecticidal  
10 nicotinic acetylcholine receptor subtypes. However, at  
11 the same time, they're also shown to have magnitudes less  
12 binding and interaction potential for mammalian nicotinic  
13 acetylcholine receptor subtypes in the central nervous  
14 system.

15           As such, there's very limited evidence that  
16 neonicotinoids can have direct adverse impacts on the  
17 central nervous system of mammals. Their toxicity  
18 profiles, including histopathology, clinical pathology,  
19 and behavioral observations of mammals are much more  
20 indicative of generalized toxicity mode of actions, rather  
21 than preferential targeting of nicotinic systems. And  
22 this was supported in a good review in 2016 Sheets et al.,  
23 which was in the OEHHA documents, which found substantial  
24 differences between nicotinic based NT outcomes and the  
25 variety of outcomes presented in neonicotinoid



1 developmental neurotoxicity studies.

2           It's also worth noting, because I know this was  
3 brought up, that acetamiprid does not have a parent or  
4 metabolite relationship with the other three neonics at  
5 hand for discussion. The DMAP metabolite that was brought  
6 up, that was just a metabolite used as a biomarker  
7 exposure in a epi study, and the one epi study for  
8 acetamiprid. And these chemicals really shouldn't be  
9 evaluated as a group, as their toxicology endpoints and  
10 results are specific to each chemical.

11           I think Dr. Carmichael did a great job reviewing  
12 the acetamip -- the one acetamiprid epi study and she got  
13 her points across regarding that's very limited -- and as  
14 such, since there's only one study, it doesn't really pass  
15 OEHHA's screening prioritization criteria, which requires  
16 two studies.

17           So based on sort of the lack of human data for  
18 acetamiprid, there's a significantly higher burden to have  
19 very strong evidence from animal studies to support that  
20 acetamiprid poses a significant hazard. However, based on  
21 the -- a comprehensive review of these animal toxicity  
22 studies, there's no indication that acetamiprid is a male  
23 reproductive or developmental toxicant.

24           There's an extensive database of high quality  
25 guideline studies for acetamiprid that have directly

1 evaluated male reproductive and developmental outcomes.  
2 It's also worth noting that all -- that some of these  
3 guideline studies, particularly the ones that are  
4 investigating chronic and subchronic exposure across  
5 multiple species, mice, dogs and rats, were not, in fact,  
6 in the OEHHA document, so there might have been limited  
7 exposure to those guideline studies looking at male  
8 reproductive outcomes.

9           However, these studies and all their data are  
10 rigorously reviewed by regulatory agencies, including  
11 consideration of statistical and biological significance  
12 as part of the pesticide registration process. And as we  
13 presented in our written comments, all six guideline  
14 studies that directly evaluated the effects of acetamiprid  
15 on male reproductive outcomes and thus the two guideline  
16 studies on prenatal-only exposure developmental outcomes,  
17 none of these studies indicated any adverse outcome.

18           And this interpretation is very consistent with  
19 U.S. EPA, with the California Department of Pesticide  
20 Regulation, with the World Health Organization, and in a  
21 very, very recent review in 2018, the European Chemicals  
22 Agency Biocidal Products Committee.

23           With regards to the published literature on  
24 acetamiprid male reproductive outcomes, the studies have  
25 quite a few limitations. Some of them are using pesticide

1 formulations and not directly evaluating acetamiprid  
2 itself, and some of them can also just be attributable as  
3 a second consequence of general system toxicity with no  
4 male reproductive specific hazard.

5 With regards to developmental outcomes, there's  
6 really only very few studies looking at DNT outcomes. And  
7 most of them essentially have a postnatal exposure window.  
8 And the endpoints of interest are occurring after that  
9 postnatal window. And the basis of a developmental  
10 listing under Proposition 65 is specific to effect on the  
11 conceptus or in utero exposure. And it's difficult to --

12 DIRECTOR ZEISE: Excuse me, if I could just  
13 interrupt. We don't have a bell or a light to show, but  
14 the five minutes are up, Ulrike. I just wanted to let you  
15 know.

16 CHAIRPERSON LUDERER: Okay. Thank you.

17 DR. MORRIS-SCHAFFER: Okay. So just -- I guess  
18 I'll -- just to note that again since the basis for  
19 developmental listing under Proposition 65 is in utero  
20 exposure alone or affects on the conceptus, by having  
21 studies that include a postnatal window and looking at  
22 endpoints after that, it's again difficult to attribute  
23 that effect to an in utero exposure.

24 And I guess to conclude, we could just say we  
25 respectfully request the four neonicotinoids be considered

1 separately when considering and voting on prioritization,  
2 and we also request the DARTIC Committee recognize that  
3 acetamiprid on its own does not currently pass OEHHA's  
4 screening criteria for prioritization. Therefore,  
5 acetamiprid should be identified as no or low priority for  
6 hazard evaluation.

7 Thank you.

8 CHAIRPERSON LUDERER: Thank you. Thank you, Dr.  
9 Morris-Schaffer. Let's see, I have listed that there's  
10 another person, Dr. Jay Murray, who would like to speak to  
11 acetamiprid as well. Do you have Dr. Murray?

12 MEETING MODERATOR: Yes. All right.

13 CHAIRPERSON LUDERER: Okay.

14 MEETING MODERATOR: Dr. Murray, I'm going to go  
15 ahead and unmute you. You should be able to speak now.

16 DR. MURRAY: Okay. Can you hear me?

17 MEETING MODERATOR: Yes, we can.

18 CHAIRPERSON LUDERER: Yes.

19 DR. MURRAY: Good. Well, thank you. This is Dr.  
20 Jay Murray. And most of you know me. For those who  
21 don't, I'm a toxicologist, a former member and Chairperson  
22 of this Committee. And I'm speaking on behalf of the  
23 companies responsible for the other neonic pesticides on  
24 your agenda, that's the second, third, and fourth on your  
25 agenda.

1 I've got two points. The first is I encourage  
2 you to prioritize all four neonics individually, not as a  
3 class. This morning, Dr. Pessah mentioned  
4 organophosphates as an example of a group of chemicals  
5 that should not be treated as a class and neonics is a  
6 similar example. The late Dr. John Casida at UC Berkeley  
7 was one of the world's leading experts on the toxicity of  
8 neonics. And his reviews show each neonic has a different  
9 profile of effects on the various nicotinic acetylcholine  
10 receptors that occur in mammals.

11 And Dr. Allard correctly noted that the neonics  
12 are designed to take advantage of differences in the  
13 binding affinity of the neonic or nicotinic receptor that  
14 exist in insects compared to nine or more nicotinic  
15 receptors that occur in vertebrate species.

16 So depending on the specific neonic, the effect  
17 on the receptor is not the same. It can range from weak  
18 stimulation, to potent stimulation, to blocking the  
19 receptor for stimulation. So you wouldn't affect -- you  
20 wouldn't expect them to all have similar activities.

21 Some neonics produce evidence of transient  
22 nicotinic signs at high doses, but others do not. And for  
23 those reasons, the neonics should be prioritized  
24 individually not as a class.

25 Second, I urge you to consider the importance of

1 maternal toxicity in prioritizing the developmental  
2 toxicity of neonics. Prioritization procedure as well as  
3 your listing criteria address the maternal tox issue. And  
4 historically, this Committee has considered the  
5 relationship between maternal toxicity and developmental  
6 toxicity. And chemicals that cause developmental toxicity  
7 that is not secondary to maternal toxicity have been the  
8 ones more likely to be assigned a high priority.

9 Prop 65 is not focused on all aspects of  
10 toxicity. It does not address systemic toxicity, instead  
11 focusing on cancer and reproductive toxicity only. So as  
12 stewards of Prop 65 resources, I encourage you to  
13 emphasize chemicals that show effects in the absence of  
14 maternal toxicity over those that do not.

15 Thank you. And I'd be happy to respond to any  
16 questions.

17 CHAIRPERSON LUDERER: All right. Thank you very  
18 much, Dr. Murray.

19 DR. MURRAY: Thank you.

20 CHAIRPERSON LUDERER: We have -- yeah, and we  
21 have several additional speakers who wish to speak I  
22 believe about clothianidin. Edward Scollon, I hope I'm  
23 pronouncing that correct, from Valent USA. Jessica, do  
24 you have Dr. Scollon, can you unmute him?

25 MEETING MODERATOR: I sure do. Okay. So I'm

1 going to go ahead and unmute you know. And you should be  
2 able to unmute yourself and speak.

3 DR. SCOLLON: Thank you. I appreciate that.

4 So I want to start by saying good afternoon. And  
5 to the Committee, I appreciate the time you have taken to  
6 review clothianidin and all the other nico -- neonics on  
7 the list.

8 So for today I'm going to just focus on the  
9 developmental -- well, actually, let me back up one -- one  
10 thing here. So there was some discussion about degradates  
11 for these neonics. And so clothianidin is the degradate  
12 of thiamethoxam. So even though clothianidin is a  
13 degradate, there are separate risk assessments for each of  
14 these chemicals. And they do have varying affects as some  
15 of the previous speakers have already noted.

16 So I'm going to focus again just on clothianidin  
17 and I'm going to really just spend a few minutes talking  
18 about the developmental neurotox -- developmental  
19 neurotoxicity effects that were identified in the DARTIC  
20 prioritization document. Following me, my colleague from  
21 BASF, Dr. Brandy Riffle will speak on reproductive  
22 developmental considerations for clothianidin.

23 So this has already been brought up, but  
24 clothianidin has been registered for use by the U.S. EPA  
25 and Canada's PMRA, as well as several other regions

1 throughout the world, including Australia, Asian Pacific,  
2 and the European Union, since the early 2000s. So it's  
3 been registered for a while.

4 And each of these regulatory agencies have  
5 determined that the label uses of clothianidin do not pose  
6 a risk to human health. So more specifically, the recent  
7 EPA risk assessment in 2017, as well as the PMRA  
8 assessment in 2011, have determined that the risks for  
9 reproductive and developmental effects are low for all  
10 registered uses, as well as the JMPR, which is the FAO WHO  
11 joint meeting on pesticide residues.

12 In 2010, they determined that clothianidin  
13 induced developmental toxicity only in the presence of  
14 maternal toxicity. And it was -- it is not teratogenic in  
15 that clothianidin is not a developmental neurotoxicant.

16 It -- speaking to some of the effects that were  
17 observed in the guideline studies, so the -- regarding the  
18 developmental neurotoxicity study, which has been  
19 mentioned previously, findings in this study included  
20 increased pup mortality in the high dose group, as well as  
21 the decreased auditory startle response again in the mid-  
22 and the high-dose groups.

23 So one of the things I want to point out is that  
24 both the decreased pup body weight was also observed in  
25 the mid-dose and the high-dose groups. So it's difficult



1 to attribute the affects to developmental neurotoxicity  
2 when including their systemic toxicity.

3           And then furthermore, and probably more  
4 importantly, there is no supporting histopathology or  
5 other indications of neurotoxicity in the database of  
6 toxicological studies. And again, as this has been  
7 previously pointed out, that there are numerous studies in  
8 the database, including studies in rats and rabbits, and  
9 chronic studies in the rat, mouse, and dog.

10           Also, there were a couple of studies --  
11 literature studies that were mentioned in the  
12 prioritization document and that appeared to support  
13 developmental neurotoxic findings. However, these papers,  
14 Ozdemir 2014 and the Tanaka 2012, so although singular  
15 findings were observed for each these studies, the weight  
16 of evidence -- the weight of the results are decreased by  
17 limitations within these literature studies.

18           So finally, I just want to conclude that by in  
19 contrast -- in contrast, the reviews by the regulatory  
20 agencies relied on guideline studies, which use a higher  
21 number of animals, appropriate statistical methods, and  
22 they have historical control data which is used to refine  
23 the interpretation of the study results.

24           And therefore, based on the weight of evidence  
25 provided by the existing studies, it's clear the

1 clothianidin is unlikely to propose a human health concern  
2 regarding developmental toxicity.

3           So with that, thank you for your time again, and  
4 if you have any questions, I'll be happy to answer them  
5 now.

6           CHAIRPERSON LUDERER: Okay. Thank you very much.

7           I think we will move on to the next commenter,  
8 which I believe is going to be Brandy Riffle from BASF.

9           Jessica, can you unmute, Dr. Riffle.

10          MEETING MODERATOR: Yes, finding the name here.  
11 Let's see.

12          CHAIRPERSON LUDERER: R-i-f-f-l-e.

13          MEETING MODERATOR: Here we go. All right,  
14 Brandy, I'm going to go ahead and unmute you. You should  
15 be able to unmute yourself here in a few seconds. There  
16 we go.

17          DR. RIFFLE: So thank, Jessica. Can everyone  
18 hear me okay?

19          CHAIRPERSON LUDERER: Yes.

20          DR. RIFFLE: Wonderful. Well, hello. Good  
21 afternoon. Again, my name is Dr. Brandy Riffle. I'm a  
22 regulatory toxicologist with BASF. And I have  
23 responsibility for clothianidin, as well as expertise and  
24 training in endocrine toxicology. And again, thank you so  
25 much for allowing me a few minutes to provide some

1 additional information for consideration today in your  
2 prioritization of clothianidin.

3 I would like to focus my comments to specifically  
4 those regarding the male reproductive toxicity of  
5 clothianidin. First, we do have both a multi-generation  
6 reproductive study in the rodent that was conducted with  
7 clothianidin, the guideline study, and it is used to  
8 support the registrations globally.

9 In that study, there were no adverse findings on  
10 male fertility. There were some slight effects in sperm  
11 motility that were noted. However, these were in animals  
12 that had a body weight decrement of 19 percent.

13 So in 1997, a publication from Chapin et al.  
14 noted in rodents that body weight reductions of 10 percent  
15 or greater compared to control animals can likely impact  
16 sperm motility in rodents. And thus, we think the  
17 findings that we see -- the very slight findings we see in  
18 the male reproductive study are due to general systemic  
19 toxicity and are not relevant for a reproductive hazard  
20 classification.

21 Moving on to the literature that has been  
22 provided and cited by the DARTIC Committee, I'd like to  
23 discuss several of the studies.

24 In general though, there were some findings in  
25 the studies. The overall conclusions from Yanai et al. in

1 this 2017, Bal et al. in 2013, as well as some of the  
2 others, were that there were no relevant findings on  
3 either androgen-related parameters following treatment  
4 with clothianidin or that clothianidin had little  
5 detectable detrimental effects on the reproductive system  
6 of male rats over the measured parameters.

7           Additionally, clothianidin has been screened  
8 using the U.S. EPA's ToxCast in vitro system, and it  
9 has -- was without effect for any of the cellular systems  
10 that are designed to look for possible effects on the  
11 androgen pathway. Again, thank you so much for your time  
12 and I'm happy to be here for any questions that you may  
13 have.

14           Thank you.

15           CHAIRPERSON LUDERER: Thank you very much.

16           We have another request from Larry Sheets from  
17 Bayer Crop Science. I believe it's to speak on  
18 imidacloprid. Is Dr. Sheets available? Jessica, can you  
19 unmute him?

20           MEETING MODERATOR: Absolutely.

21           CHAIRPERSON LUDERER: Thank you.

22           MEETING MODERATOR: So, Larry, I'm going to go  
23 ahead and unmute you. You should be able to unmute  
24 yourself now.

25           Oh, you're self-muted. Go ahead and press it one

1 more time. There we go.

2 DR. SHEETS: Okay. How is that?

3 CHAIRPERSON LUDERER: Good.

4 MEETING MODERATOR: Perfect.

5 DR. SHEETS: Is that better? Okay. Thank you.

6 You can hear me then, right?

7 CHAIRPERSON LUDERER: Yes.

8 DR. SHEETS: Great. Well, thanks and good  
9 afternoon. By way of introduction, I'm a toxicologist  
10 with Bayer. I worked with them for 30 years and I  
11 specialize in developmental neurotoxicology. I was the  
12 study director for the guideline DNT study that's cited in  
13 the OEHHA document and also the lead author of that Sheets  
14 et al. review paper on neonicotinoids and have an  
15 assessment of evidence for developmental neurotoxicity.

16 And I think that would be a good paper for any of  
17 the Committee members who's interested in what is of --  
18 information is available on developmental neurotox or  
19 adverse neurodevelopmental outcomes and the association  
20 between imidacloprid and other neonics with nicotine and  
21 each of the other respective neonics.

22 My comments today will focus on why imidacloprid  
23 should not be prioritized for further review as a  
24 developmental and reproductive toxicant. As noted by  
25 others, the principal mode of action for some of the

1 neonics, and in particular imidacloprid the primary mode  
2 of action, and what we see at relative lower doses  
3 compared to any other findings, is -- is -- are transient  
4 nicotinic signs, transient evidence of nicotinic activity.

5           So in spite of the fact that it's designed to not  
6 affect the vertebrate nicotinic receptors, we do see  
7 findings -- nicotinic effects at relatively high dose  
8 levels, but we don't see developmental and reproductive  
9 toxicity. And that's not -- that's not just my  
10 determination, that's a conclusion of various  
11 authoritative bodies around the world.

12           So -- so to get into kind of the nuts and bolts  
13 of what I want to cover in the next couple -- or three  
14 minutes is the point that U.S. EPA, California Department  
15 of Pesticide Regulations and the Health Canada PMRA have  
16 reviewed the collective body of evidence for imidacloprid  
17 several times over the past 30 years that has been  
18 registered have no associated concerns for developmental  
19 and reproductive toxicity.

20           That's not that there's absolutely no findings at  
21 the high dose. Though what they see is at the -- at high  
22 dose levels, the findings are explained based on  
23 overtotoxicity, maternal toxicity, and such things as that,  
24 because as we understand, if the moms are substantially  
25 impacted in terms of their health, there are going to be

1 consequences in terms of the health of the offspring. And  
2 that's particularly relevant for rodent species where the  
3 mother's health and being able to reproduce again takes  
4 priority over the health -- the health and survival of the  
5 litter, but I'm getting a little ahead of myself now.

6           But in terms of the process, the fact that we  
7 have these recent reviews from various authoritative  
8 bodies, my understanding the process at OEHHA is that a  
9 substance such as this should be assigned a low priority  
10 for consideration by the Committee, because it has already  
11 undergone multiple and recent reviews that includes the  
12 information, including the formation that is cited as  
13 positive -- for positive findings.

14           I think one of the things as I look through the  
15 information for imidacloprid that I see is missing is all  
16 of the negative results. Go through these studies and I  
17 say, well, this study shows this finding. Well, what  
18 about the negative findings in that study. Oh, there's a  
19 negative finding in the -- or, there's a positive findings  
20 that are really emphasized. And I think the -- these  
21 authoritative bodies look at that information as well, so  
22 they have a much broader perspective of the total  
23 toxicology picture for imidacloprid than the Committee has  
24 available to them.

25           Under the -- the category of maternal and

1 developmental toxicity, I would agree with what I  
2 understood from the Committee's comment is there's really  
3 very little information pointing to maternal and  
4 developmental toxicity. There are few findings, but not  
5 consistent across studies reported in the literature. And  
6 for the guideline studies that are cited, those affects  
7 that are seen, like evidence of fetal toxicity, is  
8 associated with pretty substantial maternal toxicity,  
9 including death of some of the mothers in the rabbit  
10 developmental tox study. So that's -- that really puts  
11 that into perspective importantly.

12 Under the comment about neurodevelopmental  
13 effects, there was a point made that there are effects  
14 reported on learning and memory. In fact, I saw only one  
15 developmental tox study that was cited in the OEHHA  
16 document for that. There were a couple three studies that  
17 cited evidence of pathology or effects on GFAP in the  
18 hippocampus.

19 I can say that I don't see a consistent pattern  
20 of that. There's very limited evidence of that. And in  
21 our guideline study, we showed no evidence of effects on  
22 learning and memory effects on the hippocampus. And if  
23 you're interested, I'd be happy to explain the rigor of  
24 the guideline study relative to the studies that are cited  
25 to support that.



1           But I think the most important body of evidence  
2 that I saw and was noted by the Committee were those  
3 evidence of effects on male reproduction and effects on  
4 the ovary.

5           And certainly, there were a few studies there,  
6 and -- but I think the thing that's missing again is  
7 there's several publications that show no associated  
8 effects. And the results of the guideline studies that we  
9 run, the developmental tox studies, the two-gen repro  
10 studies, the developmental neurotox study showed no  
11 such --

12           DIRECTOR ZEISE: Excuse me for -- excuse me for  
13 interrupting, but I'm the five minute buzzer and so your  
14 time --

15           DR. SHEETS: Can I have -- can I have 20 seconds?

16           DIRECTOR ZEISE: And I'll leave to the Chair.  
17 Thank you.

18           CHAIRPERSON LUDERER: Just please complete your  
19 thought and then we need to move on to the other  
20 commenters.

21           DR. SHEETS: Thank you. I appreciate that. The  
22 thing I wanted to say is there are multiple studies,  
23 almost every study we run, looks at effects on ovary,  
24 testis, and evidence of sperm effects. And we have the  
25 full complement of EDSP studies that look for effects of

1 estrogenic and androgenic activity. Those studies were  
2 all negative. So I'd -- I would appreciate the Committee  
3 considering that in the context of the weight of evidence.

4 Appreciate your time --

5 CHAIRPERSON LUDERER: Thank you.

6 DR. SHEETS: -- and apology for running over  
7 time.

8 CHAIRPERSON LUDERER: Thank you.

9 Let's see, we have several more commenters. I  
10 believe Gary Roberts wished to comment. Jessica, do you  
11 have Dr. Roberts and can you unmute him?

12 MEETING MODERATOR: I sure do. All right. Let's  
13 see Dr. Roberts, I'm going to go ahead and unmute you and  
14 then go ahead and take yourself off self-mute as well.

15 MR. ROBERTS: Thank you. I presented my thoughts  
16 on imidacloprid previously alongside glyphosate. This  
17 does come within the scope of your procedure to defer to  
18 U.S. EPA as an authoritative body and I will not repeat  
19 those comments here.

20 Thank you for your time and consideration.

21 CHAIRPERSON LUDERER: Thank you.

22 All right. Let's see we have Daniel Minnema from  
23 Syngenta wanted to comment, I believe, on thiamethoxam.  
24 Jessica, do you have him and can you unmute him?

25 MEETING MODERATOR: Um-hmm.

1 CHAIRPERSON LUDERER: All right. Thank you.

2 MEETING MODERATOR: I see him as well. All  
3 right, I'm going to go ahead and unmute. And you are  
4 unmuted, so you can begin speaking.

5 DR. MINNEMA: Can you hear me?

6 MEETING MODERATOR: Yes.

7 CHAIRPERSON LUDERER: Yes.

8 DR. MINNEMA: Okay. I really don't have any  
9 comments. I just want to reemphasize the point that's  
10 been made that in these guideline studies, we use the very  
11 high dose levels. And usually, it's at those high dose  
12 levels that are associated with various toxicities that we  
13 see these effects. And that's also true for these  
14 reproductive studies, where the females -- or the dams are  
15 also affected in some cases very severely at the high dose  
16 levels. And the effects that we're seeing in the pups are  
17 very likely secondary to that and I'll leave it at that.  
18 Thank you. And thank you very much for taking the time.  
19 I appreciate it.

20 CHAIRPERSON LUDERER: Thank you.

21 And I believe our last commenter is Dr. Jennifer  
22 Sass from the Natural Resources Defense Council. And  
23 could -- is -- Jessica, do you have her on -- and could  
24 you please unmute her, if you do.

25 MEETING MODERATOR: Um-hmm, absolutely.

1 CHAIRPERSON LUDERER: Okay.

2 MEETING MODERATOR: All right. So I'm going to  
3 go ahead. You're unmuted and you can begin speaking.

4 DR. SASS: Thank you very much. So I'm with  
5 NRDC, the Natural Resources Defense Council. I also  
6 commented very quickly on glyphosate, but really most of  
7 my comments, which were submitted in writing too, so I  
8 hope that you have them, are on the neonicotinoid  
9 pesticides.

10 So I want to touch on the main points quickly for  
11 you. The first is that we're asking that the seed  
12 treatments also be included in the exposure evaluation,  
13 because we think that their roughly half of the neonic use  
14 in California and across the country. And we've presented  
15 some pretty carefully collected data from California  
16 databases to show that with some exact numbers.

17 We're asking the Committee to recommend that the  
18 use of neonics on seed treatments be collected and  
19 publicly disclosed. It's difficult to get this  
20 information, but it's important. And California has an  
21 opportunity to make this information publicly accessible,  
22 because it has the best pesticide tracking system in the  
23 country and a diverse agricultural industry, which means  
24 that a lot of the neonic use is used in California.

25 The second point we point out that studies that

1 fail to include metabolites may under predict exposure.  
2 For example, in the acetamiprid studies, it's the  
3 metabolites that had -- that showed -- were associated  
4 with the elevated risk of small for gestational age in the  
5 Ichikawa 2019 study that was in the prioritization report  
6 on page 80. And the original study by those authors  
7 concluded and -- at the end that their findings suggested  
8 that the need to examine potential neurodevelopmental  
9 toxicity of the neonicotinoids and metabolites in human  
10 fetuses. They really emphasized that in that 2019 study.

11           It was also highlighted in some data that isn't  
12 in your report, but that relates to drinking water. It  
13 was some studies in 2019 and earlier by USGS and  
14 University of Iowa collaborative researchers. And they  
15 showed that the metabolites in drinking water could  
16 actually be chlorinated with standards drinking water  
17 treatment and it was those chlorinated byproducts that  
18 were most toxic and that they were concerned about. Some  
19 of them were several hundred times more toxic than the  
20 parent compound. And they did find this in tap water in  
21 the University of Iowa. They took like samples from their  
22 lab.

23           So it is important and we suggest that the  
24 Committee recommend incorporating relevant studies that  
25 monitor neonic metabolites in biota, including water and

1 drinking water, and soil, as well as human biomonitoring.  
2 And recognize that not having those data may underestimate  
3 risks in the -- in studies.

4           We also want to point out that the  
5 industry-sponsored guideline studies that have been  
6 recommended by your industry speakers that preceded me  
7 often underestimate risks and that no effect results  
8 should be interpreted with caution. This is because the  
9 studies are designed to primarily look at standardized  
10 protocols and look at apical effects, like cancer organ  
11 weight changes, body weight changes, skeletal  
12 malformations, loss of fur, convulsions, death. But  
13 they -- these significant toxicity endpoints may miss a  
14 lot of the important kinds of things that you would expect  
15 from compounds like the neonic pesticides that act on  
16 neurological receptors to impair cholinesterase --  
17 acetylcholine activity, especially during fetal or early  
18 life developments where you could have more chronic  
19 developmental effects.

20           So in short, we -- and I also pointed out some  
21 details in my comments of specific neonic guideline  
22 studies that I think were misreported or at least if you  
23 look only at the conclusions of those original studies,  
24 the conclusions say that there's no effects at mid or low  
25 doses, but, in fact, there are effects at the mid and low

1 doses. And some of them are statistically significant,  
2 but they might not be across all of the endpoints  
3 examined.

4           So I have some details in there. You should ask  
5 for those original -- the study summaries. They're called  
6 DERs, the data evaluation records, that EPA produces where  
7 this -- the scientists have generated those. In  
8 particular, there's a memo that I've cited in my own  
9 comments from the EPA statistical experts that have said  
10 they actually provided a corrected statistical analysis,  
11 that's what they call it, and used a more appropriate  
12 model, and appropriate statistical methods. And they did  
13 conclude that some of the effects at the low- and mid-dose  
14 were relevant and important, particularly the auditory  
15 startle reflex in male rats that were exposed prenatally  
16 at both the mid-doses as well as the high doses.

17           They sent that memo, but it did not get into the  
18 final report. It was passed through an EPA chair named  
19 Jess Rowland who has now come out in the glyphosate  
20 litigation as one of the people that was an EPA staff  
21 person working closely with Monsanto.

22           DIRECTOR ZEISE: Okay. Ulrike, I just want to  
23 flag that the five minute time limit has been passed.

24           DR. SASS: Okay. I'll just refer to my written  
25 comments. Thank you very much for your hard work. We

1 appreciate it.

2 CHAIRPERSON LUDERER: Thank you. And I believe  
3 that is the last of the public comments. Is there anyone  
4 else that -- that I've missed who wished to make a public  
5 comment?

6 **COMMITTEE DISCUSSION AND RECOMMENDATION**

7 CHAIRPERSON LUDERER: Okay. I think -- Dr.  
8 Sandy, I think you wanted to clarify something about the  
9 maternal toxicity question.

10 DR. SANDY: Yes. Thank you. I wanted to  
11 actually clarify three points. And the first one is  
12 maternal toxicity and what we say in our 2004  
13 prioritization process document on page four, which you  
14 can refer to. We're talking about weighing the factors in  
15 prioritization, which is what we're doing now in animal  
16 studies. And we mentioned several things.

17 And we say, "In accordance with guidelines of the  
18 U.S. EPA, Environmental Protection Agency, adverse  
19 developmental effects that co-occur with maternal toxicity  
20 and reproductive effects that co-occur with systemic  
21 toxicity are considered evidence of reproductive toxicity,  
22 unless these toxicities are severe enough to preclude  
23 interpretation of the study". And this is in the context  
24 of prioritization.

25 The second clarifying comment is also referring



1 to page four of this prioritization process document that  
2 was sent to you. And it's cited in our report and it's  
3 from 2004. And this has to do with the authoritative body  
4 reviews that you've heard about a few times. So we do  
5 say, "It's unlikely that chemicals will be proposed for  
6 your Committee's review that have been recently reviewed  
7 by an authoritative body and found to have insufficient  
8 evidence of reproductive toxicity. Exceptions to this  
9 generalization may occur, for example, if an authoritative  
10 body has evaluated a chemical but failed to review all  
11 relevant data or compelling new data have become available  
12 since the evaluation". And I'll just point out that for  
13 these chemicals, there's a number of papers that are  
14 coming out last year, and this year, and the year before  
15 that.

16 I'll also make my third comment, which is about  
17 Proposition 65 is concerned with developmental effects.  
18 And in humans, we're concerned with exposures to humans  
19 before birth. I'll point out that many aspects of brain  
20 development in the early postnatal period in rodents  
21 correspond to the prenatal period in humans.

22 Thank you.

23 CHAIRPERSON LUDERER: Thank you, Dr. Sandy.

24 Do we have any additional discussion from the  
25 Committee on neonicotinoids?

1 Dr. Woodruff.

2 COMMITTEE MEMBER WOODRUFF: Yes. I -- I guess a  
3 follow-up with Dr. Sandy, but we also are concerned about  
4 male or female reproductive health endpoints independent  
5 of when the exposures occur, right? It doesn't have to be  
6 a developmental exposure.

7 DR. SANDY: That's correct. There are three  
8 different major endpoints that fall under reproductive  
9 toxicity in terms of Proposition 65, developmental  
10 toxicity, female reproductive toxicity, and male  
11 reproductive toxicity. And those latter two can occur  
12 with exposures after birth, of course.

13 COMMITTEE MEMBER WOODRUFF: Right, because I  
14 think I just want to clarify that some of the studies are  
15 exposures that occur during development, and some of the  
16 commenters talked about that. Studies that -- a lot of  
17 the studies that was looking at in terms of the male  
18 reproductive effects were exposures that occurred -- well,  
19 many of them were in adolescents or adults and had dose  
20 response information. So I just -- because I think I got  
21 kind of -- I was kind of getting confused from the  
22 commenters that there's different exposures and different  
23 outcomes.

24 And then I did also want to note that there  
25 was -- I heard saying that, yes, there is effects that

1 have been observed in these animal studies and also that  
2 there's variation in what those observations are. And I  
3 think that we are prioritizing. We're not doing an  
4 in-depth review of all the literature, and the pros and  
5 cons, and all that type of stuff. So that's what would  
6 happen should this Committee -- should these chemicals --  
7 these chemicals be reviewed by OEHHA, and then come before  
8 the Committee, and then we would do something in depth.  
9 And it's -- given the literature that we have available, I  
10 think that this warrants concern. For some of them I  
11 agree that there is different groups of these. And, I  
12 mean, there's different -- there's four individual  
13 chemicals in here and thinking about having these  
14 prioritizing is important. I think the original  
15 conversation we had about usage is a good way to think  
16 about it.

17 CHAIRPERSON LUDERER: Okay. Thank you, Dr.  
18 Woodruff. Any additional comments?

19 So as a -- yes, Dr. Plopper.

20 COMMITTEE MEMBER PLOPPER: I just want to follow  
21 up on Dr. Woodruff's comments. I disagree with the  
22 comments by the commenters about the fact that there's no  
23 male reproductive toxicity. The most detailed studies we  
24 had available were for -- I will probably mispronounce,  
25 but imidacloprid. There's 11 studies on male

1 reproduction, six of them show testis formation, four of  
2 them show sperm loss. And the reason the others don't  
3 show it is because they didn't look. And the other thing  
4 that I think is of concern is that virtually all of those  
5 studies that looked, and that's about - what have I got  
6 here - nine of them, found that there was some evidence of  
7 severe -- severe oxidative stress in the testis.

8           So I just want to say that I -- I could not find  
9 in any of the literature we were provided any evidence  
10 that there was -- that male reproductive effects were not  
11 significant, because they were all -- they showed up  
12 regardless of what the study was. That's -- that's all I  
13 wanted to say to follow what she had just said. There --  
14 we're looking at different issues than they are. We  
15 haven't -- I don't know how many of these are dose  
16 responses. But the fact that everybody is finding it and  
17 in some cases it's not a big deal of whether it's high  
18 dose, low dose, middle dose, I think -- and particularly  
19 when we're talking about four different species here of  
20 mammals. So I just -- that was all I wanted to say.

21           CHAIRPERSON LUDERER: Okay. Thank you.

22           Any other comments?

23           Dr. Baskin.

24           COMMITTEE MEMBER BASKIN: I've been enjoying the  
25 discussion and all the science and the comments. I think

1 if we're going to actually get anything done, I would make  
2 a motion that we vote to globally say this is a group of  
3 chemicals that we need to do further research, which  
4 doesn't mean that any of them are going to be listed. But  
5 if we're going to go chemical by chemical in each one  
6 these groups, I think that's going to be onerous. And if  
7 I'm not mistaken, the goal today was kind of to  
8 prioritize. So it doesn't mean that we're specifically  
9 saying one of the subchemicals in the group or, you know,  
10 one of the -- different variations is, you know,  
11 potentially dangerous. We're just saying that we're going  
12 to look at all of them carefully. Otherwise, I just don't  
13 know how we're going to get done.

14 CHAIRPERSON LUDERER: Perhaps the staff can  
15 clarify that. My understanding was that you wanted us to  
16 vote on each of these individually. Is it -- would it be  
17 possible to vote on them as a group?

18 COMMITTEE MEMBER BASKIN: Well, actually the  
19 staff -- I don't think the staff said that quite frankly.  
20 I think that was said by a number of people who were from  
21 industry.

22 CHAIRPERSON LUDERER: Dr. Sandy.

23 DR. SANDY: Yeah. Actually, we are asking you to  
24 vote on them individually. You're free to give us a  
25 recommendation on the group as well.

1 COMMITTEE MEMBER BASKIN: I think we should have  
2 listed them individually then.

3 DR. SANDY: They are. They are individual  
4 summaries. And we just grouped them for purposes of  
5 discussion. We thought there would be some efficiencies  
6 of scale in the discussion by the discussants.

7 COMMITTEE MEMBER BASKIN: Okay. Understood.

8 COMMITTEE MEMBER WOODRUFF: But can I then --  
9 because I -- I totally hear what Larry is saying. I  
10 wonder if we should think about imidacloprid as a higher  
11 priority, because it's use is so much higher and then ask  
12 that the other ones kind of -- I don't know. I would  
13 recomm -- you can all vote. Of course, everyone votes the  
14 way they want, but we don't lose sight of the other ones.  
15 That's how I would -- how I think about them. I think  
16 we're going to run into the same when we get to the  
17 parabens and the PFAS as well, so...

18 CHAIRPERSON LUDERER: Yes. All right. Well --

19 COMMITTEE MEMBER WOODRUFF: So I thought that was  
20 a real question. I guess if I was going to prioritize  
21 them, I'd take it based on right now on use. And there  
22 are more studies on it, I mean, so -- but I --

23 CHAIRPERSON LUDERER: Okay.

24 COMMITTEE MEMBER WOODRUFF: You know, with that,  
25 I mean, we could get the BPA effect where it's like, well,

1 you just switch over to another one. So that's why I just  
2 feel like keeping an eye on the other ones is important.

3 CHAIRPERSON LUDERER: Well, so I suggest we go  
4 through them one by one and vote. And then we can also  
5 kind of make a recommendation to view -- to assess them as  
6 a group vote on both ways, if that's all right.

7 Okay. So why don't we start out with  
8 acetamiprid. So do I see any raised hands for high  
9 concern?

10 (No hands raised.)

11 CHAIRPERSON LUDERER: I do not.

12 Moderate priority?

13 (Hands raised.)

14 CHAIRPERSON LUDERER: Okay. Dr. Plopper, Dr.  
15 Woodruff, Dr. Hertz-Picciotto, Dr. Baskin, Dr. Carmichael,  
16 Dr. Auyeung-Kim, Dr. Allard. And did I say Dr. Breton  
17 raised her hand? Yes. Okay. Dr. Breton and Dr. Luderer.

18 Any for no concern?

19 (Hand raised.)

20 CHAIRPERSON LUDERER: Dr. Pessah. All right. I  
21 think that's everyone.

22 Okay. Moving on to clothianidin, high priority?

23 (No hand raised.)

24 CHAIRPERSON LUDERER: I don't see any hands.

25 Moderate priority?

1 (Hands raised.)

2 CHAIRPERSON LUDERER: Dr. Woodruff, Dr. Plopper,  
3 Dr. Baskin, Dr. Carmichael, Dr. Auyeung-Kim, Dr. Allard,  
4 All right. Do I see any -- and Dr. Luderer.  
5 And low priority -- or no priority rather.

6 (Hand raised.)

7 CHAIRPERSON LUDERER: Dr. Pessah, Dr. Breton, and  
8 Dr. Hertz-Picciotto.

9 All right. Moving on to imidacloprid. High  
10 priority, and votes?

11 (Hands raised.)

12 CHAIRPERSON LUDERER: Dr. Plopper, Dr. Woodruff,  
13 Dr. Carmichael, Dr. Allard, Dr. Luderer.

14 Okay. Moderate.

15 (Hands raised.)

16 CHAIRPERSON LUDERER: Dr. Baskin, Dr. Breton, Dr.  
17 Hertz-Picciotto, Dr. Auyeung-Kim. And, Dr. Pessah, did  
18 you have your hand raised?

19 No.

20 Or is that no priority, any votes on that for --  
21 I think -- are you speaking? You might be. You're muted.  
22 Oh, okay. Low priority for imidacloprid, any votes for  
23 that?

24 Okay. I'm not sure if Dr. Pessah voted. Okay.

25 All right. We'll move on to the next is



1 thiamethoxam. All right. High priority.

2 (No hands raised.)

3 CHAIRPERSON LUDERER: I don't see any hands for  
4 high priority -- putting that in the high priority group.

5 Moderate priority.

6 (Hands raised.)

7 CHAIRPERSON LUDERER: Dr. Plopper, Dr. Woodruff,  
8 Dr. Carmichael, Dr. Allard, and Dr. Luderer.

9 Low priority.

10 No priority, sorry.

11 (Hands raised.)

12 CHAIRPERSON LUDERER: Dr. Baskin, Dr. Breton.  
13 Dr. Hertz-Picciotto, and Dr. Pessah, and Dr. Auyeung-Kim.

14 All right. So those are all the individual  
15 chemicals. Do we want to also vote on them as a group?  
16 We can go ahead and do that, since they're -- no. Dr.  
17 Baskin shakes his head. Okay.

18 All right.

19 COMMITTEE MEMBER WOODRUFF: Yeah. I think it's  
20 different than what we thought.

21 CHAIRPERSON LUDERER: Yeah. Yeah. Okay.

22 All right. I think now we do need to take a  
23 break, since it's been quite a while since we've had a  
24 break. So we'll schedule a 10-minute break, so it's 3:00  
25 o'clock. So we will reconvene at 10 after 3:00. All

1 right. See you then.

2 (Off record: 3:00 p.m.)

3 (Thereupon a recess was taken.)

4 (On record: 3:10 p.m.)

5 CHAIRPERSON LUDERER: All right. Welcome back,  
6 everybody. I think we're all back and I think we need to  
7 start out by going back to Dr. Pessah, because I believe  
8 we didn't get your vote on imidacloprid I think that was  
9 the...

10 COMMITTEE MEMBER PESSAH: Moderate.

11 CHAIRPERSON LUDERER: Moderate. All right.

12 Thank you.

13

**PARABENS**

14

**COMMITTEE DISCUSSION**

15 CHAIRPERSON LUDERER: Okay. Then we will next  
16 move on to parabens. Again, this and the next set of  
17 compounds, the PFASs, are -- we have groups of chemicals  
18 here. So I think we can have each discussant give their  
19 reviews for all of the members of those groups, even  
20 though we will at the end have to vote on them separately,  
21 just like we did for the neonicotinoid pesticides. So for  
22 the parabens the lead discussants are Dr. Baskin and I.  
23 Dr. Baskin, would you like to begin?

24 COMMITTEE MEMBER BASKIN: Sure. This may be a  
25 little less of an issue. So there's four parabens that we

1 were looking at. And in reviewing the literature, there  
2 was basically a low or no, which I guess is the same thing  
3 today, or in this meeting, evidence for really listing any  
4 of these compounds. I think the key -- some of the key  
5 data -- oh, let's see. I just lost my screen there.

6           Yeah. Some of the key data is -- our colleagues  
7 up north in 2020, so obviously contemporary Health Canada,  
8 they found no reasons for listing a number of the  
9 parabens. The major effect that we are seeing in some of  
10 the studies, in specifically male reproductive health, was  
11 looking at sperm analysis. And in a number of them, there  
12 was actually no difference, and then others, there were  
13 differences. But we've been seeing changes in sperm  
14 quality based on a number of World Health Organization  
15 studies over the years, but really no change in fertility.

16           So I didn't think that was actually quite  
17 relevant. There is one study that looked at specifically  
18 propyl paraben. That's if Fisher 20 study -- 2020 study,  
19 but there were so many confounding variables and measuring  
20 human anogenital distance has not proven to be the fifth  
21 vital sign. I think they're still fraught with multiple  
22 user issues.

23           So in summary, I did not find any evidence  
24 actually for any of the parabens for moderate or high  
25 evidence for listing. And that's my short and sweet

1 summary.

2 CHAIRPERSON LUDERER: All right. And that's  
3 based on epidemiological literature, correct?

4 COMMITTEE MEMBER BASKIN: Correct.

5 CHAIRPERSON LUDERER: Just to clarify that.

6 Okay. Thank you.

7 So for the parabens there, there were certainly a  
8 much larger number of animal studies in -- for the  
9 parabens than I think than there was epidemiological  
10 literature. So I'm going to try to go by that -- through  
11 them one by one.

12 So one thing that I do note for all of them in  
13 butyl paraben, the first one that I'll be talking about,  
14 is that they are in wide use, butyl paraben is an  
15 antimicrobial preservative used in cosmetics. There's  
16 more than 20,000 cosmetic products, as well as  
17 medications, suspensions, drugs and foods.

18 In the animal studies, I thought that there was  
19 good evidence in the -- with the prenatal and postnatal  
20 exposure where there were neurobehavioral deficits in  
21 learning, social and memory behaviors noted, as well as  
22 reduced anogenital distance in males and females,  
23 increased mammary gland growth in females, decreased ovary  
24 weight, impaired steroidogenesis, and ovarian  
25 folliculogenesis, and subfertility also in females.

1           And in males, there were -- was noted with  
2 prenatal and postnatal exposure combined, decreased  
3 testicular descent, decreased sperm counts, and  
4 motility -- sperm motile, as well as abnormal morphology  
5 of the sperm.

6           There were not as many findings for female  
7 reproductive effects. There was myometrial hypertrophy  
8 noted and increased uterine weight in a uterotrophic  
9 assay, but that latter finding was not consistent.

10           Regarding male reproductive effects, there were,  
11 by several routes of exposure noted, high oral doses,  
12 dietary, and subcutaneous injection, histopathological  
13 abnormalities in the testes, abnormal sperm morphology in  
14 multiple studies, and additional de -- with starting at  
15 prepubertal ages for mice. Oral dosing through the diet  
16 was associated with decreased round and elongated  
17 spermatids, and decreased elongated in rats, and decreased  
18 elongated spermatids in mice, as well as epididymal and  
19 testicular sperm counts decreased in -- in rats and mice.

20           Moving on to isobutyl paraben, this has similar  
21 uses as butyl paraben, but there was much -- there are  
22 much less data on isobutyl paraben. But interestingly,  
23 again under the reproductive effects, there was myometrial  
24 hypertrophy and an increased uterine weight noted in a  
25 uterotrophic assay in females.

1           And in -- with prenatal and postnatal exposure,  
2 there was increased notice changes in the uteri as well  
3 with increased uterine weight and uterine sensitivity to  
4 estradiol. But in contrast to the butyl paraben, there  
5 weren't effects noted on anogenital distance or on  
6 epididymal sperm counts in motility.

7           For methyl paraben, again similar uses as the  
8 other two, with about 12 -- according to the document,  
9 about 12,000 cosmetic products, many -- the majority of  
10 which, more than 9,000, are leave on products containing  
11 this paraben. There -- in the animal studies, there  
12 was -- there were quite a few studies looking at female  
13 reproductive effects showing morphological and  
14 histological changes in the mammary glands with pre --  
15 peri- or postnatal exposure. With adult exposure, there  
16 were effects noted on the estrous cycle with increased  
17 diestrus phase, time in the diestrus phase, and increased  
18 expression of several genes anti-Müllerian hormones,  
19 steroidogenic acute regulatory protein, and cytochrome  
20 P450 11A1 and primordial follicles, and increased FSH  
21 levels and decreased total number of follicles. There was  
22 also delayed vaginal opening, which is an indicator of  
23 sexual maturation and decreased estrous cycle length with  
24 prepubertal exposure.

25           And in gerbils, there was also noted epithelial

1 hyperplasia, increased androgen receptor positive cells,  
2 stromal inflammation and intraepithelial neoplasia in the  
3 Skene's which are the female counterpart to the prostate  
4 gland.

5           Interestingly, in male reproductive effects,  
6 there were no effects noted in two studies on, you know,  
7 organ weights and sperm parameters. However, again, in a  
8 gerbil study, there were morphological changes in the  
9 prostate, akin to what had been noted in the Skene's  
10 periurethral glands in the females, including epithelial  
11 hyperplasia, increased proliferation and increased  
12 androgen receptor protein expression.

13           Finally, moving to the last paraben, propyl  
14 paraben. This occurs naturally in many plants and is also  
15 synthesized for use in cosmetics and pharmaceuticals. So  
16 similar uses as the other parabens. Again, 9,000  
17 cosmetics were listed by the FDA that contain propyl  
18 paraben, of which 7,500 were leave on. And this -- there  
19 was a not very large database for propyl paraben. The --  
20 there was some female reproductive effects noted. Again,  
21 alterations and expression of anti-Müllerian hormone in  
22 the primordial follicles with adult exposure, and increase  
23 serum FSH levels and decreased total number of follicles  
24 with adult exposure in rats. And prepubertal exposure in  
25 rats also caused myometrial hypertrophy, but really very

1 limited for propyl paraben.

2 So as far as my -- I would rank butyl paraben  
3 high priority, isobutyl paraben as moderate, methyl  
4 paraben as high, propyl paraben as moderate.

5 Okay. Thank you. And we'll open it up for  
6 discussion by the Committee, any comments?

7 All right. No comments. We have, I believe, at  
8 least one public.

9 COMMITTEE MEMBER WOODRUFF: Chair.

10 CHAIRPERSON LUDERER: Oh. Yes.

11 COMMITTEE MEMBER WOODRUFF: I thought -- I  
12 noticed that OEHHA didn't have any coverage of the  
13 biomonitoring data, but -- is it because there's no data  
14 from California. My understanding is there's pretty  
15 widespread exposure to these parabens, because they're  
16 high --

17 CHAIRPERSON LUDERER: Yes. Because of the  
18 products that they're in, that's correct.

19 COMMITTEE MEMBER WOODRUFF: Right.

20 CHAIRPERSON LUDERER: Yeah. Does -- any comment  
21 from the staff on that?

22 COMMITTEE MEMBER WOODRUFF: I also want to ask  
23 you a question, Ulrike, but -- okay. I'll wait.

24 CHAIRPERSON LUDERER: Okay.

25 DR. SANDY: We -- that's just something we didn't



1 add to these compounds.

2 COMMITTEE MEMBER WOODRUFF: Okay.

3 DR. SANDY: We had the information on how often  
4 they're used in different products, but we apologize. We  
5 didn't include the biomonitoring data.

6 COMMITTEE MEMBER WOODRUFF: Okay. I mean, I  
7 just -- Ulrike, did you note any of the -- I know that  
8 there's been some in vitro studies of these parabens,  
9 right? Because they're looking at their --

10 CHAIRPERSON LUDERER: Oh, actually, thank you for  
11 reminding me, because one of the things I did want to  
12 comment on is their relative potency for binding to the  
13 estrogen receptor alpha and beta. So it's -- the order of  
14 potency for that is that the greatest binding affinity is  
15 the butyl paraben -- isobutyl paraben, the butyl -- then  
16 followed by butyl, isopropyl, propyl, and ethyl.

17 So to some extent, the -- you know, I wouldn't  
18 say that -- I think -- I wouldn't say that the effects  
19 that we're seeing necessarily followed that rank order,  
20 partly because there's such a difference in the numbers of  
21 studies available for each of the parabens. So I think  
22 that's, you know, difficult to rank them that way.

23 There's other studies that also showed activation  
24 of PXR and CAR by these compounds in, let's see, in MCF-7  
25 cells, as well as rat cells, and increased estrogen



1 you now and you can begin speaking.

2 DR. DASTON: Okay. Thanks very much. My name is  
3 George Daston. I'm a toxicologist with Procter and  
4 Gamble. I'm here at the behest of two trade associations,  
5 Personal Care Products Council and Consumer Healthcare  
6 Products Association.

7 I know many of you both on the Committee and on  
8 staff. And it's good to see your faces. For those of you  
9 I don't know, just a brief introduction. I've been  
10 engaged in research in developmental and reproductive  
11 toxicology since the 1970s. I'm a past president of the  
12 Teratology Society and President of Society of Toxicology.  
13 Also, like you, I've done volunteer advisory work for the  
14 State of California, in my case it's for their Green  
15 Ribbon Science Advisory Panel.

16 What I hope to do in my few minutes here is just  
17 talk about all of the parabens at once and really try and  
18 convince you that they are moderate to no priority  
19 chemicals.

20 There's three things I want to touch on: mode of  
21 action, metabolism, and in vivo effects. In terms of mode  
22 of action, Ulrike has already discussed the mode of action  
23 as being estrogen receptor interaction and agonism. These  
24 compounds are weak. They range from about 10,000 to  
25 100,000 times less potent than 17 beta-estradiol for the

1 butyl parabens to about a million times less potent for  
2 methylparaben.

3           There's some evidence in the literature that they  
4 are also anti-androgenic, but those -- that's  
5 controversial. These studies are easy to do, so they're  
6 also easy to do poorly. Looking at the studies that are  
7 done with high quality control, particularly the EPA's  
8 ToxCast data set, there's no indication of anti-androgenic  
9 effect in over a dozen assays, but there is evidence of  
10 the estrogenic effect.

11           So, of course, there is reason for concern. Now,  
12 why doesn't that translate into significant effects in  
13 vivo? And the reason is metabolism. These compounds are  
14 all esters of para-hydroxybenzoic acid. And there are  
15 esterases at all portals of entry, whether the skin, GI  
16 tract, whatever for which these parabens are extremely  
17 good substrates.

18           There have been good human studies looking at the  
19 level of parabens after dermal application, so Janua et  
20 al., showing that even with heroic amounts of parabens  
21 administered with two phthalates that would be competitors  
22 for the esterase activity, they're still less than 0.1  
23 percent of the para -- of the butyl paraben that gets  
24 through the skin in tact.

25           So that really explains why both in humans we

1 don't see effects. And in animal studies, there's this  
2 real disconnect between effects -- between studies that  
3 are done by the subcutaneous route that bypasses the first  
4 pass metabolism and studies that are done by either the  
5 oral route, where there is first pass metabolism, or  
6 dermal route.

7           When we look at those studies again, you know,  
8 there's a lot of variability in how the -- in the outcome  
9 of the studies. But in the studies that have GLP, higher  
10 statistical power, there tend to be fewer effects. With  
11 methyl paraben, you know, there's really nothing to write  
12 home about. With butyl paraben, there are some  
13 controversies of a study by Boberg in 2016 showed effects  
14 on epididymal sperm concentration, which is consistent  
15 with an anti-estrogenic effect, or I'm sorry, an  
16 estrogenic effect, but there's no dose responsiveness.  
17 And all of the sperm count data are smack dab in the  
18 middle of the historical control range from the controls  
19 that are higher, and studies with similar study designs,  
20 like Hoberman et al. saw nothing even at higher doses.

21           So it doesn't seem as though, you know, these are  
22 studies -- it doesn't see as those these are compounds  
23 that have tremendous potential for human reproductive  
24 toxicity.

25           I'll just end with something that isn't part of

1 your remit, but that I think about, which is these  
2 parabens are preservatives. Preservatives do perform a  
3 useful function for public health, in terms of preventing  
4 microbial contamination of products that could then make  
5 people sick. And there -- the -- there aren't a lot of  
6 preservatives that are without baggage. And the parabens  
7 are among the safer ones. If we swap them out, we'd be  
8 swapping out fairly safe things for either sensitizers or  
9 formaldehyde generators.

10 So I know that that's not, you know, part of the  
11 DART Committee's remit, but it's something that I think  
12 about from a public health standpoint.

13 So I thank you for your time.

14 CHAIRPERSON LUDERER: Thank you very much.

15 **COMMITTEE DISCUSSION AND RECOMMENDATION**

16 CHAIRPERSON LUDERER: All right. Do we have any  
17 additional discussion or comments by the Committee before  
18 we move on to our vote?

19 All right. So we'll -- we will go through these  
20 compounds one by one and vote for each of them separately.  
21 So starting with butyl paraben, so raise your hand if you  
22 have high -- rank this as a high priority.

23 (Hand raised.)

24 CHAIRPERSON LUDERER: Okay. Luderer, one.

25 Moderate priority.

1 (Hands raised.)

2 CHAIRPERSON LUDERER: Woodruff, Allard, Plopper,  
3 Baskin, I believe Pessah, yes, Breton, Carmichael,  
4 Auyeung-Kim. Did I get anyone wrong?

5 Okay. And so then nobody was voting -- Did  
6 anyone want to vote no priority?

7 No. Okay.

8 All right. Moving on to isobutyl paraben, high  
9 priority.

10 DIRECTOR ZEISE: Pardon -- pardon me. Pardon me,  
11 Ulrike. So I think Dr. Pessah, did you want to vote no  
12 priority on the --

13 COMMITTEE MEMBER PESSAH: My vote was no  
14 priority, yeah.

15 CHAIRPERSON LUDERER: Oh. Okay. I thought you  
16 had your hand raised for moderate. Sorry.

17 COMMITTEE MEMBER PESSAH: I was going like this.

18 (Laughter.)

19 CHAIRPERSON LUDERER: Okay. All right.

20 DIRECTOR ZEISE: And, you know, maybe we can also  
21 as we finish, Ulrike, if you wouldn't mind just  
22 summarizing the vote, that would be great.

23 CHAIRPERSON LUDERER: Okay. I'll try to do that.

24 DIRECTOR ZEISE: Yeah, thanks.

25 CHAIRPERSON LUDERER: All right. That means I

1 need to write them down. All right. So let's start with  
2 isobutyl paraben. Anyone vote for high priority.

3 (No hands raised.)

4 CHAIRPERSON LUDERER: All right. I don't see any  
5 hands raised for high priority.

6 Moderate.

7 (No hands raised.)

8 CHAIRPERSON LUDERER: No votes for moderate  
9 priority.

10 Low priority, then I assume carries the day on  
11 that one.

12 (Hands raised.)

13 CHAIRPERSON LUDERER: Okay. Thank you. So all  
14 the Committee members voted low priority on that -- on  
15 isobutyl paraben.

16 Methylparaben, high priority.

17 (No hands raised.)

18 CHAIRPERSON LUDERER: Moderate priority.

19 (Hands raised.)

20 CHAIRPERSON LUDERER: Okay. I see Dr.  
21 Carmichael, Dr. Allard, Hertz-Picciotto, Dr. Plopper, and  
22 Dr. Woodruff, and Dr. Luderer, moderate.

23 So low priority?

24 (Hands raise.)

25 CHAIRPERSON LUDERER: Dr. Baskin, Dr.



1 Auyeung-Kim, Dr. Breton. Did we get Dr. Pessah's vote on  
2 that one?

3 Is that low priority -- or no priority? I think  
4 your connection may not be very good.

5 (Laughter.)

6 CHAIRPERSON LUDERER: Methyl paraben, was that no  
7 priority, Dr. Pessah?

8 COMMITTEE MEMBER PESSAH: It was low priority.

9 CHAIRPERSON LUDERER: Yeah, or -- okay. All  
10 right. Okay. Sorry, did we get all those then, everyone?  
11 We got all your votes. I think we did.

12 I didn't write them down. I'm sorry. Do I need  
13 to go through them again, Dr. Zeise?

14 DIRECTOR ZEISE: We've captured them. Thank you.

15 CHAIRPERSON LUDERER: Okay. Wonderful. Thank  
16 you

17 Okay. The last one is propyl paraben, high  
18 priority.

19 (No hands raised.)

20 CHAIRPERSON LUDERER: Zero. Nobody is voting  
21 high priority on that one.

22 Moderate priority for propyl paraben?

23 (No hands raised.)

24 CHAIRPERSON LUDERER: Dr. Woodruff is your -- is  
25 your hand raised? No.



1 think PFUnDA had one of the longest half-lives. But  
2 again, we're still talking about many years. So I think  
3 PFNA, the shortest that's been measured in humans is 2.5  
4 years, and PFUnDA I think is like seven, if I'm correct.  
5 Actually, sorry, PFHxS has been measured all the way up to  
6 15.5 years in some studies. So just right there that  
7 raised the bar at the very minimum, at a medium, in terms  
8 of needing to look at these longer chain PFASs.

9           Then, of course, there's the layer of, you know,  
10 what data do we already have on those chemicals? And I'll  
11 just, I guess, summarize the trove of data saying that  
12 PFDA from my -- through my lens PFDA and PFNA had some --  
13 some concerning reproductive data in animal models as well  
14 as in vitro data, especially with the association with  
15 PPAR-alpha. Actually, the PPAR-alpha agonist that  
16 definitely put them in the high category for me, so that's  
17 PFDA and PFNA. And then, PFHxS, and PFUnDA had less data.  
18 And so that put them in the -- for me, in the medium  
19 category. There was actually a paucity of data on -- I  
20 thought on these two of some of the studies that are  
21 looked at were not, you know, low powered and not looking  
22 at -- I'm thinking of the PFA -- my God -- PFHxS chemical  
23 that had a really interesting study on germ cell tumors,  
24 for example, but that was only 84 in total. So it was not  
25 necessarily very high powered.

1           So -- so, yes, for me, the main driver and really  
2 the clear cause for concern is that really long half-life  
3 in humans for these longer chain PFASs.

4           CHAIRPERSON LUDERER: Thank you, Dr. Allard.

5           Dr. Breton.

6           COMMITTEE MEMBER BRETON: Okay. So, okay,  
7 overall -- so I did initially think about these much more  
8 as a group than individually. And as a group, certainly,  
9 I would agree with Dr. Allard that -- that this gives  
10 me -- this is a high priority for me. I would rank them  
11 as a high priority as a group.

12           Something to mention is that two of the PFAS --  
13 PFOS and PFOA have actually already been listed under Prop  
14 65, so -- from the same family.

15           With regard to the individual source -- to  
16 summarize the individual chemicals, I'll start with PFDA.  
17 And this is -- these are the epi studies that I'm talking  
18 about. I would say -- so for PFDA, there's a lot of  
19 literature, you know, on -- on this one at this point.  
20 The evidence for associations with reduced fetal and  
21 childhood growth and for endocrine disruption are both  
22 compelling.

23           So there are about five studies looking at growth  
24 metrics all showing association -- significant  
25 associations. And these come from studies that have large

1 sample sizes in general and are in diverse international  
2 cohorts. Although, I'll note that none of them are from  
3 the U.S.

4           There are also three studies showing associations  
5 with thyroid hormones, you know, and a total of eight  
6 studies with generally moderate to large sample sizes that  
7 are looking at endocrine disruption across multiple  
8 countries. And I think the animal studies, though I  
9 didn't look at those as in depth, generally support some  
10 of these findings in humans.

11           For PFHxS, there is perhaps a little bit less  
12 data, but still quite a few studies. And the evidence is  
13 suggestive for the same endocrine and reproductive --  
14 endocrine effects and also for reproductive effects in  
15 males and females. So there are -- there were at least  
16 four studies that showed positive associations, but they  
17 were looking at different hormones.

18           And so, you know, they weren't completely  
19 reproducible, because they were looking at different  
20 outcomes. And again, three to four studies looking at  
21 reproductive effects.

22           I would say there's a little bit less or more  
23 limited evidence for reduced fetal growth and metabolic  
24 effects for this particular chemical, so the literature is  
25 a bit more mixed.

1           For PFNA, the -- there -- the evidence for fetal  
2 growth is again sort of suggestive or even, I would say,  
3 compelling. Five studies showed inverse associations with  
4 birth weight, some had sex-specific effects, some did not.  
5 And I've -- there was one that showed no association with  
6 fetal biometry. There is also suggestive effects for  
7 neurodevelopment, for endocrine effects and for  
8 reproductive effects.

9           The literature is mixed in the sense that, you  
10 know, they're looking at different outcomes in these  
11 categories. They're not all looking at the exact same  
12 thing, but the -- so -- and then there were some limited  
13 effects looking at things like puberty, and respiratory  
14 health, and metabolic effects in the children.

15           And then for PFUnDA, this one had the least  
16 amount of evidence. There -- there are still four studies  
17 looking at endocrine effects again with thyroid hormones  
18 that are consistent. The other four studies -- four or  
19 five studies that looked at fetal growth, showing  
20 suggestive effects in line with some of the other ones in  
21 the family, and some more limited effects looking at  
22 neurodevelopment or in one -- in a couple cases asthma and  
23 eczema.

24           So that -- yeah, that's my summary of sort of  
25 each of the individual chemicals. I would say for me

1 individually, I would rate, you know, most of them high,  
2 with -- I would probably put the last one PFUnDA as  
3 moderate, if I were looking at them individually.

4 CHAIRPERSON LUDERER: Thank you very much for  
5 that summary.

6 Dr. Hertz-Picciotto.

7 You're muted I believe.

8 Still can't hear you.

9 COMMITTEE MEMBER HERTZ-PICCIOTTO: I'm sorry. I  
10 kept pressing it and it wasn't working. And then finally,  
11 it -- on the fifth try, it did, so...

12 (Laughter.)

13 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah. So, Dr.  
14 Breton, did an excellent job of covering all of them. I  
15 actually spent a lot of time trying to figure out --  
16 because in the beginning, I went through, you know, all  
17 these papers, a lot of papers, and it seemed like -- it  
18 was pretty clear that the PFNA and the PFDA, you know,  
19 those two were very clear right from the beginning, I  
20 guess because I started with the perinatal reproductive,  
21 you know, birth weight, birth growth, you know, length and  
22 all of those first.

23 And for those, the two others, the hexane  
24 sulfonate and the undecanoic acid, there seemed to be less  
25 as I -- as I went through it. And, in fact, I think it

1 was the PFHxS that actually showed some -- it appeared to  
2 have benefits, like it -- and there's study where it  
3 showed the decreasing percent a preterm births. And then  
4 there's another one that had its -- I think it was  
5 associated with higher -- I'm not finding that. But in  
6 any case, it -- I started out, and there were studies  
7 where it didn't show up.

8           You did catch that none of those were in the  
9 U.S., which I didn't even notice. But, in deed, you know,  
10 there's, you know, actually 11 studies of low birth  
11 weight, and small for gestational age, and length, and  
12 preterm delivery. And in the beginning, I was thinking  
13 that okay, well, PFHxS, you know, in several studies,  
14 seemed to actually be beneficial and that we would really  
15 need to separate these all out. So that's -- so that --  
16 that still stands I think for the perinatal.

17           When I got to the neurodevelopment though, it --  
18 it sort of -- in fact, the PF -- all -- well, definitely  
19 the PFHxS does show some of these neurodevelopmental  
20 effects, particularly this -- you know, one of these  
21 instruments that we use in a lot of studies called the  
22 Strengths and Difficulties Questionnaire that -- that  
23 comes up with the -- with findings and that include PFHxS.

24           There's fewer studies, you know, of  
25 neurodevelopment, but it starts to look like that when it



1 comes in. But then there are -- as Dr. Breton pointed  
2 out, there are much fewer studies. There are some studies  
3 showing no association with at least one study showing no  
4 association that did look at the undecanoic acid in the  
5 neurodevelopment.

6           However, I think really, in some ways, the  
7 strongest evidence in terms of these other two does come  
8 in when we start looking at the endocrine effects. And  
9 that's where the PFHxS actually does show thyroid --  
10 there's multiple, multiple studies looking at thyroid  
11 hormones. And I think that the -- that's -- that's a  
12 place that we're really seeing -- and again, the fourth  
13 one, the less well studied one, which at one point I was  
14 thinking, oh, you know, there's just not -- not enough  
15 data here at all, but in fact it does show up for  
16 endocrine effects on several studies, and -- at least four  
17 studies.

18           And one of them actually was looking -- sorry not  
19 at thyroid, but at 450 aromatase. So -- and then there's  
20 several other studies looking at the sex steroids as well.  
21 So that's, I think, the place where I started to think,  
22 okay, maybe -- maybe all of them.

23           And then the final group were the reproductive  
24 ones. And there's several studies looking at, for  
25 example, irregular menstrual cycles and premature ovarian

1 insufficiency. And PFHxS shows up here. Although,  
2 they're one of -- in one place it's kind of a U shape.  
3 It's seems like both low and high levels of PFHxS, which  
4 is a little odd, because I don't think of it is an  
5 essential -- an essential compound in any way. But  
6 irregular menstrual cycles definitely for PFHxS. So for  
7 the other two -- another subcategory under the  
8 reproductive.

9           The male reproductive, there isn't that much.  
10 And then there's a few studies on these other DART effects  
11 here, metabolic effects, where there's a study of PFHxS  
12 showing a double -- a strong increase in triglycerides  
13 which seems to be of concern.

14           So, you know, all in all, I think -- you know, I  
15 started out thinking we needed to separate them out, and  
16 if we do, I think that they are -- they are different in  
17 terms of their impacts. But given the number of different  
18 things that DARTIC covers, including these perinatal,  
19 neurodevelopmental, endocrine, and the, you know,  
20 reproductive effects -- I mean, adult reproductive  
21 effects, I think that there's good reason to consider all  
22 of these at least medium, and I -- I would say high. This  
23 is a proliferating chemical that is on the rise, these  
24 chemicals, and -- the persistence that Dr. Allard point --  
25 pointed out really these are -- these are -- these remind

1 me now of the organochlorines, which have left such a long  
2 legacy of toxicity of all kinds. And I would -- I would  
3 put these all in the high category at this point.

4 CHAIRPERSON LUDERER: Okay. Thank you very much.

5 Do we have any discussion about the PFASs?

6 Dr. Allard.

7 COMMITTEE MEMBER ALLARD: Yeah. I guess I want  
8 to point out that when we think about endocrine, or at  
9 least nuclear hormone receptors, we often think in sort of  
10 traditional terms of, you know, estrogenic or androgenic.  
11 But something that I was surprised was unmentioned, or  
12 maybe I missed it, in the document about this PFAS is that  
13 the fact that - and I mentioned it briefly - they are  
14 supposed to -- or many of them act as PPAR-alpha agonists.  
15 And it's unclear whether they could potentially associate  
16 with other PPARs.

17 And several of the data mentioned in -- the  
18 pieces of data mentioned in the document for several of  
19 these chemicals actually really point in that direction.  
20 For example, for PFNA, there was one study that mentioned  
21 how there was lipid droplet accumulation as early as the  
22 pre-implementation embryo, really for me, you know,  
23 suggestive that things go awry from very, very early on  
24 with regulation of this really important part of  
25 metabolism.

1           So although there's some -- there's -- for some  
2 of them, there's not necessarily a ton of data out there.  
3 The fact that some of those pieces of data really align  
4 well with what we understand, I think pretty solidly at  
5 the mechanistic level, is really concerning.

6           That's all I want to say.

7           CHAIRPERSON LUDERER: Thank you for that comment.

8           Any other discussion?

9           All right. We can then move on to the vote.

10          Again, we're going to -- to vote on them one by  
11 one. So we have PFDA first and who -- raise your if you  
12 would vote for that to be in the high priority category?

13          (Hands raised.)

14          CHAIRPERSON LUDERER: Okay. Dr. Auyeung-Kim, Dr.  
15 Carmichael, Dr. Breton, Dr. Baskin, Dr. Plopper, Dr.  
16 Allard, Dr. Hertz-Picciotto, Dr. Woodruff, Dr. Pessah, and  
17 Dr. Luderer. Okay. So that's unanimous.

18          Next is PFHxS. So for high priority, please  
19 raise your hand.

20          (Hands raised.)

21          CHAIRPERSON LUDERER: Okay. Dr. Breton, Dr.  
22 Baskin, Dr. Plopper, Dr. Hertz-Picciotto, Dr. Woodruff,  
23 Dr. Pessah. All right. And Dr. Luderer.

24          Moderate priority.

25          (Hands raised.)

1 CHAIRPERSON LUDERER: Dr. Auyeung-Kim, Dr.  
2 Carmichael, and Dr. Allard.

3 Okay. So then nobody is voting no priority for  
4 that.

5 DF --

6 DIRECTOR ZEISE: Summarize on that one, Ulrike,  
7 because -- Dr. Luderer I heard 7 highs and 3 moderates.

8 CHAIRPERSON LUDERER: Yes.

9 DIRECTOR ZEISE: Great.

10 CHAIRPERSON LUDERER: Okay. We're moving on to  
11 PFNA. For high priority, please raised your hands.

12 (Hands raised.)

13 CHAIRPERSON LUDERER: Dr. Auyeung-Kim, Dr.  
14 Carmichael, Dr. Breton, Dr. Baskin, Dr. Plopper, Dr.  
15 Allard, Dr. Hertz-Picciotto, Dr. Woodruff, and Dr. Pessah,  
16 and Dr. Luderer. So that's unanimous for high.

17 And PFUnDA, raise your hands for high priority.  
18 Anyone voting for high priority?

19 (Hand raised.)

20 CHAIRPERSON LUDERER: Dr. Pessah.

21 And moderate priority?

22 COMMITTEE MEMBER BRETON: I think he was frozen.

23 CHAIRPERSON LUDERER: Oh, he was? Okay. All  
24 right. We're having problems with that. Okay.

25 (Laughter.)

1 CHAIRPERSON LUDERER: Dr. -- all right, Dr.  
2 Pessah, you were not voting for high priority for PFUnDA,  
3 is that correct?

4 COMMITTEE MEMBER PESSAH: (Shakes head.)

5 CHAIRPERSON LUDERER: No. Okay. All right.

6 So no votes for high.

7 All right. So then moderate. Let's start again,  
8 please.

9 (Hands raised.)

10 CHAIRPERSON LUDERER: Dr. Auyeung-Kim, Dr.  
11 Carmichael, Dr. Breton, Dr. Baskin, Dr. Plopper, Dr.  
12 Allard, Dr. Hertz-Picciotto, Dr. Woodruff, Dr. Pessah, and  
13 Dr. Luderer. So that's unanimous for moderate on that  
14 one.

15 (Laughter.)

16 CHAIRPERSON LUDERER: All right. Okay. Thank  
17 you.

18 **TITANIUM DIOXIDE**

19 **COMMITTEE DISCUSSION**

20 CHAIRPERSON LUDERER: So now we're moving on to  
21 the titanium dioxide nanoparticles. The lead discussants  
22 are Diana Auyeung-Kim and I. So, Dr. Auyeung-Kim, would  
23 you like to begin?

24 COMMITTEE MEMBER AUYEUNG-KIM: Sure, I can begin.  
25 So titanium dioxide is widely used in consumer products

1 similar to benzophenone-3 that we discussed earlier.  
2 Titanium dioxide is commonly used in sunscreen at the end.

3 But unlike benzophenone-3, the FDA considers  
4 titanium dioxide as generally regarded as safe and -- safe  
5 and effective. FDA has indicated that the transdermal  
6 absorption of titanium dioxide nanoparticles confirm that  
7 the skin is a relative effective barrier to the  
8 penetration of titanium dioxide, regardless of the  
9 particle size, including those on the nanoscale.

10 And Australia's Goods Administrations also  
11 recently reached a similar conclusion. However, there are  
12 concerns about potential exposure to titanium dioxide  
13 through inhalation ingestion and also within nanoparticles  
14 formulation.

15 So there were no epidemiologic studies that were  
16 available to discuss. The maternal and developmental  
17 studies in animals were conducted in rat, mice, and  
18 monkey. These studies were conducted on titanium dioxide  
19 particle -- of very particle -- varying particle sizes.  
20 In the rat studies, there was no maternal or developmental  
21 toxicity. In the mouse, there were developmental  
22 toxicities that were a result of maternal toxicity and are  
23 placental effects. The monkeys -- oh, and the rat study  
24 was conducted in a GLP laboratory, but was not within the  
25 scope of GLPs.

1           The monkey study was not designed to determine if  
2 there were developmental effects and that used a  
3 non-relevant dose route -- intradermal dose route in a  
4 small number of animals. So there's no clear develop --  
5 so there's no clear evidence of developmental toxicity.

6           However, there are indications of potential  
7 neurodevelopmental effects observed in rats and mice when  
8 exposed in utero. This -- which impacted the learning and  
9 memory in rats and mice, and changes in the physical  
10 out -- physical structure of the brain in the mice.

11           Additionally, titanium dioxide exposure reduced  
12 the levels of testosterone in multiple studies in rats and  
13 mice, which affected spermatogenesis, but there was no  
14 study conducted to look if there was an effect on  
15 fertility.

16           With the wide spread use and potential  
17 neurodevelopmental and male reproductive effects similar  
18 to benzophenone, I would say that it's high priority.  
19 However, you know, if we need to look at all the chemi --  
20 the chemicals in totality and try and prioritize them that  
21 are on the list, I would say that this has a lower  
22 priority than benzophenone, because it is generally  
23 regarded safe and effective by the FDA.

24           CHAIRPERSON LUDERER: Okay. Thank you very much.  
25 I agree with most of what's been said that the



1 neurodevelopmental and the male reproductive effects I  
2 thought were the -- there was -- the evidence was most  
3 compelling in those two areas for titanium dioxide  
4 nanoparticles.

5           Also, with the -- with the developmental, I agree  
6 there was not much on developmental effects. How there  
7 was -- however, there was evidence for impaired placental  
8 vascularization and decreased placental weights in mice  
9 and rats, so as a -- as a maternal or reproductive effect.

10           So I agree, given the high exposure to these  
11 nanoparticles that because of the widespread exposure, I  
12 also would place it in the high priority category. And --  
13 but I do agree that the -- the benzo -- that I would  
14 probably prioritize it lower than benzophenone-3 as well.

15           Any other comments on this compound?

16           Dr. Woodruff.

17           COMMITTEE MEMBER WOODRUFF: Yeah, I have a  
18 question. This is -- maybe Cal EPA can answer this for in  
19 nanoparticle, right?

20           CHAIRPERSON LUDERER: Yes.

21           COMMITTEE MEMBER WOODRUFF: This could be that  
22 the non-nano -- there's -- whatever there's -- it might  
23 not be toxic. Is that -- is that part of the feature of  
24 this one?

25           CHAIRPERSON LUDERER: Nanoparticle only, yes. So

1 it's specifically nanoparticles, so not larger.

2 COMMITTEE MEMBER WOODRUFF: Right. But was it --  
3 was it your sense that the -- that that was in part one of  
4 the concerns about it was the structure of it?

5 CHAIRPERSON LUDERER: Yes.

6 COMMITTEE MEMBER WOODRUFF: Okay.

7 CHAIRPERSON LUDERER: Um-hmm, yes.

8 COMMITTEE MEMBER WOODRUFF: I had to ask it  
9 several times though to get it right, so thank you.

10 CHAIRPERSON LUDERER: Yeah. Dr. Plopper, did you  
11 have your hand raised?

12 COMMITTEE MEMBER PLOPPER: Yes. We're only  
13 talking about it as a nanoparticle in a compound, right,  
14 not something that's aerosolized?

15 CHAIRPERSON LUDERER: Well, the --

16 COMMITTEE MEMBER PLOPPER: Is that correct?

17 CHAIRPERSON LUDERER: I mean, there were -- the  
18 studies were inhalation as well as oral exposure, yes.

19 COMMITTEE MEMBER PLOPPER: Okay.

20 CHAIRPERSON LUDERER: So -- yes, so it could be  
21 aerosolized as well.

22 Any other -- and I'm not sure if it's used in any  
23 spray-on products. I don't think that the sun --  
24 sunscreens do come in spray on formulation, so that would  
25 be an interesting question, whether that's a potential

1 route of exposure.

2 Dr. Woodruff.

3 COMMITTEE MEMBER WOODRUFF: But it could be --  
4 the aerosol could be an occupational concern, right?

5 CHAIRPERSON LUDERER: Yes, occupation. Yeah, I  
6 was just thinking in terms of the widespread population  
7 exposure.

8 COMMITTEE MEMBER WOODRUFF: Right.

9 CHAIRPERSON LUDERER: Dr. Sandy.

10 DR. SANDY: Yeah, I can confirm that it has been  
11 used in spray-on sunscreens the titanium dioxide  
12 nanoparticles.

13 CHAIRPERSON LUDERER: All right. Thank you.

14 **PUBLIC COMMENTS**

15 CHAIRPERSON LUDERER: I guess -- all right. I  
16 don't believe we have any public comments on this, is that  
17 correct, Jessica? Did we -- or did we get any requests  
18 for public comment?

19 MEETING MODERATOR: Let's see, I'm looking at  
20 the --

21 MR. LEICHTY: We do have a request.

22 CHAIRPERSON LUDERER: We do. All right.

23 MR. LEICHTY: And it is from Stewart Averett on  
24 behalf of -- I'll let him say.

25 MEETING MODERATOR: All right. I do see that

1 name listed. So I'm going to go ahead and unmute. And on  
2 your side, you should be able to unmute yourself to speak.

3 MR. AVERETT: Thank You very much. I appreciate  
4 it. My name is Stewart Averett. And at this late hour,  
5 my brother Devron Averett, Dr. Devron Averett was going to  
6 present. We have also presented in writing before. He  
7 has communication limitations. He can't do this, but I do  
8 have a statement that he was going to make. It will be  
9 brief, of course, in lieu of your precious time.

10 So we appreciate the opportunity to offer  
11 comments and thank the Committee for its consideration.  
12 My brother's background, Dr. Averett's background, is that  
13 of a long-term pharmaceutical research and development  
14 laboratory worker and executive resulting in FDA-approved  
15 drugs, and dozens of peer-reviewed papers, and issued  
16 patents. So we are -- we are together presently  
17 interested in improving plant protection with safer  
18 agents.

19 As a general matter, the guideline study  
20 reference list on titanium dioxide nanoparticles it's  
21 useful. Within it, reviews and larger studies are likely  
22 to provide more powerful and relevant information and  
23 nuanced as well on the subjects of reproductive and  
24 developmental toxicology. There are reports also  
25 including from small studies and that -- or consider

1 molecular components as observed phenomena. This fact is  
2 observable in the references provided and I would like to  
3 take -- to call attention to the fact that the large  
4 toxicological studies have been conducted that indicate  
5 minimal risk. For example, the report of Warheit et al.  
6 is worth review in that literature list.

7           A key point regarding this material, titanium  
8 dioxide nanoparticles, is that it is not soluble or  
9 absorbed. And this, in fact, contributes to the  
10 persistent irritation of the respiratory tract that  
11 underpins the inflammatory response that we see with this  
12 agent, as well as other poorly soluble, low toxicity  
13 materials, or as you are probably familiar with from this,  
14 PSLT in parlance of NIOSH.

15           We note that one of the reviews listed in the  
16 reference suggests that systemic inflammation is  
17 problematic for DART, but that this finding is not related  
18 to the chemical nanoparticle titanium dioxide but rather  
19 is associated with any number of PSLTs, that is poorly  
20 soluble low toxicity materials.

21           So for the purposes of brevity, we note in  
22 particular that generalized inflammatory reactions as  
23 occur with high dose pulmonary exposure of any fine  
24 particulate that is not definitive to just titanium  
25 dioxide may, in fact, underpin most of the DART

1 observations that strongly indicates that there is not a  
2 rationale for a specific prioritization of titanium  
3 dioxide nanoparticles, but rather an observation that all  
4 fine particulates should be considered as a class.

5 And thank you very much for your time and all  
6 your efforts on behalf of California.

7 **COMMITTEE DISCUSSION AND RECOMMENDATION**

8 CHAIRPERSON LUDERER: Thank you for that comment.  
9 I don't believe we have any other comments. Do we have  
10 any further discussion by the Committee?

11 All right. Then we can move on to our  
12 recommendation. So again, please raise your hand if you  
13 feel that this should be in the high priority category,  
14 titanium dioxide nanoparticles specifically.

15 CHIEF COUNSEL MONAHAN CUMMINGS: Dr. Luderer, I  
16 think that Dr. Woodruff wanted to make a comment.

17 CHAIRPERSON LUDERER: Oh, I'm sorry. Dr.  
18 Woodruff.

19 COMMITTEE MEMBER WOODRUFF: Well, I just was  
20 going to remark on your comment about -- well, both of the  
21 comments, that it was -- that it could be high, but behind  
22 benzophenone-3 I don't even know if that's categorized,  
23 but that was how I --

24 CHAIRPERSON LUDERER: Yeah.

25 COMMITTEE MEMBER WOODRUFF: Yeah, I don't know if

1 that's a category, but that's what I was -- just wanted  
2 you to reflect on that a little bit more.

3 CHAIRPERSON LUDERER: Yeah. You mean, why? I  
4 think --

5 COMMITTEE MEMBER WOODRUFF: (Inaudible.)

6 CHAIRPERSON LUDERER: -- there's a much larger  
7 database --

8 COMMITTEE MEMBER WOODRUFF: -- if we could  
9 comment that, you know, there's widespread exposure and  
10 there's these -- I think what I heard from both of you was  
11 neurodevelopmental, potential concerns, and that if you  
12 were going -- if OEHHA was going to prioritize it, it  
13 would be behind benzophenone-3 in terms of looking at that  
14 category of consumer products

15 CHAIRPERSON LUDERER: Sunscreen can -- yeah. Dr.  
16 Auyeung-Kim, did you have something else to add to that,  
17 or -- I thought you were -- it looked like you were  
18 raising your hand.

19 COMMITTEE MEMBER AUYEUNG-KIM: (Shakes head.)

20 CHAIRPERSON LUDERER: No. Okay.

21 All right. Any -- any other discussion?

22 All right. All right. Then let's proceed with  
23 the vote. So please raise your hand for high priority,  
24 putting this in the category of high priority.

25 (Hands raised.)

1 CHAIRPERSON LUDERER: Dr. Woodruff and Dr.  
2 Luderer. All right. So we have two.

3 And then moderate priority.

4 (Hands raised.)

5 CHAIRPERSON LUDERER: Dr. Auyeung-Kim, Dr.  
6 Carmichael, Dr. Baskin, Dr. Plopper, Dr. Allard, Dr.  
7 Hertz-Picciotto, Dr. Pessah, and Dr. Breton. All right.  
8 So that is everyone, I believe. Did I miss anyone?

9 No. Okay. All right.

10 **VINPOCETINE**

11 **COMMITTEE DISCUSSION**

12 CHAIRPERSON LUDERER: Then our next chemical is  
13 vinpocetine. And the lead discussants for this chemical  
14 are Dr. Auyeung-Kim and I.

15 Dr. Auyeung-Kim, do you want to begin?

16 COMMITTEE MEMBER AUYEUNG-KIM: Sure. I can do  
17 that. So vinpocetine is the only chemical under  
18 discussion today that does not have widespread use. It is  
19 used as a dietary supplement. Although in 2016, FDA  
20 tentatively concluded that it does not meet the definition  
21 of a dietary ingredient and is excluded from definition of  
22 a dietary supplement in the federal Food and Drug Cosmetic  
23 Act.

24 The FDA has already issued a warning in June of  
25 2019 specifically about the concern about the usage of



1 vinpocetine in women of child-bearing potential. A rat  
2 rate -- a rat -- an animal study was conducted in rats and  
3 it was a definitive. In addition to, there was a rat  
4 embryo fetal study which has sufficient number of animals  
5 and dose range -- relevant dose route oral -- which was  
6 oral, and it was conducted under good laboratory  
7 practices.

8           The NTP concluded in their report published in  
9 June of 2020 that under conditions of the -- of the rat  
10 prenatal study, there was clear evidence of developmental  
11 toxicity of vinpocetine in rats attributable to the  
12 increase post-implantation loss, and increased incidences  
13 of ventricular septal defects, thoracolumbar ribs, and  
14 incomplete ossification of the thoracic center in the  
15 absence of overt maternal toxic -- toxicity.

16           Additionally, similar effects were also observed  
17 in a dose ranging finding studies in the rabbits with  
18 exposure.

19           I -- for this compound, I would say that due to  
20 the limited exposure, because it's in a specific  
21 population, and there's an active regulation by the FDA, I  
22 recommend no priority for this compound.

23           CHAIRPERSON LUDERER: Thank you, Dr. Auyeung-Kim.

24           Yes, I agree that this -- so the study -- there  
25 was really of -- only one study, but it was a very well

1 done study by the National Toxicology Program, as Dr.  
2 Auyeung-Kim stated. And this is -- there are no -- and  
3 there are no epidemiological studies. So I agree that  
4 there is -- there is strong evidence for developmental  
5 toxicity from this -- this study. I would -- and I also  
6 agree that the potential for exposure is not as high as  
7 many of the other chemicals that we've discussed today.  
8 It is sold as a dietary supplement.

9           And, you know, so I think it's probably fairly  
10 difficult to know how many people are exposed to this,  
11 continue to be exposed to this despite the FDA warning.  
12 And so I think because of that, I would put it on the  
13 moderated list, because there was -- there was a note  
14 that, at least in the NTP report, that -- that this is  
15 also taken by pregnant women. And so that is obviously a  
16 concern, so that's why I would classify it as moderate  
17 rather than no priority.

18           All right. So any discussion?

19           Patrick.

20           COMMITTEE MEMBER ALLARD: Yeah, I just wanted to,  
21 I guess, reiterate that point that it is sold as a  
22 supplement. It's easy to find. You can order it online  
23 and just look it up.

24           CHAIRPERSON LUDERER: Yep. Yep. Yep.

25           (Laughter.)

1 COMMITTEE MEMBER ALLARD: It's been sold as a  
2 life-extending chemical.

3 CHAIRPERSON LUDERER: Yes.

4 COMMITTEE MEMBER ALLARD: And I definitely  
5 flagged this when I reviewed the chemicals, because as  
6 several studies, one after the other, well conducted, even  
7 at the lowest doses tested, which, you know, was not  
8 necessarily in the --

9 CHAIRPERSON LUDERER: Right.

10 COMMITTEE MEMBER ALLARD: -- ultra low range, but  
11 still in the low range did pick up the fetal loss and post  
12 implantation loss. And that -- I mean, that is the  
13 definition of a reproductive toxicant.

14 CHAIRPERSON LUDERER: Right.

15 COMMITTEE MEMBER ALLARD: So the fact that it's  
16 publicly available and that it just comes through so  
17 clearly as a repro toxicant --

18 CHAIRPERSON LUDERER: Yes.

19 COMMITTEE MEMBER ALLARD: --- repro and dev  
20 toxicant really made me flag this a lot higher.

21 CHAIRPERSON LUDERER: Yeah. Any other comments  
22 on that chemical?

23 **PUBLIC COMMENTS**

24 CHAIRPERSON LUDERER: All right. Then we will  
25 turn to the recommendation -- let's check, are there any

1 public comments on this chemical, Jessica?

2 MEETING MODERATOR: Let's see, I am not seeing  
3 any at this time. Let me check one more -- no, we're  
4 good.

5 **COMMITTEE DISCUSSION AND RECOMMENDATION**

6 CHAIRPERSON LUDERER: All right. Thank you. All  
7 right. Then we will move to the vote, assuming there's no  
8 further discussion.

9 So I don't see any hands for further discussion,  
10 so we'll start with the vote. So raise your hand, please,  
11 if you would put this in the high priority category?

12 (Hand raised.)

13 CHAIRPERSON LUDERER: Dr. Allard.  
14 Moderate priority.

15 (Hands raised.)

16 CHAIRPERSON LUDERER: Dr. Woodruff, Dr. Plopper,  
17 Dr. Pessah, Dr. Luderer.

18 And no priority.

19 (Hands raised.)

20 CHAIRPERSON LUDERER: Dr. Auyeung-Kim, Dr.  
21 Carmichael, Dr. Baskin, and Dr. Breton.

22 Okay. I think that was -- I got all the votes.

23 Then let's move on --

24 DIRECTOR ZEISE: Dr. Luderer, just to recap then,  
25 we've got one high, four medium, and five no.

1 CHAIRPERSON LUDERER: Correct.

2 DIRECTOR ZEISE: Okay. Thanks. Yeah.

3 CHAIRPERSON LUDERER: All right. So we have --  
4 we have the potential for taking a break here or do we  
5 want to just continue and pile through to the last one.

6 COMMITTEE MEMBER AUYEUNG-KIM: I say we just go  
7 for it.

8 CHAIRPERSON LUDERER: All right.

9 COMMITTEE MEMBER WOODRUFF: There's more -- we  
10 have other things on our agenda besides the remaining  
11 chemicals, is that right?

12 CHAIRPERSON LUDERER: Yes, we do. We have the  
13 update on the California Code of Regulations chemicals  
14 that have not been tested as required, staff updates.

15 COMMITTEE MEMBER BRETON: I have a question.

16 CHAIRPERSON LUDERER: Yes.

17 COMMITTEE MEMBER BRETON: And that is did we --  
18 when we were doing the PFAS, did we actually ask for  
19 public comment? Were there any public comments for that  
20 one. I feel like we might have skipped that.

21 CHAIRPERSON LUDERER: Let me see. I'm not -- we  
22 didn't have any listed in the agenda. Were there any? I  
23 don't remember whether I asked for public comments or not.  
24 Did we get any public comments Jessica for the  
25 perfluorinated and polyfluorinated compounds, the PFASs?

1 MEETING MODERATOR: I didn't see any hands go up  
2 for that. I mean we can ask now, if you want to, but I  
3 did not see any myself.

4 CHAIRPERSON LUDERER: All right. And I also  
5 didn't have any in the agenda that had requested it ahead  
6 of time, but thank you for noting that, Dr. Breton.

7 All right. Well, since we do have other business  
8 after the zearalenone, do we want to -- maybe we should  
9 take a break for 10 minutes. All right, 10 minute break.  
10 So it's 6 -- it's 4:15, so 4:25 we'll reconvene.

11 (Off record: 4:15 p.m.)

12 (Thereupon a recess was taken.)

13 (On record: 4:25 p.m.)

14 **ZEARALENONE**

15 **COMMITTEE DISCUSSION**

16 CHAIRPERSON LUDERER: All right. We can go ahead  
17 and resume then. On to our last chemical, zearalenone.  
18 That's how I want to pronounce. And the lead discussants  
19 for this chemical are Diana Auyeung-Kim and Charles  
20 Plopper.

21 Dr. Auyeung-Kim, do you want to start?

22 COMMITTEE MEMBER AUYEUNG-KIM: Sure, why not.

23 So zearalenone -- can I just call it ZEA -- is a  
24 naturally occurring mycotoxin with widespread exposure and  
25 has estrogenic properties. The FDA regulates mycotoxins

1 under the Animal Feed Contaminants Program as well as  
2 under the Food Safety Modernization Act. The FDA has not  
3 established a tolerance or developed guidance for ZEA, but  
4 has stated on the Animal Feed Contaminants Ram website,  
5 when animals or humans are exposed to ZEA at low levels,  
6 there may not be any visible symptoms as it has low  
7 toxicity. However, when ZEA is present in food at high  
8 levels or when there is consistent exposure at low levels,  
9 there have been reports of reproductive disorders and  
10 estrogenic effects.

11 This is noted in the numerous articles cited in  
12 the OEHHA notes and in which there were animal studies  
13 connected in mouse, rat, and pig to demonstrate the male  
14 and female reproductive effects. Animal studies were  
15 conducted -- let's see. Let's see. The developmental  
16 effects observed in both the mouse and rat studies appear  
17 to be related to maternal toxicity of decreased feed  
18 intake and/or body weight gain -- decreased body weight  
19 gain and were related to the estrogenic effects of ZEA.

20 In the Althali paper, pregnant mice were treated  
21 orally with 25 mg per kg ZEA and had maternal toxicity  
22 consisting of decreased weight gain and fetal effects due  
23 to the estrogenic effects. These included decreased  
24 litter weight, fetal malformations, increased number of  
25 abortions and resorbed fetuses. However, the study was

1 not adequately designed as it only looked at one dose  
2 level and used a small number of animals.

3           The other study, Kunishige and Li et al.,  
4 demonstrated that ZEA effects the maintenance of pregnancy  
5 and effected the placental development in mice -- similar  
6 in mice. And similar results were observed in the rats in  
7 the Gao paper and pig in the Zhang paper. Although the  
8 pig studies, there is question on whether the ZEA -- the  
9 feed that was given also had other mycotoxins.

10           The epidemi studies cited by Bandera et al. and  
11 Rivera-Núñez et al. indicated that girls with detectable  
12 ZEA levels in urine were shorter and had delayed breast  
13 development. The two cited studies utilized the same  
14 cohort of girls and urine sample in their analysis.

15           There was -- so that resulted in some  
16 limitations, because it was conducted on a small  
17 population of girls, which is less than 200 based on a  
18 single urine sample and generally the population was  
19 homogeneous.

20           So ZEA has the potential for male and re -- for  
21 male and female reproductive effects. Exposure is limited  
22 by environmental conditions, because it's -- the mycotoxin  
23 is formed dependent on the humidity and temperature. And  
24 so based on discussions that we've had today, I recommend  
25 ZEA be considered for medium priority.



1 CHAIRPERSON LUDERER: Thank you very much.

2 And, Dr. Plopper, would you like to give us your  
3 thoughts on zearalenone?

4 COMMITTEE MEMBER PLOPPER: Sure. I think Dr. Kim  
5 did a nice job of covering most of the issues. The  
6 postnatal exposure has negative effects on female  
7 reproduction. And consistently, we're talking about 23  
8 studies here of postnatal exposure, six of them in females  
9 and they all showed the same negative effects on ovarian  
10 and reproductive function in females. The eight male  
11 reproductive studies all found -- five of them found  
12 negative effects on sperm or spermatozoa and three of them  
13 looked at testes and found increased testis pathology.

14 She's already summarized the endocrine effects,  
15 which are -- tend to be negative in certain terms of  
16 female hormones like LH, and estradiol, FSH. And negative  
17 in males related to testosterone.

18 So I would -- I think the challenge here is that  
19 this is found in large amounts of food stuffs. And how we  
20 would go about addressing that, I don't know, but I would  
21 agree with her that it's probably a middle priority.

22 CHAIRPERSON LUDERER: Thank you, Dr. Plopper.

23 Do we have discussion from the Committee?

24 Dr. Pessah.

25 COMMITTEE MEMBER PESSAH: I was just wondering,

1 is there a surveillance for ZEA or are there sort of  
2 levels of where warnings are posted?

3 CHAIRPERSON LUDERER: As is done with the  
4 aflatoxin, for example.

5 COMMITTEE MEMBER PESSAH: (Nods head.)

6 CHAIRPERSON LUDERER: Does anybody know in the --  
7 anyone -- staff people know about that possibly?

8 No.

9 DR. SANDY: This is Martha Sandy. I don't know.  
10 We can look into that, if you'd like, but we were not --  
11 you mean, in food stuffs?

12 COMMITTEE MEMBER AUYEUNG-KIM: So in the Animal  
13 Feed Contaminants Program, there is no tolerance -- or  
14 they have not estab -- developed guidelines for ZEA, but  
15 they have for aflatoxin and one other compound.

16 COMMITTEE MEMBER PESSAH: What I found striking  
17 about this particular toxin, I read up a little bit on it,  
18 it's affinity for the estrogen receptor is -- it rivals  
19 that of estradiol.

20 CHAIRPERSON LUDERER: Yeah.

21 COMMITTEE MEMBER PESSAH: And it hits all of the  
22 estrogen receptors, including the GPER the cell surface  
23 receptor, which of all the compounds that we've talked  
24 about, it's -- yeah, it's a concern.

25 CHAIRPERSON LUDERER: Dr. Allard.

1           COMMITTEE MEMBER ALLARD: Yeah. I just want to  
2 add to that, if you -- if you plug this chemical into the  
3 ToxCast dashboard, you'll see that it lights up the  
4 estrogen receptor assays at extremely, extremely low  
5 level -- in the very, very low nanomolar, if not even  
6 below levels. One of the assays, one of the estrogen  
7 receptor assays that they, they even put zero, because  
8 it's -- it's beyond what they could actually put on the  
9 screen. So, yeah, it's one of the most estrogenic  
10 compounds that we had to review so far, for sure.

11           CHAIRPERSON LUDERER: Yeah. And I think the  
12 other thing that's notable is that for the male and female  
13 repro effects with the postnatal exposure, there were  
14 similar findings in three different species.

15           COMMITTEE MEMBER PESSAH: Yes.

16           CHAIRPERSON LUDERER: All right. Any -- any  
17 other comment?

18           Dr. Hertz-Picciotto.

19           You're muted I think again.

20           COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay. Sorry.  
21 Yeah. I was just going to -- as a note, in 2011, I was on  
22 a national academy committee on breast cancer and the  
23 environment. And that was the first time I heard about  
24 zearalenone. And, you know, it was -- it was -- this  
25 estrogenic activity, this was brought to attention of the

1 committee and noted as a concern. Of course, there --  
2 then there was even less data than there is now, but it  
3 certainly seems like a compound of concern and -- yeah,  
4 probably both for cancer and for -- and for other  
5 reproductive -- reproductive outcomes. It also -- seeing  
6 that it's used in breast enlargement supplements, it is  
7 pretty

8 COMMITTEE MEMBER PLOPPER: Yes.

9 COMMITTEE MEMBER HERTZ-PICCIOTTO: -- concerning.  
10 And I don't know if FDA has been -- has taken this up at  
11 all. But, you know, that plus it being pretty common in  
12 food stuffs. It kind of seems like it's an aflatoxin.  
13 It's kind of this -- a very similar problem, because it  
14 occurs so -- you know, it's a mycotoxin before control was  
15 considerable, I'm sure.

16 CHAIRPERSON LUDERER: Okay. Any additional  
17 comments?

18 **PUBLIC COMMENTS**

19 CHAIRPERSON LUDERER: I believe we have one  
20 public comment from Tom[SIC] Johnson of the California  
21 Rice Growers Commission. Jessica, do you have -- can you  
22 unmute, Dr. Johnson? Is he available?

23 MEETING MODERATOR: Let's see. Go ahead and give  
24 me that name one -- or last name one more time.

25 CHAIRPERSON LUDERER: Johnson.

1           MEETING MODERATOR: Johnson, let's see. Tim  
2 Johnson. Okay. I am going to go ahead and unmute you on  
3 my end. You can go ahead and unmute yourself and you  
4 should be good to go.

5           MR. JOHNSON: Thank you. Can everybody hear me  
6 all right?

7           CHAIRPERSON LUDERER: Yes.

8           MR. JOHNSON: Well, thank you. I am Tim Johnson.  
9 I'm President and CEO of the California Rice Commission.  
10 And we represent the state's rice farmers and rice  
11 millers. And so I'm going to give you a little bit of a  
12 different perspective today, really from an agricultural  
13 production perspective, maybe different than some of the  
14 comments you've heard from some of the PhDs today.

15           I'm going to pull out a couple of items that I  
16 think the Committee might be interested in in my comments,  
17 and I'll keep them short.

18           Yeah, there were a number of references the  
19 infant and toddler food survey that was done where rice  
20 was noted as having detections of ZEA. I'd look back at  
21 those studies and would just note a couple of items. In  
22 the 2007 study, zinedine -- he noted that the presence of  
23 ZEA was not found in rice in North America, but was found  
24 in rice in India, Korea, and Qatar. Most of the  
25 detections in the U.S. were noted on corn and wheat. And

1 those crops for food uses are primarily grown outside the  
2 stated of California, in some cases internationally.

3           In the 2018 Zhang study, a couple of items that I  
4 would note. They did rice and infant toddler cereal  
5 samples. Very low detection of ZEA in any of the  
6 rice-based products. Of course, we don't know where the  
7 rice was sourced from, but they did know. And maybe this  
8 would be helpful to staff that none of the detections in  
9 the study of rice or other products would be other  
10 grain-based infant and toddler cereals were detected at  
11 levels higher than the FDA action levels. So that  
12 indicated to me that FDA has spoken to this, at least with  
13 regard to agriculture of levels above which they would  
14 expect us to take action.

15           For the benefit of the Committee and staff at  
16 OEHHA, other published research notes that the conditions  
17 that favor the production of ZEA, that mycotoxin, are  
18 temperate climate and high grain moisture during storage.  
19 So as I looked at this and overlaid both the California  
20 and the U.S. rice industry, I was not surprised to find a  
21 very low level of findings of ZEA related to rice.

22           There were no reported presences of fusarium,  
23 which is the pest in the plant, that then causes ZEA under  
24 those storage conditions. In California, I was able to  
25 find, when I contacted our extension and University of

1 California experts, folks in the mid-south, so that would  
2 be Arkansas, Texas, Missouri, Louisiana had only indicated  
3 an incidental case of fusarium diseases in rice, and  
4 really none that were of commercial importance.

5           And of probably the greatest import to the  
6 Committee and the staff is the fact that rice is a grain  
7 that is harvested at high moisture certainly, but we dry  
8 that under very controlled conditions down to a very low  
9 moisture level, about 13 percent moisture, and we  
10 condition and maintain that storage and the conditions of  
11 that rice throughout the storage term, until that rice is  
12 processed. So just to gave you an idea of how the grain  
13 handling works with regard to rice.

14           I would just finally note that ZEA, of course,  
15 would fall into the category, we believe, of naturally  
16 occurring under Prop 65, and was noted earlier by one of  
17 the panel members, it's going to be difficult, right, for  
18 OEHHA to engage grain growers across the United States,  
19 and internationally, and moving forward on -- on a listing  
20 and safe harbor evaluation for ZEA. It will be very  
21 difficult I believe and take very -- significant amounts  
22 of resources to engage agriculture across that band.

23           So thank you very much. I do appreciate your  
24 opportunity to address the Committee especially at the  
25 very late hour that we have in front of you.

1 Thank you very much

2 CHAIRPERSON LUDERER: Thank you very much.

3 **COMMITTEE DISCUSSION AND RECOMMENDATION**

4 CHAIRPERSON LUDERER: Do we have any additional  
5 discussion by the Committee before we vote?

6 All right. Seeing no raised hands then, we'll  
7 proceed to the vote. So please raise your hand if you vote  
8 that zearalenone should be in the high priority category.

9 (Hands raised.)

10 CHAIRPERSON LUDERER: Okay. I see Dr. Pessah,  
11 Dr. Allard, Dr. Luderer. All right. Three votes.

12 And then the moderate category?

13 (Hands raised.)

14 CHAIRPERSON LUDERER: Dr. Auyeung-Kim, Dr.  
15 Carmichael, Dr. Plopper, Dr. Hertz-Picciotto, Dr.  
16 Woodruff, Dr. Breton, and Dr. Baskin.

17 All right. That is everyone.

18 So we have completed discussion of the 22  
19 chemicals and groups of chemicals that were on the agenda  
20 for today. So we only have a few more items on the  
21 agenda.

22 **UPDATE OF THE CALIFORNIA CODE OF REGULATIONS TITLE 27**

23 **SECTION 27000 LIST OF CHEMICALS WHICH HAVE NOT BEEN**

24 **ADEQUATELY TESTED As REQUIRED**

25 CHAIRPERSON LUDERER: The next item is an update



1 of the California Code of Regulations, Title 27, Section  
2 27000 list of chemicals which have not been adequately  
3 tested as required. So this is a ministerial item and the  
4 Committee is being asked to affirm changes in response to  
5 submissions from the Department of Pesticide Regulation  
6 and U.S. EPA. And then I will now turn to Julian for the  
7 staff presentation.

8 MR. LEICHTY: Okay. Thank you. So this is a  
9 consent item for the Committee. We've provided you with a  
10 staff report and recommendations for your review on  
11 November 23rd. The report summarizes information received  
12 from other relevant entities. The section 27000 list is a  
13 list of chemicals that under State or federal law require  
14 additional testing for cancer or reproductive toxicity  
15 endpoints. It's not the same list as the more well known  
16 Proposition 65 list.

17 So for this list, we rely on U.S. EPA and the  
18 Department of Pesticide Regulation within CalEPA to give  
19 us information about mandatory chemical testing, and --  
20 okay. I guess we don't have the slide up, but if we did  
21 have the slide up, you would see that a chemical to be  
22 removed from the list identified by the Department of  
23 Pesticide Regulation is sodium fluoride.

24 MEETING MODERATOR: Yeah, apologies. I'm looking  
25 for the slide. I thought I had downloaded them. But let

1 me -- let me find them real quick. My apologies. Can you  
2 tell me what they're labeled under or what's the name of  
3 the PowerPoint?

4 MR. LEICHTY: Yeah, It's labeled Section 27000.  
5 It was in with the group of slides that I first sent.

6 MEETING MODERATOR: Okay. So I see a draft for  
7 that, but it's only one slide. Is that the correct one?

8 MR. LEICHTY: Yeah, that's -- it's -- that's the  
9 slide. It's the final slide.

10 MEETING MODERATOR: Okay. Okay. I got it. I'll  
11 show it right now.

12 (Thereupon a slide presentation.)

13 MEETING MODERATOR: Okay. It should be showing  
14 now.

15 MR. LEICHTY: So unless you have any questions,  
16 I'll turn it back to Dr. Luderer for the question and  
17 vote.

18 CHAIRPERSON LUDERER: All right. Do we have any  
19 questions from the panel regarding this vote on sodium  
20 fluoride?

21 All right. If not, then I will ask for a vote.  
22 So to vote yes to affirm the changes, raise your hands,  
23 please, if you vote yes to affirm these changes.

24 (Hands raised.)

25 CHAIRPERSON LUDERER: Dr. Pessah, Auyeung-Kim,

1 Allard, Carmichael, Plopper, Hertz-Picciotto, Breton,  
2 Baskin, Woodruff and, Luderer all voted yes.

3 All right. Thank you. Do I need to read the  
4 vote question again, since I didn't ask it in specific  
5 verbiage that I was supposed to?

6 CHIEF COUNSEL MONAHAN CUMMINGS: No, I don't  
7 think so. This is Carol.

8 CHAIRPERSON LUDERER: Okay. Thank you. All  
9 right.

10 CHIEF COUNSEL MONAHAN CUMMINGS: Thanks.

11 **STAFF UPDATES**

12 CHAIRPERSON LUDERER: All right. So the final --  
13 the next item is staff updates. So again, I'd like to ask  
14 Julian Leichty as well as Carol Monahan Cummings to give  
15 staff updates on Proposition 65 listings, regulations, and  
16 litigation that have taken place since our last meeting.

17 (Thereupon a slide presentation.)

18 MR. LEICHTY: Okay. So since the Committee's  
19 last meeting, one safe harbor level has been adopted in  
20 regulation for a reproductive toxicant, a maximum  
21 allowable dose level of 7.2 micrograms per day for the  
22 dermal route of exposure and 0.58 micrograms per day for  
23 oral inhalation was adopted for chlorpyrifos effective  
24 October 1st, 2020. And now I'll turn it over to Carol.

25 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. So I

1 have -- can you put the next slide up. Hopefully, it's  
2 mine.

3 NEXT SLIDE

4 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. So our  
5 office does other regulatory work besides the safe harbor  
6 levels, which you guys peer review the safe harbor levels,  
7 so we want to make sure you know when we adopt them.

8 Oop, my slide went away.

9 So we have other regulatory actions that we take.  
10 And in the last couple of years we've been primarily  
11 working on changes to the warning regulations.

12 Are you going to be able to get the slide back  
13 up? I don't have a copy of that one.

14 MEETING MODERATOR: Yeah. Sorry about that.  
15 Someone took the control away from me. I'm bringing it  
16 back.

17 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. So two of  
18 these regulations that -- that -- one the responsibility  
19 to provide warnings was already adopted effective April  
20 1st, 2020. That one just gave some additional information  
21 to businesses on who in the chain of commerce needs to  
22 provide warnings. And basically, it -- you know, it  
23 starts at the manufacturer and goes all the way down to  
24 the retailer. And so that regulation kind of clarifies  
25 that for them.

1           We also have proposed a regulation that would  
2 amend the current warnings for alcoholic beverages and add  
3 some additional methods for providing those warnings. We  
4 didn't change the text of the warnings, just the ways that  
5 it can be given, primarily to adopt some more recent  
6 changes in the way the industry sells things, like online  
7 or through apps. And we basically adopted a -- the  
8 provisions of a settlement that the Attorney General's  
9 Office had with a number of alcoholic beverage retailers.  
10 That one is almost final. We're waiting for a final  
11 approval from the Office of Administrative Law, which we  
12 expect, I believe, in January.

13           So in terms of -- sorry. Sorry. The last one --  
14 excuse me, just a sec.

15           Okay. The last one has to do with chemicals that  
16 are created by cooking or heat processing. And that's a  
17 brand new regulation. We haven't had one like that  
18 before. It's currently focused on acrylamide in foods,  
19 which is listed as both a reproductive toxicant as well as  
20 a carcinogen. And we are establishing concentration  
21 levels for food products or food categories that  
22 businesses can use to determine whether they need to have  
23 a warning for those exposures. That regulation is still  
24 in process and we're reviewing the first round of comments  
25 we received on it.

1 Next slide.

2 NEXT SLIDE

3 CHIEF COUNSEL MONAHAN CUMMINGS: All right. I  
4 wanted to just give you a quick update on the litigation  
5 that we have been involved in. And we've actually had a  
6 pretty good year in terms of outcomes for litigation. The  
7 chemical glyphosate, which you talked about today, has  
8 been listed as a carcinogen for some time. And there's a  
9 case pending now in the Ninth Circuit where some industry  
10 groups have challenged the warnings for glyphosate based  
11 on the first amendment. In that case, the Attorney  
12 General actually is the defendant in that case now. And  
13 the court has -- the trial court has ruled that the  
14 warnings are unconstitutional under the first amendment  
15 and so we're at the court of appeal to see if that ruling  
16 is going to stand.

17 For some of the State court proceedings,  
18 there's -- many years ago, your Committee considered the  
19 listing of BPA as a reproductive toxicant and didn't list  
20 the chemical. Subsequently, OEHHA did through a different  
21 mechanism based on a report from NTP. We were immediately  
22 sued regarding that listing and it's been -- that was like  
23 in 2013, I believe. And it's been winding its way through  
24 the court system. Very recently, the court of appeal  
25 upheld the trial court finding that it was a valid listing

1 of the chemical.

2           Since those -- that time has passed, we've also  
3 listed it as a female reproductive toxicant I think by  
4 this Committee. So it is now -- now will be listed as  
5 both a developmental and female reproductive toxicant.

6           The case regarding DINP which is another  
7 phthalate, was upheld by the court of appeal. That's a  
8 cancer listing, but it was the first challenge we've had  
9 go all the way to the court of appeal on a Committee  
10 listing. And it was interesting for two reasons. One was  
11 that the -- one of the bases for challenging the listing  
12 was the -- that the Chair of the Committee, the CIC, made  
13 some remarks towards the end of the meeting about  
14 considering animal data. And the argument was that that  
15 changed the mind of all of the members of the Committee.  
16 Another argument was that there was insufficient  
17 scientific data to show that DINP, in fact, causes cancer.

18           The trial court, court of appeal upheld the  
19 listing, and the Supreme Court -- State Supreme Court  
20 declined to review it, so that case is now final, the  
21 chemical is on the list.

22           We've get a couple of cases that have to do with  
23 coffee. And the -- coffee is -- contains acrylamide, but  
24 it contains a lot of other things. And our office fairly  
25 recently adopted a regulation finding that coffee doesn't

1 require warnings under Prop 65. It's a very unusual thing  
2 for us to do, but we did it based on the evidence that we  
3 had available for coffee, not acrylamide in particular.  
4 And there's been some litigation against both our office,  
5 as well as the -- a number of coffee makers and sellers.

6           So far, the court has entered judgment against  
7 the Center for Education -- or Council for Education and  
8 Research on Toxics as to OEHHA. And we expect that --  
9 that case to go up on appeal, but -- and then in the  
10 Starbucks case, the court has found that our regulation,  
11 in fact, is valid and basically dismissed the case against  
12 the coffee makers and sellers.

13           The last item is a current relatively new case  
14 that is challenging OEHHA's decision not to list processed  
15 meats, based on a finding by IARC that some processed  
16 meats can cause cancer. We declined to list and the PCRM  
17 has sued our office to -- to challenge that decision.  
18 There's a hearing in February. The first hearing is in  
19 February on this case.

20           So does anybody have questions on any of those?

21           CHAIRPERSON LUDERER: Dr. Woodruff.

22           COMMITTEE MEMBER WOODRUFF: Yeah. I was curious  
23 about the processed meat. So I thought that anything that  
24 was listed by an authoritative body automatically goes on  
25 the list. But is that not true, OEHHA has some



1 discretion? Is that why you guys didn't list it?

2 CHIEF COUNSEL MONAHAN CUMMINGS: Well, for this  
3 one, this is a -- would be a listing under the Labor Code  
4 provision of Prop 65. And that is a ministerial listing.  
5 However, our office has to be able to determine what the  
6 thing is that's being listed.

7 COMMITTEE MEMBER WOODRUFF: Oh.

8 CHIEF COUNSEL MONAHAN CUMMINGS: And based on the  
9 IARC document, we weren't really able to establish what  
10 would be listed and what wouldn't be listed under -- using  
11 that document, so we declined to list it, at least at this  
12 time.

13 For other authoritative bodies though, we go  
14 through a regular -- much like a regulatory process with  
15 public input and a number of steps before we determine  
16 whether or not a chemical should be listed, we compare the  
17 information that's available from the authoritative body  
18 to our regulation, and the criteria there, and determine  
19 whether it should be listed. So we do have a little  
20 discretion there, but mostly it's just to determine  
21 whether or not the chemical meets our criteria.

22 COMMITTEE MEMBER WOODRUFF: All right. Thank  
23 you. That was interesting.

24 CHAIRPERSON LUDERER: All right. Then if we  
25 don't have any additional questions or discussion on that

1 topic, thank you very much both of you for those updates.

2 And finally, I'd like to ask Dr. Zeise to  
3 summarize the Committee actions.

4 **SUMMARY OF COMMITTEE ACTIONS**

5 DIRECTOR ZEISE: Okay. Thank you. So the  
6 Committee did a lot of work today. And I'll summarize all  
7 the recommendations of the Committee.

8 So the Committee prioritized -- gave us  
9 recommendations for priorities for 22 chemicals. And  
10 seven of them fall into what I'd say a majority of votes  
11 saying high. And so I'll just go through them in the  
12 order of strength of priority.

13 So there were four where there was a unanimous  
14 vote that they should be high. That was benzophenone-3,  
15 bisphenol S, PFDA, and PFNA. And then there was a vote of  
16 nine high to one medium for diazinon and glyphosate. And  
17 then for PFHxS, there was a seven high to three medium --  
18 and three medium, so those are all in the majority high  
19 category.

20 And then there were various split votes. I'll  
21 talk about the mediums going from what would be considered  
22 maybe a medium/high to a medium/no. So imidacloprid there  
23 was a split of five high, five medium. Zearalenone, there  
24 was three high, seven medium. Domoic acid, I guess that  
25 should have been more at the top, because that was -- no,

1 this is the right place, one high and nine mediums.  
2 Titanium dioxide two high, eight mediums. I should have  
3 rotated with that one. Manganese and PFUnDA unanimous on  
4 medium. Butyl benzyl phthalate[SIC], one high, eight  
5 medium, one no. Acetamiprid, nine medium, one no.  
6 Clothianidin, seven medium, three no. Methylparaben, six  
7 medium, four no. So that's the set of ten mediums.

8 Yes, Dr. Hertz-Picciotto.

9 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah, what did  
10 you say PFNA was? I didn't hear it.

11 DIRECTOR ZEISE: Unanimous -- unanimous high.

12 COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay. And  
13 then PFDA also?

14 DIRECTOR ZEISE: Unanimous high.

15 COMMITTEE MEMBER HERTZ-PICCIOTTO: Oh, okay. I  
16 didn't hear those. Okay.

17 DIRECTOR ZEISE: And then vinpocetine was one  
18 high, four medium and five no. Exact split on  
19 thiamethoxam five medium, five no. And then unanimous  
20 noes on diethyl phthalate, isobutyl paraben, and propyl  
21 paraben.

22 So quite a list. So we really thank you for  
23 taking all the time to provide those recommendations, both  
24 time preparing for the meeting and all the hard work  
25 getting to that point. So thank you very much to the

1 Committee.

2 I also want to note that early on in the meeting,  
3 there was a comment from the Committee that we should  
4 start exploring more effective ways of grouping chemicals,  
5 when should we group them, when should we not group them.  
6 And so we'll be going back and thinking -- thinking about  
7 that issue.

8 And then throughout the meeting, we heard a lot  
9 of comments on -- not a lot, but some comments on the  
10 document and how we presented information. And I just  
11 want to acknowledge that we took in those comments and  
12 we'll be integrating them into the way in which we do  
13 business next time. So thank you for those.

14 So I want to just step back now a thank the  
15 facilitator Jessica Raines of LogMeIn for facilitating the  
16 meeting. I want to thank the commenters and the audience  
17 for participation and for your comments that were very,  
18 very helpful I'm sure to the Committee in reaching their  
19 decisions. And then thank you, of course, the RCHAB staff  
20 and to the OEHHA staff for all the hard work in putting  
21 the materials together, to Implementation and Legal for  
22 all their support work for this meeting, and then once  
23 again just to close with a huge thank you to the Committee  
24 for hanging in there for all your hard work, and your  
25 time, and just wishing you very safe and happy Holidays.

1 And again really appreciate all the careful thinking.

2 So with that, I'm going to turn it back over to  
3 Ulrike to -- to Dr. Luderer to adjourn the meeting.

4 CHAIRPERSON LUDERER: Thank you, Dr. Zeise. I  
5 also want to thank all the staff for putting together this  
6 document, which was -- really represented a lot of work  
7 and was excellently done, so -- and wish everyone a safe  
8 and healthy holiday season.

9 And I now adjourn the meeting. Bye-bye  
10 everybody.

11 (Bye-byes.)

12 (Thereupon the Developmental and  
13 Reproductive Toxicant Identification  
14 Committee adjourned at 5:00 p.m.)

15

16

17

18

19

20

21

22

23

24

25

CERTIFICATE OF REPORTER

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, do hereby certify:

That I am a disinterested person herein; that the foregoing California Office of Environmental Health Hazard Assessment, Developmental and Reproductive Toxicant Identification Committee was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California, and thereafter transcribed under my direction, by computer-assisted transcription.

I further certify that I am not of counsel or attorney for any of the parties to said meeting nor in any way interested in the outcome of said meeting.

IN WITNESS WHEREOF, I have hereunto set my hand this 12th day of January, 2021.



JAMES F. PETERS, CSR, RPR  
Certified Shorthand Reporter  
License No. 10063