

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
CARCINOGEN IDENTIFICATION COMMITTEE

JOE SERNA JR./CALEPA HEADQUARTERS BUILDING
1001 I STREET
COASTAL HEARING ROOM
SACRAMENTO, CALIFORNIA

MONDAY, NOVEMBER 19, 2007

10:05 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES

COMMITTEE MEMBERS

Dr. Thomas M. Mack, Chairperson

Dr. David A. Eastmond

Dr. James S. Felton

Dr. Martin L. Hopp

Dr. Darryl Hunter

Dr. Anna H. Wu

STAFF

Dr. Joan E. Denton, Director

Dr. George Alexeeff, Deputy Director

Ms. Carol Monahan-Cummings, Chief Counsel

Ms. Amy Dunn, Cancer Toxicology and Epidemiology Section

Ms. Fran Kammerer, Staff Counsel

Ms. Cynthia Oshita, Proposition 65 Implementation

Dr. Martha S. Sandy, Chief, Cancer Toxicology &
Epidemiology Section

Dr. Rajpal S. Tomar, Cancer Toxicology and Epidemiology
Section

Dr. Lauren Zeise, Manager, Reproductive and Cancer Hazard
Assessment Branch

ALSO PRESENT

Dr. Dale Gieringer, California National Organization for
the Reform of Marijuana Laws

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

| INDEX | | PAGE |
|-------|--|------|
| I | Welcome and Opening Remarks | 1 |
| II | Prioritization of Chemicals for Carcinogen Identification Committee Review: | |
| A. | Process Overview and Application of Epidemiology Data Screen | |
| - | Staff Presentation | 2 |
| B. | Results of the Epidemiology Data Screen | |
| 1. | N,N-Dimethylformamide | |
| - | Staff Presentation | 9 |
| - | Committee Discussion | 12 |
| - | Public Comments | 19 |
| - | Committee Discussion and Advice and Consultation Regarding Possible Development of Hazard Identification Materials | 19 |
| 2. | 2,4,6-Trinitrotoluene(TNT) | |
| - | Staff Presentation | 21 |
| - | Committee Discussion | 23 |
| - | Public Comments | 32 |
| - | Committee Discussion and Advice and Consultation Regarding Possible Development of Hazard Identification Materials | 33 |
| 3. | Marijuana Smoke | |
| - | Staff Presentation | 33 |
| - | Committee Discussion | 36 |
| - | Public Comments | 51 |
| - | Committee Discussion and Advice and Consultation Regarding Possible Development of Hazard Identification Materials | 56 |
| 4. | Other Chemicals Proposed for Committee Consideration | 57 |

INDEX CONTINUED

| | PAGE |
|---|------|
| III Discussion of Next Prioritization Data Screen | |
| - Staff Presentation | 62 |
| - Committee Discussion | 65 |
| - Public Comments | 69 |
| - Committee Discussion and Advice Regarding Next Prioritization Data Screen | 69 |
| IV Staff Updates | |
| - Chemical Listings via the Administrative Listing Mechanisms and Safe Harbor Level Development | 69 |
| - Proposition 65 Litigation | 72 |
| V Summary of Committee Advice and Consultation | 73 |
| Adjournment | 76 |
| Reporter's Certificate | 77 |

1 PROCEEDINGS

2 CHAIRPERSON MACK: Okay. Let's get started.

3 We should have a relatively efficient meeting
4 today.

5 Joan, would you please begin the ceremonies.

6 DIRECTOR DENTON: Thank you, Dr. Mack.

7 Unfortunately, Dr. Hamburg and Dr. Landolph will
8 not be able to join us today because of weather down in
9 the south coast. But I would like to introduce the Panel
10 members.

11 Starting at my far left is Dr. David Eastmond
12 from UC Riverside, Dr. Tom Mack from USC Keck School of
13 Medicine. To my right is Dr. Jim Felton from Lawrence
14 Livermore National laboratory and UC Davis; Dr. Anna Wu,
15 who's a professor at the Department of Preventive Medicine
16 at USC. Next to Dr. Wu is Dr. Hopp, who's President and
17 Chief Executive Officer of the Tower Ear, Nose, and
18 Throat. And then at the far end is Dr. Darryl Hunter,
19 who's a physician with Kaiser Permanente.

20 So, with that, Dr. Mack, I guess welcome to
21 everybody and I'll turn it back to you.

22 CHAIRPERSON MACK: All righty. Today we're not
23 actually deciding whether or not compounds should be
24 listed. We're simply evaluating the superficial review of
25 the compounds which showed some evidence of an

1 epidemiologic relationship with cancer. And we're being
2 asked to decide whether or not we should proceed with the
3 full -- I'm trying to think of a funny word, but I can't
4 think of one -- the full review, anyway, of those three
5 compounds, which would entail a much more detailed search
6 and a much more careful, I'm sure, reading of each of the
7 documents which have come up.

8 Martha, why don't you begin the formal
9 proceedings.

10 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

11 SANDY: Sure. Thank you, Dr. Mack.

12 Just to remind everyone, and including many of
13 the members of the Carcinogen Identification Committee who
14 are new, relatively new to the process, back in about 2002
15 your Committee asked OEHHA to look into streamlining and
16 improving the prioritization process that we were using in
17 order to identify chemicals that seemed to pose a
18 significant hazard to Californians. And so we embarked on
19 this process. We had two members from your Committee, one
20 of whom is Dr. Joseph Landolph, who's unfortunately not
21 here today, and we had some input from members of the DART
22 Identification Committee, and we came up with a revised
23 prioritization process. This is a process whereby we look
24 at the chemicals we're tracking that have some indication
25 or have been nominated as a concern and that we should

1 look at for possible listing under Proposition 65.

2 So let me start with the presentation here. I'm
3 going to go over -- give you a brief overview of the
4 prioritization process and then discuss the application of
5 the epidemiology data screen, which we have applied in
6 this round of prioritization.

7 (Thereupon an overhead presentation was
8 Presented as follows.)

9 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

10 SANDY: So as I mentioned, we came up with a
11 revised process. The title of the document is up here on
12 your screen. You should have all had a copy of that. It
13 came out in December of 2004. As I said, it was developed
14 in consultation with your Committee and with the DART
15 Identification Committee.

16 --o0o--

17 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

18 SANDY: And the purpose of prioritization is to
19 identify chemicals for evaluation by the Carcinogen
20 Identification Committee.

21 Our goal of this process is to focus the efforts
22 of your Committee on chemicals that may pose significant
23 hazards to Californians.

24 And as Dr. Mack has just said, prioritization is
25 a preliminary appraisal of the evidence of hazard. It's a

1 screening level, relatively quick look at the evidence.
2 The more thorough comprehensive evaluation of the evidence
3 would occur in the hazard identification development phase
4 when we prepare materials for a listing decision. So that
5 would be later.

6 --o0o--

7 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

8 SANDY: So this slide is taken from that
9 prioritization process document. And it shows you the
10 different steps in prioritization. We have a tracking
11 database of chemicals that have been nominated to be
12 looked at for possible listing. And then within that
13 database there's a subset of chemicals we call candidate
14 chemicals. Those are chemicals for which there's a
15 suggestion that there's a potential for exposure to
16 Californians and there's some evidence suggesting a cancer
17 concern.

18 To that group of candidate chemicals we will be
19 applying different screens to identify chemicals that we
20 should look at in more depth. Those screens will involve
21 focused literature reviews. And in this particular case
22 we've applied an epidemiology data screen.

23 Then the results of that screening process give
24 us chemicals that are proposed for Committee
25 consideration. And that green asterisk there is meant to

1 you'll review the evidence and make a listing decision.

2 But right now we're addressing that first
3 process, the prioritization process.

4 --o0o--

5 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

6 SANDY: And just a little more information. The
7 tracking database, chemicals that OEHHA staff find through
8 literature searches, also suggestions from your Committee,
9 other state agencies, the scientific community, and the
10 general public, all those sources of information can
11 result in a chemical being tracked for carcinogenicity.

12 Among the tracked chemicals we have what we call
13 candidate chemicals. Again, those are chemicals with data
14 suggesting the potential to cause cancer and the potential
15 for exposure in California.

16 --o0o--

17 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

18 SANDY: Now, this schematic is meant to represent
19 the candidate chemicals we have in our cancer tracking
20 database. We have a variety of chemicals with a variety
21 of amounts and types and quality of data suggesting
22 carcinogenicity. And as you can see, some chemicals we
23 may know quite a bit right now, others we may have only
24 been aware of positive short-term studies. But once we
25 look at them a little more carefully, we may find that

1 there's more information. And there's more information
2 being generated all the time. So this is a fluid process.

3 But the question we have is: How do we -- we
4 have over 200 chemicals. What technique do we use to
5 reach in and grab a few of them and look at them a little
6 more closely and decide which ones have a higher priority
7 and should be brought to your Committee's attention? And
8 that's the -- the approach that was developed in our
9 process document is to apply a focused literature screen.

10 --o0o--

11 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

12 SANDY: So we've applied this epidemiology data
13 screen. We had 235 candidate chemicals or groups of
14 chemicals for which we did this. And the process involved
15 conducting an online literature database search using
16 TOXLINE and PubMed.

17 We then were focused on looking for epidemiology
18 data. We identified those chemicals that had epidemiology
19 studies reporting an association between exposure to the
20 chemical and an increased cancer risk.

21 We gave more weight to analytical studies than to
22 descriptive studies or case reports.

23 And a single case report was not sufficient to
24 satisfy the epidemiology data screen.

25 --o0o--

1 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

2 SANDY: So as a result of this screening process
3 the chemicals that were identified we then looked at in
4 more depth but still in a preliminary fashion. We did
5 what we call a preliminary toxicological evaluation. By
6 doing another literature search to identify animal cancer
7 bioassays, studies on genotoxicity, mechanisms of action,
8 metabolism, pharmacokinetics and other things, we took a
9 look at those studies sometimes looking only at abstracts,
10 sometimes just a quick look at the paper to do a
11 preliminary evaluation of the overall evidence of
12 carcinogenicity.

13 --o0o--

14 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

15 SANDY: And then through that process we arrived
16 at the chemicals that we're proposing for your
17 consideration.

18 And we have three that were identified through
19 this process: Marijuana smoke, Dimethylformamide, and
20 2,4,6-Trinitrotoluene.

21 --o0o--

22 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

23 SANDY: And for each of the proposed chemicals
24 OEHHA compiled key cancer epidemiology studies, animal
25 cancer bioassays, and other relevant data identified

1 during this preliminary toxicological evaluation. We
2 provided that compilation of studies or abstracts or a
3 combination of those to your Committee. We released them
4 to the public as well for a 60-day comment period.

5 And any comments that were received were sent to
6 you prior to today's meeting. And we had comments to my
7 knowledge only on one chemical, and that was marijuana
8 smoke.

9 --o0o--

10 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

11 SANDY: So today we're here to allow your
12 Committee to advise OEHHA on the chemicals that you think
13 should undergo the development of hazard identification
14 materials. Again, this would be a much more thorough,
15 comprehensive literature search and evaluation of the
16 studies that we find.

17 And there's again today an opportunity for
18 additional public comment.

19 So now I'll turn it over to Amy Dunn, who'll be
20 going over in very brief fashion the information that was
21 compiled on Dimethylformamide and TNT.

22 (Thereupon an overhead presentation was
23 Presented as follows.)

24 MS. DUNN: Good morning.

25 Today I'll be presenting the evidence that we

1 identified that was available for prioritization of
2 N,N-Dimethylformamide and 2,4,6-Trinitrotoluene. I'll
3 stop after the presentation of Dimethylformamide for the
4 Committee's discussion.

5 Next slide.

6 --o0o--

7 MS. DUNN: As Martha mentioned the available
8 evidence for consideration included the epidemiological
9 data that was identified during the human data screen as
10 well as animal carcinogenicity data and other relevant
11 data.

12 Next slide.

13 --o0o--

14 MS. DUNN: N,N-Dimethylformamide is a solvent
15 used in fabric and fiber production, including leather
16 production. It's also used in industrial paint stripping
17 and other solvent applications.

18 The available human studies include studies of
19 three different types of occupationally exposed groups:
20 Aircraft repairmen, leather tanners, and workers in DMF
21 production and use facilities.

22 --o0o--

23 MS. DUNN: The human studies of Dimethylformamide
24 include investigations of testicular cancer in two
25 different occupationally exposed groups. There's a

1 Dimethylformamide includes genotoxicity evidence reviewed
2 by IARC in 1999 and a review by IARC that same year.
3 However, the review by IARC does not include the two-year
4 inhalation animal bioassay conducted by Senoh, et al., in
5 2004.

6 --o0o--

7 MS. DUNN: That concludes my presentation of the
8 evidence available on Dimethylformamide.

9 CHAIRPERSON MACK: First of all, I want to say I
10 think the three chemicals that you allowed to float to the
11 top of the list are very pertinent and I think they're a
12 good start. And the reason they're a good start is
13 because they're in very wide distribution and there are
14 legitimate reasons for concern on the part of the public.
15 Whether or not these are scientifically based or not is to
16 some extent irrelevant in terms of the reasons why we
17 should take a close look at them.

18 Now, I'm sort of assigned myself the job of
19 looking at Dimethylformamide for beginners. And basically
20 what we've got here is three clusters and they're clusters
21 of the same kind of cancer, testicular or at least
22 germ-cell-type cancers. And they happened in three
23 separate circumstances because they were two of the
24 airplane -- there were two clusters in separate airplane
25 facilities. And that's disturbing, because it's the same

1 kind of tumor and they happened in clusters three times.
2 Normally one cluster is easy to dismiss because the
3 likelihood of having a cluster in all the people available
4 to report is very, very high. Having a second one in the
5 same occupation with the same outcome is more bothersome.
6 And having a third one with the same outcome in a
7 comparable exposure is also bothersome.

8 On the other hand, the lack of confirming
9 evidence from animals and the lack of confirming evidence
10 from the more formal analytic studies is of course
11 inconsistent with the potential.

12 Now, Ducatman wrote a letter to the editor, which
13 I thought was a very thoughtful letter to the editor,
14 pointing out the characteristics of this particular
15 solvent and suggesting the possibility, that I don't think
16 we can exclude, that it may actually offer an opportunity
17 for another carcinogen to get at the cell more
18 efficiently. In other words, it works a little bit like
19 alcohol might work in providing access to carcinogens.

20 Now, to me, with my definition of what is a
21 carcinogen, anything which you've taken away reduces the
22 risk of cancer is a carcinogen. So the fact that it works
23 that way doesn't make any difference whatsoever to me.

24 But what we're lacking here so far is any real
25 strong evidence in animals that have actually looked at

1 this possibility, that is to say, the joint exposure to a
2 heavy metal in this case, because both chromium and
3 cadmium are the ones that Ducatman was concerned about.
4 And that means that we to some extent might dismiss or at
5 least not be completely convinced by either the analytic
6 studies where the solvent was looked at alone or the
7 animal studies where it was used only alone.

8 So I still think there's a possibility that that
9 might be the explanation and that this might be a
10 carcinogen. And on that basis, my inclination is to say,
11 yes, this is worthy of a further look, even though as the
12 evidence that we've been given now I don't think would be
13 able to call it a listable carcinogen.

14 Now, having said all that, let me turn to
15 everybody else on the Committee and see if anybody --
16 David, you can begin.

17 COMMITTEE MEMBER EASTMOND: I was asked also to
18 look at this compound in a little more detail. So I spent
19 some more time on it. And there are some -- certainly the
20 human data receives a series of clusters or case reports
21 which are suggestive. However, the cohort studies,
22 certainly those from DuPont, don't seem to support the
23 same sorts of associations.

24 The animal carcinogenicity data is actually a
25 little more messy. There was an early study published in

1 1994 in mice and rats in which essentially they reported
2 that there was really no increase in tumors, particularly
3 tumors of the liver. Now, the rat study was done up to a
4 concentration -- these were inhalation studies, done up to
5 400 parts per million exposure in the rats for two years
6 and in the mice for a year and a half. And there was an
7 increase in uterine tumors seen within the rats, but they
8 considered this within historical range. So it was
9 largely considered negative.

10 However, in 2004, the Japanese Bioassay Research
11 Group repeated these types of studies in both mice and
12 rats of a different strain. And in the rats -- both the
13 rats and the mice, now they went up -- in the rats it was
14 a two-year study again -- they went to a higher
15 concentration. So they went up to 800 parts per million.
16 And in the mice went to the same concentration, but they
17 went from 18 months and they now extended this to a
18 two-year study. And it was a very strong carcinogen in
19 the liver in both males and females and in both the mice
20 and the rats.

21 Some of these would appear to be almost
22 contradictory studies. The earlier study from 1994
23 published DuPont by Malley, which superficially doesn't
24 look like they saw anything. Although, as you start
25 teasing this out, what does appear is there are increases

1 in hepatic foci seen, which is thought by many to be a
2 preneoplastic lesion. And there looks like kind of a
3 tendency. So these aren't totally in contradiction. The
4 obvious differences are strain differences between the two
5 different strains of mice and rats. But there is some
6 suggestion at least in the Malley study that some
7 preneoplastic things might be occurring.

8 In the Japanese study by Senoh it's a very strong
9 positive only in the liver. They said they saw no
10 increase in tumors in any other tissue but the liver. But
11 they saw both adenomas and carcinomas in the rats. And in
12 the mouse they saw adenomas, carcinomas, and
13 hepatoblastoms. So it's unusual in this regard.

14 With regards to other relevant data,
15 genotoxicity, this compound has been extensively studied.
16 It was chosen for a series of test batteries in early
17 1980s. Largely negative in almost all of them. So it's
18 essentially negative. There are a couple of positives,
19 but the general overall pattern is very consistently
20 negative for genotoxicity.

21 So we have kind of a strange situation here where
22 you have agents got conflicting results in rodent studies.
23 One's a very strong positive in both mice and rats in both
24 males and females. The other one's largely negative. But
25 those two may be not so inconsistent when you start

1 looking at them in a little more detail. And then you've
2 got mixed pattern within the epidemiological data.

3 So I mean I think, given Tom's comments, the
4 animal data in itself is probably suggestive additional
5 examination is probably merited. It would be useful to
6 try and figure out what type of mechanisms it might be
7 acting by, because what appears to be happening in the
8 liver is certainly not through -- it does not appear to be
9 through a standard sort of mutagenic mode of action. So
10 it would be useful to know -- have other information on
11 how this might be acting.

12 CHAIRPERSON MACK: Thank you, David.

13 Now, let's go to survey the other people.

14 Why don't we start with Darryl.

15 No, wait a minute. No, Jim has a comment first.

16 Go ahead.

17 COMMITTEE MEMBER FELTON: I just have two
18 concerns. One is the at-risk epidemiology populations are
19 just being exposed to so many chemicals besides this one.
20 So we've got that on one side where we've got all these
21 different exposures. And maybe like Tom says, this thing
22 is potentiating those other compounds, because both
23 leather workers and engine maintenance people are getting
24 a big dose of various carcinogens.

25 And then on the other hand we do the animal

1 studies and we throw away all the other compounds and we
2 look at this one alone. So, again, if Tom's right, we
3 don't do animal cancer studies the way you'd really like
4 to see them, is take a few of those other exposed -- or
5 chemicals the individuals are exposed to and give them
6 together with this N,N-Dimethylformamide.

7 So it seems to me we're not doing things quite
8 right, but we've got what we got.

9 CHAIRPERSON MACK: Okay. Darryl, do you have any
10 comments?

11 COMMITTEE MEMBER HUNTER: No.

12 CHAIRPERSON MACK: None?

13 COMMITTEE MEMBER HUNTER: Not on this point.

14 CHAIRPERSON MACK: Okay. Martin.

15 COMMITTEE MEMBER HOPP: Well, I agree the bigger
16 problem is these cohorts of groups of patients who had --
17 I think the identified patient groups that had what I'd
18 consider very significant tumors that are unusual would
19 warrant evaluation, and I think it still warrants
20 evaluation.

21 The problem is that the individual chemical, as
22 you say, one by itself may not be causing it but multiple
23 exposures. It's very disturbing to see these groups of
24 patients and their tumors.

25 And I was also very disturbed at this higher

1 exposure. There's very clearly a higher exposure. This
2 was carcinogenic. But all in all the data just seems to
3 be still very weak but very disturbing.

4 CHAIRPERSON MACK: So we may wind up still not
5 being able to say that this is a listable chemical. But I
6 think, in my opinion, it probably behooves us to think
7 seriously about looking.

8 Now, I have a more formal way to go through this,
9 as we usually do. So I'm going to read a preamble. And
10 then I'm -- Oh, I'm sorry. I always forget the public.

11 I apologize to the public.

12 (Laughter.)

13 CHAIRPERSON MACK: Does anybody wish to say
14 things?

15 My God.

16 (Laughter.)

17 CHAIRPERSON MACK: Jay, you're unconscionably
18 quiet.

19 (Laughter.)

20 CHAIRPERSON MACK: Okay. Thank you.

21 Now, I will read a preamble and then I will say,
22 "Do you advise OEHHA to begin preparation of the hazard
23 identification materials for N,N-Dimethylformamide?" And
24 you will respond "yes" with your hands or "no" with your
25 hands.

1 So here we go.

2 "The Carcinogen Identification Committee is being
3 asked whether OEHHA should prepare hazard identification
4 materials for any of the chemicals presented today and be
5 brought back to the Committee at a future meeting for our
6 consideration making a listing decision."

7 "We are not making any listing decisions at
8 today's meeting. With this in mind, I will poll the
9 Committee members for their advice to OEHHA concerning
10 these chemicals.

11 "Do you advise OEHHA to begin preparation of the
12 hazard identification materials for N,N-Methylformamide?
13 All those advising against, please raise your hand."

14 Oh, yes, yes.

15 (Hands raised.)

16 CHAIRPERSON MACK: I will read it again, because
17 apparently some members of the Committee have lost it.

18 "Do you advise OEHHA to begin preparation of the
19 hazard identification materials for N,N-Methylformamide?"

20 All those advising "yes" please raise your hand.

21 (Hands raised.)

22 CHAIRPERSON MACK: Do you advise them to do this?

23 (Hands raised.)

24 CHAIRPERSON MACK: Jim is having trouble?

25 No? Okay.

1 So we have 1, 2, 3, 4, 5 yea's.

2 All those advising "no" please raise their hand.

3 (Hand raised.)

4 CHAIRPERSON MACK: One.

5 So the vote is 5 to 1.

6 And I presume that means that the motion probably
7 passes.

8 All right. Dr. Dunn, the field is yours again.

9 MS. DUNN: Next slide.

10 --o0o--

11 MS. DUNN: 2,4,6-Trinitrotoluene, also known as
12 TNT, is a well known explosive used in military and
13 industrial applications. Exposure to TNT may occur during
14 production, during the manufacture and loading of
15 munitions, during blasting operations, and from water or
16 soil that has been contaminated by discarded munitions or
17 manufacturing waste.

18 The available human studies of TNT include two
19 types of exposed groups: Individuals living in an area
20 that has contaminated soil and water; and factory workers
21 making ordnance.

22 --o0o--

23 MS. DUNN: The human studies of TNT in
24 individuals residentially exposed have focused on leukemia
25 incidents. A case-control study was conducted by Kilian,

1 et al., in 2001. A descriptive study of the same
2 population was conducted by Kolb, et al., in 1993.

3 In addition, hematological abnormalities were
4 studied in ordnance workers in a case-control study by
5 West and Stafford in 1997.

6 --o0o--

7 MS. DUNN: The animal carcinogenicity data
8 available on TNT includes two-year bioassays of TNT in the
9 diet of rats and mice conducted by the Army in 1984.

10 --o0o--

11 MS. DUNN: In addition, other relevant data on
12 TNT include genotoxicity evidence summarized by IARC in
13 1996, of which there were many different types of positive
14 studies. Examples are listed here and include frameshift
15 mutation in Salmonella strain TA-98 and TA-100, mouse
16 lymphoma gene mutation assay, Chinese hamster ovary cell
17 mutation assay, and chromosomal aberrations in exposed
18 workers carrying the NAT1 rapid acetylator genotype.

19 --o0o--

20 MS. DUNN: In addition, hemoglobin adducts in
21 exposed workers has been studied in association with
22 health effects not including cancer, and as biomarkers of
23 exposure to TNT.

24 Reviews of the carcinogenicity evidence of TNT
25 include a recent review by Bolt, et al., published in 2006

1 as well as IARC's 1996 review.

2 IARC's review did not include the case-control
3 study of leukemia by Kilian, et al., in 2001; the
4 case-control study of hematological abnormalities
5 conducted by West and Stafford in 1997; nor the two-year
6 animal bioassays conducted by the Army in 1984, because
7 the result of those studies were not published in the
8 peer-reviewed literature.

9 --o0o--

10 MS. DUNN: That concludes my presentation of the
11 evidence for 2,4,6-Trinitrotoluene.

12 CHAIRPERSON MACK: We're in a little bit of -- we
13 have a little bit of difficulty today because the two
14 people who were going to look at this chemical, as you
15 did, in as much detail as they could -- and the
16 information is fairly sparse -- are both sitting in
17 Burbank airport.

18 So those of us who did not have it as a primary
19 assignment have to fall in. And I now am eagerly awaiting
20 somebody to take the initiative and tell us what they
21 think.

22 And David looks really excited.

23 (Laughter.)

24 COMMITTEE MEMBER EASTMOND: Well, I did look over
25 the data, so I'll give you my comment on it.

1 The first study, which was by Kolb, is
2 essentially a descriptive study in which they had a high
3 incidence of leukemia in an area and then they looked
4 around and thought -- and they realize this area was
5 contaminated with trinitrotoluene, TNT. And so it was
6 kind of a cluster and TNT was suspected.

7 In the follow-up study, it was largely negative
8 when they did the case control. So that's not too
9 informative.

10 The other one in which they looked at the case
11 control for hematological abnormalities by West and
12 Stafford, they saw somewhat elevated risk, odds ratio of
13 1.8 I believe, but it was not statistically significant.

14 However, TNT is well known to cause hematological
15 effects, including aplastic anemia in humans. And that's
16 why they were focusing on the leukemia as the myeloid
17 leukemias.

18 With respect to the two-year animal bioassays,
19 what we saw were more or less excerpts of I assume much
20 larger documents that we got the key portions of this.
21 But in the rats there was a significant increase in
22 bladder cancer seen in the female rats. This include both
23 hyperplasia, which would be a preneoplastic type of
24 change, increasing adenomas and carcinomas seen in the
25 female rats.

1 And in the B6C3F1 mice, there was a dose related
2 increase in splenic lymph -- leukemic and lymphomas is
3 what it's called. And I assume these are T-celled
4 leukemias and lymphomas. But some of the detail wasn't
5 provided.

6 The mutagenicity data -- this compound is clearly
7 mutagenic in a variety of different short-term tests. I
8 will say though that the Sabbioni work from 2007 I found
9 the chromosome abberation data pretty marginal at best.
10 In fact, in one of the other papers they kind of indicated
11 there was no increase seen in the TNT exposed workers.

12 But, anyway, there's a series of adducts formed.
13 From my point of view, there is -- we have -- essentially
14 in two different species we have significant dose-related
15 increases in cancer in our rodent models. So that that
16 would -- for me would argue that we should go forward and
17 look into some more detail. I think the human data is
18 much weaker. Certainly epi data is quite weak anyway.

19 CHAIRPERSON MACK: Thank you, David.

20 Anybody else?

21 Jim.

22 COMMITTEE MEMBER FELTON: We've looked -- being
23 in a national lab, we've looked at a lot of these things
24 that go boom --

25 (Laughter.)

1 COMMITTEE MEMBER FELTON: -- things I wasn't even
2 able to learn the name of. But they're all very similar
3 in structure. And to the compound, when you put these
4 nitro groups on these compounds, they're very mutagenic,
5 and some are a lot more mutagenic than this. So these are
6 really good mutagens.

7 So the question is -- you know, maybe comparing
8 the metabolism between the animals, metabolism among
9 individuals, they saw the paper that was on the
10 N-Acetyltransferase. You know, there may be individuals
11 that have various polymorphisms from that activation step
12 that would be more or less susceptible. So to me this is
13 a very interesting compound to look at. And I think
14 there's a lot of questions both about susceptibility in
15 humans versus one another and...

16 The real question though comes -- you know, we
17 talked earlier about: Are the people in California
18 exposed to large amounts of this? And there's the real
19 question. Is this really just a processing plant problem
20 or is this really an environmental problem? And I think
21 that's worth understanding too. So I'm really in favor of
22 going forward with this.

23 CHAIRPERSON MACK: Okay. Anybody else have
24 comments?

25 Darryl, Martin, and Anna?

1 All negative?

2 Okay. Well I actually did read it and I actually
3 quasi-dismissed the epidemiology for much the same reason
4 that David did, because this is a single cluster, and the
5 cluster was inevitably going to contaminate anything that
6 was not a subject -- or in the same population. So I
7 think it just confirmed that there was a cluster and
8 nothing more.

9 But I did get -- I did actually miss one of the
10 species. But that bladder cancer caught my eye and that's
11 what disturbed me. And the presumption -- and also the
12 analogy to the other compounds are a very similar nature,
13 which are in fact, as Jim said, very mutagenic. So I tend
14 to fall on the side of pursuing this one as well.

15 Yes, Martin.

16 COMMITTEE MEMBER HOPP: Sorry I didn't mention
17 earlier. When I looked through this, I was very impressed
18 with of course the chemical data on animals. But what
19 impressed me more was the lack of epidemiological
20 findings, because this compound has been around for a long
21 time with a lot of exposure and a lot of workers with a
22 lot of intense exposure and in huge -- you know, it's very
23 widespread and you would -- I would expect much more
24 demonstrable epidemiological studies if this was a
25 significant carcinogen.

1 So of all the things that disturbed me about this
2 relative to it not being carcinogenic in humans, although
3 laboratory data may show that, is the vast use of this and
4 the vast lack of any data proven in humans to show
5 carcinogenicity.

6 CHAIRPERSON MACK: I think that's a really good
7 point. The difficulty always though is what were the
8 difficulties in trying to study it? Were the people
9 exposed to it also exposed to lots of other things?
10 Usually if there isn't one cancer that stands out, you
11 wind up not getting a good study done because people don't
12 have the initiative of doing it.

13 But that's certainly a valid point.

14 COMMITTEE MEMBER HOPP: Yeah, it often disturbs
15 me -- going to come up later on also -- is that we may in
16 fact find chemicals that are carcinogenic in animals but
17 we have really no data that it's carcinogenic in humans.
18 And the question that this Committee always comes up to is
19 that -- what we're charged with is, is this chemical a
20 risk to humans? And this is an ongoing problem that we
21 keep having. But what disturbed me particularly was the
22 lack of epidemiological data because it's so widespread.

23 CHAIRPERSON MACK: We've had occasion in the
24 Committee to talk about this issue of humans versus
25 animals. And I come down on one side. And that if you

1 look at the way the initiative is worded, our job is to
2 find chemicals that cause cancer, and not cancer in humans
3 but cancer. So obviously the reason is to be concerned
4 about cancer in humans. And that of course underlies our
5 concerns about things. But the actual wording doesn't
6 actually specify humans. It only specifies cancer.

7 Now, fortunately insects don't get cancer. But
8 at least mammals do. And some of the things that we've
9 listed previously there wasn't good human evidence. But
10 everybody on the Committee was very convinced that the
11 animal evidence suggested that humans were in fact at
12 risk.

13 So I don't think we should dismiss it because we
14 don't think it causes cancer in humans. I think your
15 first point though was a really good one, namely, that
16 maybe we should have expected some good epidemiology in
17 this particular compound.

18 Anybody else have any comments?

19 David.

20 COMMITTEE MEMBER EASTMOND: Yeah. Just the
21 comment -- what's interesting to me is the two animal
22 studies that we have access -- referring to that are kind
23 of driving this are really not -- have not been published
24 in the general literature. OEHHA's been able to get
25 access to them. But when IARC reviewed the data, these

1 were not available to IARC. So in some respects by
2 getting this out, the information that there are these
3 animal data that show that this has been associated with
4 cancer, may prompt people to do additional studies on TNT.

5 CHAIRPERSON MACK: Joan.

6 DIRECTOR DENTON: I wanted to have Carol just
7 clarify just back to the point that you were talking
8 about, Dr. Mack.

9 CHIEF COUNSEL MONAHAN-CUMMINGS: For Dr. Hopp --
10 and, Dr. Mack, you did a good job of describing the
11 statute. But in terms of the findings that this Committee
12 has to make when they do list a chemical, which you're not
13 doing today, you're actually finding whether or not the
14 chemical has been clearly shown through scientifically
15 valid testing according to generally accepted principles
16 to cause cancer. And so it doesn't say to cause human
17 cancer. And in fact there was some early litigation in
18 the Prop 65 area where it was determined by the courts
19 that it was appropriate and indeed required that chemicals
20 be listed based on animal evidence alone, unless there was
21 some indication that a human would not react the same way,
22 you know, mechanistically or whatever, to that chemical.

23 So I understand what you're saying about, you
24 know, human cancer. But this Committee, as Dr. Mack
25 mentioned, is really looking at: Does the chemical cause

1 cancer? It doesn't have to be shown to cause cancer in
2 humans.

3 Does that help?

4 CHAIRPERSON MACK: Jim.

5 COMMITTEE MEMBER FELTON: One other thing I think
6 we need with respect to your comments is -- there's a big
7 difference between negative epidemiology studies and no
8 studies. And, you know, we have to consider that all the
9 time. There may be really no good reason why this
10 population hasn't been studied except that it was never
11 anybody's priority to do so. It doesn't mean that there
12 aren't cancers there, but nobody's studied it. So it's a
13 problem for us when we do the evaluations. But there's a
14 big difference between negative and not done at all.

15 CHAIRPERSON MACK: Okay. Are we ready to -- oh,
16 Darryl.

17 COMMITTEE MEMBER HUNTER: No, I just had a
18 question. You'd made reference that there were a couple
19 animal studies that weren't published but that we were
20 able to get access to. I was curious --

21 CHAIRPERSON MACK: That's what David said. And
22 the fact is that if we were to vote that this should be
23 looked at in detail, they're not limited by the rules that
24 apply to IARC. They can get whatever evidence is
25 available whether or not it's been published. So you can

1 be sure that the most available information will be looked
2 at if we vote yes.

3 COMMITTEE MEMBER HUNTER: I was just curious as
4 to why they weren't published. Was it just opinions --

5 COMMITTEE MEMBER EASTMOND: Essentially they're
6 paid for by the Army to do a study for the Army. These
7 were contract labs. They did their study. They provided
8 the report. But Army had no reason to publish it. So
9 these are -- you know, certainly the technical documents
10 are available. We have excerpts of them in our handouts.
11 But they just didn't have any motivation to publish it.
12 So it's not been published. I think that's a fair --

13 CHAIRPERSON MACK: The Army does not have the
14 residents of California as their primary concern.

15 (Laughter.)

16 CHAIRPERSON MACK: Are we ready to vote?

17 CHIEF COUNSEL MONAHAN-CUMMINGS: No, You need to
18 have public comment first.

19 CHAIRPERSON MACK: Oh, public comment.

20 All right. Is there an overwhelming surge of
21 public people who want to comment?

22 This is really a record.

23 All right. Here we go again.

24 I'm not going to read -- do I have to read the
25 paragraph again?

1 DIRECTOR DENTON: No.

2 CHAIRPERSON MACK: No, I don't have to read the
3 paragraph again.

4 Do you advise OEHHA to begin preparation of the
5 hazard identification materials for 2,4,6-trinitrotoluene?
6 All those advising "yes," please raise their hands.

7 (Hands raised.)

8 And that covers the waterfront. Nobody is left
9 to vote "no". So they had 6 to 0.

10 All right. And now we turn to Dr. Tomar.

11 (Thereupon an overhead presentation was
12 Presented as follows.)

13 DR. TOMAR: Marijuana, the botanical name for the
14 plant is cannabis sativa and the candidate chemical is
15 marijuana smoke.

16 --o0o--

17 DR. TOMAR: It's a commonly used drug by young
18 adults. The exposure is through inhalation of burning
19 flowers, leaves, stem or resin of marijuana plant. It is
20 used for recreational as well as medicinal purposes. And
21 the combustion products contain carcinogenic and
22 procarcinogenic compounds.

23 --o0o--

24 DR. TOMAR: The available evidence identified in
25 the prioritization process for consideration include

1 epidemiological data identified during human data screen,
2 animal carcinogenicity data. And other relevant data
3 include on genotoxicity, carcinogenic constituents of
4 marijuana smoke, immunosuppression, and endocrine effects.

5 --o0o--

6 DR. TOMAR: The epidemiological literature
7 includes studies on cancer related to individuals with
8 exposure to both marijuana and tobacco smoke. This
9 suggests that some individuals who use marijuana may also
10 use tobacco.

11 This is a compilation of a study that appears to
12 have a reasonably well-defined measure of exposure to
13 marijuana smoke alone. Both positive and negative studies
14 are included here.

15 We have a series of case-control studies with the
16 tumor of head and neck cancer, a study of lung and upper
17 aerodigestive tract cancer, a study of the oral squamous
18 cell carcinoma, another case control study of transitional
19 cell carcinoma of the bladder. We have one large
20 retrospective cohort study dealing with all sites cancers.
21 And we also have case series study dealing with the
22 respiratory tract cancer as well as couple of case reports
23 which deals with the transitional cell carcinoma.

24 --o0o--

25 DR. TOMAR: Parental marijuana smoke during

1 gestation is associated with acute nonlymphoblastic
2 leukemia as well as childhood rhabdosarcoma.

3 --o0o--

4 DR. TOMAR: The animal carcinogenicity studies
5 include marijuana smoke condensate with a classical skin
6 painting study as well as initiation and promotional study
7 by Hoffman, et al., 1975.

8 We have another study in rat with the
9 subcutaneous administration by Repetto, et al., in 1979.

10 There's one study by inhalation on marijuana
11 smoke by Murthy, et al., 1985.

12 --o0o--

13 DR. TOMAR: The other relevant data include the
14 genotoxicity, which is -- we have a positive study on
15 somatic cell mutation in mothers and their newborns.

16 We also have another important study on DNA
17 adducts in the lungs of exposed monkeys. But
18 unfortunately the study was conducted seven months after
19 the exposure I just talked.

20 --o0o--

21 DR. TOMAR: Marijuana smoke contains many of the
22 same carcinogen and procarcinogen found in tobacco smoke,
23 such as acetaldehyde, benz[a]anthracene, benzo[a]pyrene,
24 benzo[k]fluoranthene, chrysene, dibenz[a,h]anthracene,
25 dibenzo[a,e]pyrene.

1 --o0o--

2 DR. TOMAR: We have other -- quite a bit of data
3 on respiratory and immunological effects studies; as well
4 as endocrine-like effects, one single report; and one
5 report on molecular mechanism, mostly it is for
6 cannabanoids rather than tobacco smoke alone.

7 We have a series of reports recently on
8 epidemiological data which have been reviewed in a number
9 of studies.

10 --o0o--

11 DR. TOMAR: We have only one comment from Dr.
12 Gieringer, Director of the National Organization for the
13 reform of Marijuana Laws.

14 This concludes my overview of studies compiled in
15 the prioritization process. And I leave it to the
16 Committee now for their further discussion.

17 Thank you.

18 CHAIRPERSON MACK: I only have one correction,
19 Dr. Tomar. This is a material which is used by people who
20 used to be young adults.

21 (Laughter.)

22 COMMITTEE MEMBER WU: Well, in reviewing the
23 epidemiologic studies, I -- there were really 16 studies
24 that I examined. Twelve of them were in adults and four
25 of them were in children.

1 In the adult studies, as has previously been
2 covered, it covered a range of cancer sites including
3 lung, head and neck lymphomas; anal and penile cancers; as
4 well as bladder cancers. And even though there were a few
5 early studies in adult studies -- in adult cancer,
6 suggesting an increase risk, more recent studies that were
7 larger that were able to look at both dose response as
8 well as duration response relationships did not find a
9 positive association.

10 And I think probably the largest study was one
11 that was published last year by Hashibe. And that
12 particular study included both -- included really five
13 sites.

14 So I would say that adult studies covering a
15 range of exposure -- a range of cancer sites, and some of
16 them were able to adjust for potential confounders, did
17 not find an association.

18 Now, there were two small studies of -- two
19 earlier studies of lung cancer that suggested an increased
20 risk with exposure. But both of those studies were unable
21 to really tease out the effect of tobacco use. So I think
22 those two studies, the results are very difficult to
23 interpret.

24 There were four studies looking at various
25 childhood cancers. All four of those studies in fact

1 found an increased risk associated with maternal use of
2 marijuana during gestation. In at least two of those
3 studies they also had information on father's use of
4 marijuana, and they both also showed an increased risk,
5 although it was a little bit lower than what they found in
6 terms of mother's exposure during the pregnancy.

7 There are a variety of issues that one can go
8 into in terms of maybe explaining the inconsistent
9 findings in the various epidemiology studies, which I can
10 go into if we want to. But maybe I should stop and see if
11 there are other comments and then we can continue the
12 discussion.

13 CHAIRPERSON MACK: Martin.

14 COMMITTEE MEMBER HOPP: I also looked at this
15 fairly carefully. And I appreciate your quick review
16 here. It saves me a lot of time.

17 I do want to bring up a couple things that I
18 think are confounding and make this issue a little bit
19 smoky.

20 (Laughter.)

21 COMMITTEE MEMBER HOPP: The issue we have in
22 front of us is marijuana smoke, not cannabis. And I think
23 that the confusing of the two is a problem in the
24 literature relative to its effect on humans as well as the
25 discussion here. Because cigarette smoke -- excuse me --

1 marijuana smoke contains a huge amount of chemicals
2 besides THC and cannabis. And the discussion here we
3 really have to focus on is the effect on marijuana smoke.
4 And when you really eliminate the argument of whether or
5 not THC or cannabis itself has its carcinogenic effect,
6 the same issue has to be made for the other chemicals that
7 are within marijuana smoke. So you may eliminate THC or
8 add it. But we're still looking at the entire process of
9 marijuana smoke and whether or not that is a risk to a
10 carcinogenesis.

11 If you look at the individual chemicals and
12 things contained within cigarette -- excuse me -- within
13 marijuana smoke, you find a whole list of chemicals that
14 are clearly unambiguously carcinogenic: Benzanthracene,
15 benzopyrene, benzofluoranthene. These are things that are
16 clearly carcinogenic, long established to be carcinogenic
17 in animals and humans, and have been on our bad, bad list
18 for a long time.

19 There's also additional data to show in these
20 multitude of studies that the aqueous portion of
21 cigarettes -- excuse me -- the aqueous portion of
22 marijuana smoke, the tar portion, is the most
23 carcinogenic. Unfortunately the aqueous portion of
24 marijuana smoke is probably the portion that is absorbed
25 most in humans.

1 So I think the first point I want to make is that
2 we really have to separate out THC from the carcinogens
3 and the carcinogenicity of marijuana smoke.

4 The second thing I wanted to bring out was the
5 epidemiological data -- and I think -- well, Dr. Wu had
6 mentioned Hashibe's study, which I think is spectacular.
7 I think that we also have to recognize that our chairman
8 here, Thomas Mack, was part of that study and is
9 undoubtedly -- I'd like to hear his comments relative to
10 the data that was absorbed there.

11 As an epidemiologic study, it's probably more
12 classically -- the highest level type of studies we have
13 because they actually interviewed the patients and the
14 controls at a very rigorous manner. The other studies
15 that are shown epidemiologically are focus studies with
16 what I would consider poor case controls and small
17 numbers.

18 And, lastly, what I wanted to bring up was the
19 immunomodulation effect of THC in the confounding biologic
20 data that we see in these studies. There's -- as we
21 talked about is some of the other discussions, when you
22 have a whole multitude of chemicals present in the
23 exposure to human, you could have carcinogenic and non --
24 as well as protective and procarcinogenic activities all
25 at the same time. And identifying individual activities

1 of each one of these is particularly difficult in
2 marijuana smoke, because of the lack of control of the
3 substance, its quality, quantity and volume.

4 So that while individually we may see multiple
5 chemicals, we have to look at the seriousness of these
6 chemicals I think and understand them as they relate to
7 the whole.

8 End of comment.

9 Would you like to comment a little bit more about
10 your --

11 CHAIRPERSON MACK: Actually I don't think it's
12 appropriate. I played a relatively small part in that
13 study. The study was published, and I think everybody has
14 seen it.

15 Any epidemiologic study -- no epidemiologic study
16 is perfect. This was a relatively large study. The
17 people who ran it tried really hard to make the controls
18 comparable to the cases. So for what it's worth, they
19 were unable to show any association.

20 But, you know, whenever we look at human studies
21 or animal studies, for that matter, there's always a limit
22 in representativeness of all exposures and all studies.
23 And particularly in this case when you have a lot of known
24 carcinogens, as you rightly point out, in the smoke, one
25 presumes, if one has any sense I think, that it's a matter

1 of dose. If people got enough dose to marijuana smoke,
2 it's likely that it probably would produce the same cancer
3 that the same chemicals do when they're given in the same
4 dose in other formats. But that's not our job to
5 speculate about.

6 So I think that the likelihood is that this
7 probably could cause cancer under some circumstances
8 because those chemicals are known to. But I can't rule
9 out the possibility that there's something in marijuana
10 smoke that counteracts all of those carcinogens and
11 provides a safety net. So we just look at the empiric
12 evidence as it stands.

13 So, anyway, now I'll shut up.

14 Jim.

15 COMMITTEE MEMBER FELTON: Just a question for Dr.
16 Tomar.

17 The list of chemicals that we have in front of us
18 are -- where did they come from? Because these are all
19 PAH type compounds. Yet if you look in cigarette smoke, I
20 mean there's people out there that say that PAHs aren't
21 even important, it's really the nicotine derivatives, NNK,
22 it's amino-a-carboline, there's aminobiphenyl. I mean
23 there's just so many good carcinogens in cigarette smoke,
24 I would expect some of those to be in marijuana but they
25 aren't listed here.

1 DR. TOMAR: I didn't get the question. Sorry.

2 COMMITTEE MEMBER FELTON: So this list -- you
3 have seven compounds on your list that you gave us.

4 DR. TOMAR: Yes.

5 COMMITTEE MEMBER FELTON: And they're all
6 basically PAH-type compounds.

7 My question is -- in cigarette smoke there are
8 many other compounds that we know are carcinogenic. Have
9 those been looked at in marijuana or is this just what
10 somebody looked for?

11 DR. TOMAR: No, marijuana constituents are
12 compared with the tobacco smoke. And all these are
13 well-known identified carcinogens. So I did want you to
14 put something which I was ambiguous about or which I
15 cannot prove that it is a carcinogen by one way or
16 another.

17 But, yes -- and as far as individual chemical is
18 concerned, I'm more concerned about, as the comments made,
19 the total smoke is the candidate chemical and not the
20 unusual chemical, because we know that some of the
21 cannabanoids will very highly immuno suppress you,
22 especially for -- immunity.

23 So I didn't want you to bring those things to the
24 Committee.

25 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

1 SANDY: Dr. Felton, just to follow up.

2 We did not do a thorough review of all the papers
3 that have measured the constituents in marijuana smoke.
4 We had a couple papers that were fairly old. And we just
5 were able to note that the several that we've put in that
6 table that we've presented that are both in marijuana
7 smoke and they happen to be in tobacco smoke and they're
8 known carcinogens. But this is not meant to be a thorough
9 review of everything that's carcinogenic in marijuana
10 smoke.

11 COMMITTEE MEMBER FELTON: That's what I wanted to
12 ask.

13 CHAIRPERSON MACK: David.

14 COMMITTEE MEMBER EASTMOND: Yeah. And this is
15 more of a question for some of the epidemiologists.

16 But as I look through this in kind of a cursory
17 fashion, there were a moderate number of studies that I
18 thought had intermediate-level relative risk for odds
19 ratio, in the range of 3.1, 3.4, et cetera. And even the
20 Hashibe study, all the crude odds -- many of the crude
21 odds ratios were significantly increased. And it wasn't
22 till they did three different models of adjusting -- and
23 even under model 1 I think all three of those continued to
24 be significantly increased. It wasn't till they got to
25 adding more and more variables in, and then they lost --

1 the significance disappeared.

2 And the fact that they presented all three models
3 means there was a reason for it. And I tried to -- like
4 to get some insights into what you think when you see this
5 sort of situation where you have a crude odds ratio of
6 significant, use one model, there's still significant, use
7 another model. Two of the three are no longer
8 significant. And third model, all three drop out.

9 How would you interpret that sort of data?

10 COMMITTEE MEMBER WU: Well, I'll take a stab at
11 it first.

12 I think one of the concerns with the previous
13 studies and I think the reason why they probably presented
14 the data in that fashion was that it was always suggested
15 that because marijuana and tobacco smoke as well as
16 alcohol use are very important and -- very strong
17 potential confounders for all of those sites that were
18 examined, that it is really important to be able to look
19 at the association, carefully adjust it really for the
20 other exposures. Now, of course if you can get a clean
21 group, which is never smokers -- never drinkers who are
22 using marijuana, that's the best, right?

23 (Laughter.)

24 COMMITTEE MEMBER WU: But of course those numbers
25 are tiny in the studies. So I think they did their best

1 to really try to at least demonstrate what type of
2 information was there, and that in fact it is extremely
3 difficult in these situations to really rule out the
4 potential confounders. And I think it's really a balance
5 of looking at, first of all, what is the nonexposed group,
6 what -- you know, and I think one thing they didn't do is,
7 you know, if you actually look at how -- when they
8 carefully adjust it for tobacco smoke, you know, what kind
9 of reduction in risk.

10 And I think if they have -- so I think you have
11 to sort of decide, you know, whether in fact this is
12 really -- unless you actually have a clean group, you
13 know, is there a marijuana smoke effect? Or is it really
14 confounded by active smoking and alcohol use, which have
15 all very, very strong established risk factors for these
16 other cancers that they're looking at?

17 CHAIRPERSON MACK: I agree completely.

18 I'd like to just add one thing. And, that is,
19 when you do these adjustments with additional models which
20 enter additional confounders, you never can be sure that
21 you're not eliminating a true association. But you wind
22 up having to conclude, like we do in many instances,
23 saying we don't have any evidence that there is a true
24 association. Doesn't mean it isn't there. It means that
25 this particular study doesn't really find evidence that

1 it's there, partly because it's masked by the other
2 exposures which we know are important.

3 So I think you're getting some concern from the
4 fact that the crude association was strong. But you're
5 left still not being able to say that this is the
6 marijuana smoke.

7 COMMITTEE MEMBER EASTMOND: Well, that was the
8 one example I used. But, you know, you've got -- and for
9 those where you think alcohol might contribute. But I
10 mean evidence like the carcinoma of the bladder like
11 Chacko, et al., had odds ratio, now that's a
12 tobacco-related target site. So -- you know, maybe it's
13 tobacco related. And usually, I would imagine, there's a
14 lot of confounding between the two. But, you know, you go
15 through this and I wasn't sure what to think.

16 The biggest issue for me was is there was so much
17 inconsistency across these studies. You would think that
18 if it was positive at least in one type of tumor, you'd
19 see a similar thing in others. And there's just no
20 consistency from what I can tell.

21 CHAIRPERSON MACK: I don't know what Anna would
22 say, but I guess I would begin by saying if you expect
23 epidemiologic studies to be consistent --

24 (Laughter.)

25 CHAIRPERSON MACK: -- you're in the wrong

1 ballpark.

2 (Laughter.)

3 CHAIRPERSON MACK: Because all kinds of people --
4 and especially when you're talking about an exposure that
5 is very difficult to measure. If I ask you how much
6 marijuana you smoked when you were a given age -- I'm not
7 going to --

8 (Laughter.)

9 CHAIRPERSON MACK: -- you may not be able to
10 remember. In fact, you may not have been able to remember
11 ten minutes later.

12 (Laughter.)

13 CHAIRPERSON MACK: I think you have to be
14 concerned about the quality of the exposure information
15 always, and especially if there's a potential problem in
16 terms of the implications for society. So I'm not so
17 surprised that they're inconsistent.

18 I think the studies that I was most interested
19 in -- and I don't know how to evaluate them, and let me
20 ask Anna maybe to elaborate a little bit -- are the
21 children's studies. The Rhabdomyosarcoma is done by a
22 good epidemiologist. And, in fact, both of them were done
23 by good epidemiologists, but -- and they were strongly
24 positive. But you still are left not feeling very
25 comfortable about it.

1 COMMITTEE MEMBER WU: Yes, I have to say I -- I
2 thought that the children studies were of concern, partly
3 because they were really careful in trying to assess
4 misclassification, especially because in the one study
5 where there was a -- the risk was 11 -- the odds ratio was
6 11, they only had very low -- low prevalence of exposure
7 among the control group. And so they actually tried to
8 check the other children's cancer study that they had
9 access to to try to see whether in fact this was really
10 due solely to underreporting among the control's mother or
11 overreporting among the case mother.

12 And I think they concluded at the end of all that
13 that it really was not simply because of both
14 overreporting among case mother and underreporting among
15 control mother, but that this effect is real, it may not
16 be 11-fold increase risk. And certainly the other
17 children's study also suggests that.

18 And so I'm left with thinking that it's not
19 something that we could ignore, and probably should try to
20 see, you know, what other studies are out there in
21 children. And I'm certain that there are -- I mean I
22 don't know this literature, but whether there are actually
23 studies -- because all of these studies except for the few
24 studies done in Africa were all done in western U.S.
25 populations. And I think the exposure to marijuana is

1 actually quite substantial in other western populations.
2 And try to see, you know, whether there are studies out
3 there.

4 Certainly there is a large European childhood
5 cancer consortium. I don't know whether those studies ask
6 the question. So I think it's worthy of exploring these
7 large children studies that I know are out there.

8 CHAIRPERSON MACK: Okay. Are there any other
9 comments from -- yeah, Jim.

10 COMMITTEE MEMBER FELTON: Well, I know it talked
11 about the epidemiology a lot here. But -- and then coming
12 back to the comment on the last chemical. I mean when you
13 have such mixed complex exposures, you've got to go to the
14 rodent and then see what you get there, because you've got
15 to put the two together, because you can't do it all with
16 the human studies.

17 CHAIRPERSON MACK: David.

18 COMMITTEE MEMBER EASTMOND: My concern was is the
19 animal studies were really poor and very few of them.
20 So --

21 COMMITTEE MEMBER FELTON: Then you might end
22 up --

23 COMMITTEE MEMBER EASTMOND: Yeah, I mean it was
24 just -- it was an idea of saying -- usually you look at
25 the animal studies to help give some clarity when you have

1 this sort of mixed exposure, a variable sort of outcome.
2 And the animal studies in this case are really quite poor.
3 At least that's my interpretation.

4 CHAIRPERSON MACK: Any more comments from the
5 members of the Board?

6 And now --

7 COMMITTEE MEMBER HOPP: Yeah. Again, I'd like to
8 respond to that a little bit, because this is again my
9 concern. Again, I'm not -- I don't know every article
10 about marijuana smoke and its -- in the studies in animal
11 because I think that there's a general tendency for
12 skipping animal studies in things like marijuana smoke due
13 to the prevalence in the vast data available for regular
14 cigarette smoke containing the same carcinogens. So
15 there's no doubt that it's not necessarily the same, but I
16 think that there's also a lack of general -- I would
17 suspect there's a lack of a huge industry looking at this
18 in the animal.

19 CHAIRPERSON MACK: Okay. Any other comments?

20 And now third time is the charm.

21 Is there any comments from members of the public.

22 DR. GIERINGER: Sure. I'm Dale Gieringer with
23 California NORML, a national organization for reforming
24 marijuana laws, who submitted testimony.

25 But there were just a couple of points that I

1 wanted to highlight that I didn't hear mentioned in the
2 discussion. One was the -- I didn't hear anyone mention
3 the relatively new data -- studies that have come out
4 showing an anticarcinogenic effect from THC and
5 cannabinoids in particular, which are the peculiar
6 ingredients in marijuana smoke. In particular, just this
7 year, the study by Preet, showing
8 Delta-9-tetrahydrocannabinol inhibits epithelial growth
9 factor-induced lung cancer cell migration in vitro as well
10 as growth and metastasis in vivo. And there are a couple
11 more recent studies showing protective effects from the
12 cannabinoids in particular.

13 But getting along to the larger question about
14 the smoke, I hope everybody here is aware of the very poor
15 quality of the data we have about the consistency of
16 marijuana smoke. Most of those studies are old studies,
17 and they all use a particular -- a particular source of
18 marijuana. In fact, it's the government itself, the
19 famous marijuana farm in Mississippi, that is actually the
20 only legal source of marijuana. And except for your
21 epidemiological studies, any study that's done on animals
22 or in labs uses this marijuana. And this particular
23 marijuana is sort of egregious for its low quality, its
24 low cannabinoid content. It's very leafy. It's more like
25 tobacco, I would say, than anything else. There are -- it

1 certainly does not meet the current standard for the kind
2 of cannabis that's used most frequently, especially in
3 medical practice, where the bud is -- the bud of the plant
4 is smoked rather than the leaf. The government's
5 substance consists of leaf, which has usually been
6 freeze-dried actually before the study, is my
7 understanding.

8 It has been impossible to do any studies of
9 sinsemilla or the many, many different varieties of
10 cannabis that are currently out there and being smoked,
11 because the government just doesn't let people do studies
12 on that. And you can -- I mean I've had trouble with that
13 myself, because we've been trying to do studies on
14 marijuana smoke vaporization and they won't let us get any
15 realistic marijuana for the studies.

16 So I hope if you do take a further look at this,
17 you will somehow try to find some information about the
18 constituents of the actual smoke that is out there rather
19 than the smoke that comes from burning NIDA's Mississippi
20 ditch weed, as it's called, by aficionados, of which there
21 aren't any.

22 (Laughter.)

23 CHAIRPERSON MACK: Thank you very much. Actually
24 that was a really good point. And I wonder if -- I
25 suspect the OEHHA staff would greatly appreciate it if you

1 could find documentation of that fact basically of the
2 Mississippi source of most of the animal studies.

3 DR. GIERINGER: Certainly.

4 CHAIRPERSON MACK: Are there any other comments
5 from the public?

6 COMMITTEE MEMBER HOPP: I have a comment.

7 CHAIRPERSON MACK: Martin is a public person too.
8 (Laughter.)

9 COMMITTEE MEMBER HOPP: I'd like to just review a
10 position paper by the Thoracic Society of Australia and
11 New Zealand that's in our pile here and their conclusions
12 about relationships of this. And they talk about the
13 histologic effects -- histopathologic effects of cannabis
14 smoking in humans, including changes consistent with acute
15 and chronic bronchitis. Cellular dysplasia has been
16 observed, suggesting that, like cigarette smoke, cannabis
17 exposure has the potential to cause malignancy. These
18 features are consistent with clinical presentation of
19 symptoms of cough, early morning sputum production in
20 young individuals who smoke cannabis alone.

21 It states here that "almost all studies indicate
22 the effects of cannabis and tobacco smoking are addictive
23 and independent. Public health education should dispel
24 the myth that cannabis smoking is relatively safe by
25 highlighting that the adverse respiratory effects of

1 smoking cannabis are similar to those of smoking tobacco,
2 even though it remains to be confirmed that smoking
3 cannabis alone leads to the development of chronic lung
4 disease," which is exactly the point that I think we were
5 discussing.

6 CHAIRPERSON MACK: Thank you, Martin.

7 So now I guess we're ready to take the vote
8 again.

9 COMMITTEE MEMBER FELTON: I just have one further
10 comment.

11 CHAIRPERSON MACK: Oh, it's always going to be
12 one more thing.

13 Go ahead, Jim.

14 COMMITTEE MEMBER FELTON: It seems to me that --
15 I think our public comment brings up a real problem with
16 the studies here, because when you're studying tobacco
17 smoke, you can go get a commercially produced Camel or
18 Marlboro, or whatever you've got, or some government
19 manufactured standard cigarette. But here -- I mean the
20 source of this particular product that we're going to
21 study is so varied, that I'm not sure how we're going to
22 make any conclusion except maybe based on what the
23 government farm has. But whoever's growing this in
24 Sacramento in their backyard, I can't -- when we get the
25 data, we can't say whether that falls into the general

1 category or not.

2 So it just worries me that we're dealing with a
3 very inconsistent source of the chemicals rather than what
4 you get out of a commercial product.

5 CHAIRPERSON MACK: You suggest that every effort
6 ought to be made to find European studies, which
7 presumably are not limited by the American Government's
8 decision -- and the Australian and New Zealand studies and
9 places where there might be availability of other forms.

10 Anyway, you're just reinforcing the point that
11 the public member made, and that was a very good point.

12 David.

13 COMMITTEE MEMBER EASTMOND: One item. The
14 long-term -- the study by Murthy, et al., that is the
15 inhalation study in rats is actually out of Jamaica. So
16 I'm not sure the U.S. Government, you know, source is
17 really relevant in that case.

18 CHAIRPERSON MACK: So we have one ganja study.

19 (Laughter.)

20 CHAIRPERSON MACK: All right. Are we ready for a
21 vote?

22 Do you advise OEHHA to begin preparation -- oh,
23 I'm sorry.

24 Do you advise OEHHA to begin preparation of the
25 hazard identification materials for marijuana smoke?

1 All of those advising "yes," please raise their
2 hand.

3 (Hands raised.)

4 CHAIRPERSON MACK: 1, 2, 3, 4.

5 All those advising "no," please raise their hand.

6 (Hands raised.)

7 CHAIRPERSON MACK: Two.

8 4 to 2.

9 All right. That's the vote. And I think that
10 concludes our official -- well, there's an open item which
11 says that if anybody else has a chemical that they wish to
12 propose for Committee consideration -- anybody on the
13 Committee has a chemical that they wish to propose for
14 consideration, then a separate polling should be taken.

15 Does anybody else have -- does anybody have such
16 an additional chemical?

17 And I guess the answer to that is no.

18 Now, having finished the formal business, I wish
19 to say one thing. And you may say something also.

20 CHIEF COUNSEL MONAHAN-CUMMINGS: Dr. Mack, you
21 might ask the public here whether they have any chemicals
22 they'd like the Committee to consider.

23 CHAIRPERSON MACK: Oh, but I don't think they
24 have that option, do they?

25 CHIEF COUNSEL MONAHAN-CUMMINGS: Yeah, they do.

1 CHAIRPERSON MACK: They do?

2 All right. Public, please present your
3 chemicals?

4 Thank you for keeping me honest.

5 Now, I will continue.

6 Dr. Felton is leaving the Committee as of today.
7 And that is a great loss. As you can see, he pipes up
8 quite frequently. And his expertise has been extremely
9 valuable. In fact, I don't know what I would have done in
10 the last -- how many years has it been?

11 COMMITTEE MEMBER FELTON: More than ten.

12 CHAIRPERSON MACK: More than ten.

13 I don't know what I would have done without him.
14 And I'm going to miss him terribly. I'm thinking
15 seriously of going with him if he really is serious about
16 it.

17 So thank you, Jim.

18 COMMITTEE MEMBER FELTON: You're welcome.

19 DIRECTOR DENTON: Dr. Felton resigned -- was it
20 last month? -- several weeks ago. And the reasons that he
21 gave was that he has retired from Lawrence Livermore and
22 also that he's doing more with his duties with UC Davis.

23 So from OEHHA -- we'd like to say how much we
24 appreciate the service that you've given on the Committee.

25 And I do have a couple of resolutions that I

1 would like to give to you.

2 Is there anything that you would like to say
3 officially before we do that?

4 COMMITTEE MEMBER FELTON: Just if I could say a
5 few things. You know, being on a committee for all these
6 years -- and the staff that we had to work with here in
7 OEHHA is just amazing. I mean they come up with the
8 information. We look it over. But our job -- if we had
9 to start from scratch, it would be almost impossible. So
10 these guys really make the job being on this Committee
11 quite easy.

12 The other thing is that from a bench scientist --
13 I'm not a physician or an epidemiologist -- to
14 participation in something like this where the public is
15 actually interested in the science and the decisions is
16 really a real treat. And it's so different than the rest
17 of my life. And I remember hearing some of those same
18 comments from other bench scientists that have been on
19 this Committee. This is just a different way of looking
20 at science and information. And so it's been a great
21 experience for me.

22 Thank you.

23 Oh, my gosh.

24 DIRECTOR DENTON: We're prepared.

25 So this is a commemoration from -- actually

1 signed by the Governor. So I'd like to read it to you,
2 Dr. Felton.

3 "November 9th. Dr. James Felton.

4 "Allow me to convey my
5 congratulations to you as you retire
6 from the Science Advisory Board's
7 Carcinogen Identification Committee.

8 "I deeply appreciate your hard work
9 to protect the health of all
10 Californians. Among many
11 accomplishments, your insightful
12 evaluation of chemicals to determine if
13 they cause cancer will undoubtedly save
14 lives. I applaud your tremendous
15 contributions to the Committee and your
16 remarkable career that has seen you
17 serve in many pivotal positions.

18 "It was a pleasure to appoint you to
19 the Science Advisory Board. And you can
20 take pride in the many hours you devoted
21 to improving public health in the
22 environment. Whether as a board member,
23 professor, or researcher, you have done
24 much to enhance our state, and your
25 expertise will be missed.

1 "On behalf of all Californians,
2 please accept my gratitude and best
3 wishes for every future success.

4 "Sincerely, Arnold Schwarzenegger."
5 (Appause.)

6 COMMITTEE MEMBER FELTON: Pretty nice.

7 DIRECTOR DENTON: I do have one more. And it's
8 quite long so I won't read it all. But it's a recognition
9 of service to Dr. Felton. And it talks about Dr. Felton
10 having served on the Science Advisory Board, inaugural
11 Carcinogen Identification Committee, three gubernatorial
12 administrations, almost 15 years of public service,
13 participated in the evaluation of complex data, provided
14 insightful guidance in decisions made by the CIC. The CIC
15 has benefited the people of California by helping to
16 ensure they receive clear and reasonable notice of
17 exposure. Consistently provided keen scientific analyses
18 of genetic toxicity in the area of cancer identification.
19 And an invaluable advisor to the state.

20 He's a world-renowned researcher in the role of
21 dietary heterocyclic amines formed during cooking. And
22 during his professional career has shared his expertise in
23 cancer causation and prevention as they relate to food.

24 He served as the deputy, associate director, and
25 division leader the Biology and Biotechnology Program of

1 Lawrence Livermore National Laboratory, adjunct professor
2 at UC Davis.

3 Recognized for his expertise. And among his
4 accomplishments has served on the National Cancer
5 Institute's Board of Scientific Counselors Division of
6 Cancer Etiology, past President of the U.S. Environmental
7 Mutagen Society, and is a member of the Steering Committee
8 of the American Association for Cancer Research.

9 "Therefore, I, Linda S. Adams, the Agency
10 Secretary for Environmental Protection, do hereby
11 recognize Dr. Felton for his years of outstanding public
12 service to the people of California."

13 And that signed by Linda S. Adams, the Agency
14 Secretary.

15 (Applause.)

16 DIRECTOR DENTON: So now we want them prominently
17 displayed in your new office.

18 CHAIRPERSON MACK: Well, that's the fun part of
19 the meeting. Now we have to turn back to work.

20 And so, Martha.

21 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

22 SANDY: Thank you.

23 (Thereupon an overhead presentation was

24 Presented as follows.)

25 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

1 SANDY: Okay. So the next item is discussion of
2 our next prioritization data screen that we'll be
3 performing. We have to come up with some other way of
4 sifting through that varied mix of chemicals with varied
5 types of data available to choose a group of chemicals and
6 then look at them a little more carefully.

7 --o0o--

8 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

9 SANDY: So as described in the prioritization
10 document that we referred to, we will reapply the
11 epidemiology data screen, and we may get a few chemicals.
12 A few years have passed. There may be more studies. But
13 then we're going to need to apply one or more animal data
14 screens. And then we would conduct a preliminary
15 toxicological evaluation of those chemicals we identified
16 through the use of those screens.

17 And then we would bring that to your Committee as
18 chemicals proposed for Committee consideration.

19 So really the question here is: What should
20 those data screens look like, those animal data screens?

21 --o0o--

22 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

23 SANDY: So we're looking for input from you all.
24 And we have a few ideas that I'd like to take a little
25 time to go through.

1

--o0o--

2

CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

3

SANDY: In thinking about how to structure these

4

screens, there's many different approaches. We did

5

consider in the back of our minds your Committee's

6

criteria for identifying chemicals for listing as known to

7

the state to cause cancer.

8

We also considered the Preamble to the

9

International Agency for Research on Cancer Monographs on

10

the Evaluation of Carcinogenic Risks to Humans. At your

11

last meeting last year we had Dr. Vincent Cogliano from

12

IARC come and give a presentation. And part of his

13

presentation was discussion of how the preamble had been

14

revised, recognizing that there are fewer and fewer animal

15

cancer bioassays that are published now and we expect

16

fewer to be published and more use of mechanistic data.

17

So keeping all of that in mind, thinking of what

18

type of a screen we would apply now to reach into that

19

mixed bag of chemicals and choose which ones to look at

20

more carefully.

21

--o0o--

22

CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

23

SANDY: One screen would be perhaps to look for

24

chemicals with two or more positive animal cancer

25

bioassays or one positive bioassay with either malignant

1 tumors occurring to an unusual degree with regard to
2 incidence, site or type of tumor or age of onset; could be
3 combined malignant and benign tumors; or a positive -- one
4 positive bioassay with findings of tumors at multiple
5 sites. So it's one possibility.

6 --o0o--

7 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

8 SANDY: A second screen might be looking at
9 chemicals with one positive animal cancer bioassay; and
10 then in addition to that, structure activity comparisons
11 with a known carcinogen or evidence from a second animal
12 cancer study of benign tumors known to progress to
13 malignancy; or evidence that the chemical operates by a
14 mechanism known to be involved in human carcinogenesis
15 such as genotoxicity or altered gene expression or immune
16 suppression or hormone disruption.

17 So those are two possible screens to start your
18 discussion.

19 Thank you.

20 CHAIRPERSON MACK: All right. I'm going to start
21 with David.

22 Are you alert and awake? And you watch those two
23 screens.

24 COMMITTEE MEMBER EASTMOND: Yeah, I mean I -- my
25 impression is that you have to go forward using some sort

1 of systematic approach. And I think you've taken a
2 reasonable approach to go forward. So I don't -- I mean I
3 would probably support the approach you're taking.

4 I don't have a lot of other comments.

5 CHAIRPERSON MACK: Do you have a preference as to
6 the two screens?

7 COMMITTEE MEMBER EASTMOND: Let me go look at
8 them again. Just a second.

9 COMMITTEE MEMBER HOPP: Martha, can I ask you,
10 when you put those two together, what do you see as the
11 significant difference between the two in how chemicals
12 are going to come up?

13 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

14 SANDY: Well, the way we structured this, there's
15 sequential screens. The first screen would be one cut
16 where you get two or more positive studies, or one with
17 the particular concern. And then if we didn't get enough
18 chemicals using that screen, we would go to the second
19 screen, which goes down a level lower. But, as I said,
20 there's many ways to cut this, and we're just looking --
21 here's our proposal and looking for comments and ideas
22 from you.

23 COMMITTEE MEMBER EASTMOND: I mean that's the way
24 I kind of interpreted it. I didn't see it as one versus
25 the other. It was just here's the first cut, then the

1 second, which seems to make sense from my point of view
2 just going forward that way.

3 I mean I think one of the issues is going to
4 be -- and I don't know how. It has to do with some of
5 these newer types of animal bioassays, the short-term
6 cancer bioassays, and how we interpret those, either in
7 the -- such as the P53 heterozygotes or even the newborn
8 mouse assay, in looking at some of those. And I don't
9 know how -- I think the Committee's going to have to try
10 and figure out how we interpret that data. Because, As
11 you said, the number of studies that are being done in
12 sort of conventional two-year chronic rodent bioassays is
13 dropping quite dramatically, but there are increases in
14 other types of tests. And so this is something I think
15 we'll have to be discussing as a group later.

16 CHAIRPERSON MACK: Jim.

17 COMMITTEE MEMBER FELTON: Well, I agree with
18 David. I think if I was designing this myself, I probably
19 wouldn't do anything different than what you guys have
20 already done. I think this is well thought out and
21 probably the right way to do it.

22 Sometimes though some of these other criteria
23 that you haven't screened too can be quite important. So
24 maybe my only difference would be maybe not so much screen
25 1 and screen 2 having such big differences. But if you

1 see something that's really dramatic as far as the
2 mechanism or the structure activity goes, that it would be
3 a call-out as well. But I don't think I'd design it any
4 different. Very good.

5 CHAIRPERSON MACK: Martin?

6 Anybody else?

7 I guess the question that Jim is asking is,
8 couldn't you put the two of them together in a single
9 screen? Or maybe having them run consecutively is the
10 same thing as that, but maybe it is more work.

11 But I agree. I think that -- and I think the
12 only question was which -- is it clear that screen 1 is
13 going to be picking up lower hanging fruit than screen 2.
14 And I'm not sure it's true anymore.

15 So to try and put them together might be a good
16 idea. But if you can't put them together, then putting
17 them in consecutively is giving you the same thing, so I
18 don't think anybody is going to disagree with that.

19 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

20 SANDY: Okay.

21 CHAIRPERSON MACK: Does anybody else --

22 COMMITTEE MEMBER HOPP: Well, the difference
23 really is in the one positive animal cancer assay. You
24 know, if you have two positives, then it goes through.
25 But if you have only one positive, what else in addition

1 to that is significant relative to bringing it to the top?
2 And basically there's five different ways of additional
3 assays besides one animal assay.

4 CHAIRPERSON MACK: Anybody else?

5 COMMITTEE MEMBER HOPP: Seems good. I mean I
6 think all these things are pertinent to cancer production
7 and I think those are the right things to look at.

8 CHAIRPERSON MACK: Okay. Does anybody in the
9 public have any comments on this issue?

10 I guess not.

11 So I think that's as much feedback as you're
12 going to be able to get from us.

13 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

14 SANDY: Well, thank you.

15 CHAIRPERSON MACK: You're way ahead of us.

16 So now we come to the next to final item, which
17 is staff updates.

18 Sorry. Come to the next to the last item, which
19 is staff updates.

20 Martha.

21 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

22 SANDY: And I think that's Cynthia Oshita.

23 CHAIRPERSON MACK: She's going to do it. Okay.

24 MS. OSHITA: Good morning.

25 OEHHHA has administratively added three chemicals

1 to the Prop 65 list. Two chemicals as known to cause
2 cancer. They were iprovalicarb and anthraquinone. And
3 one chemical as known to cause reproductive toxicity. And
4 that was di-isodecyl phthalate.

5 In addition to these three chemicals, isosafrole,
6 5-nitro-ortho-anisidine,
7 tris(aziridinyl)-para-benzoquinone were removed from the
8 Proposition 65 list. These chemicals were added to the
9 list in October 1989 by operation of law based on the
10 Labor Code Sections 6382(b)(1) and (d) that incorporates
11 by reference chemicals that require the inclusion of
12 substances listed as human or animal carcinogens by the
13 International Agency for Research on Cancer and requires
14 the inclusion of chemicals within the scope of the federal
15 Hazard Communication Standard 29 CFR 1910.1200 which
16 establishes that a chemical is a carcinogen or potential
17 carcinogen for hazard communication purposes if it's
18 identified as such by IARC or the National Toxicology
19 Program.

20 The classification of isosafrole and
21 tris(aziridinyl)-para-benzoquinone as Group 3 by IARC and
22 the removal of 5-nitro-ortho-anisidine from designation as
23 such by NTP required that these chemicals be removed from
24 the Proposition 65 list.

25 A summary sheet of these latest changes to the

1 Prop 65 list along with their effective dates are provided
2 in your binders behind the "Staff Updates" tab. In
3 addition to these listings and delistings, there are
4 several chemicals under consideration for administrative
5 listing now. They include gallium arsenide, as a chemical
6 known to the state to cause cancer, and hexafluoroacetone,
7 nitrous oxide, vinyl cyclohexene dioxide, and methanol as
8 chemicals known to the state to cause reproductive
9 toxicity. Comments were received on these chemicals and
10 they are under review.

11 Also included in your binders is a summary sheet
12 of the safe harbor levels that were adopted during the
13 last year. There were three Maximum Allowable Dose Levels
14 (MADLs) that were adopted effective September 30th, 2007.
15 They are ethylene glycol monoethyl ether, ethylene glycol
16 monoethyl ether acetate, and potassium
17 dimethyldithiocarbamate. And in June 2007, OEHHA issued a
18 Notice of Proposed Rule-Making announcing the proposed
19 MADL for di-n-butyl phthalate. Written comments were
20 received which we are reviewing and will respond to as
21 part of the rule-making process.

22 Thank you.

23 CHAIRPERSON MACK: Thank you, Cindy. You've
24 shown yourself able to pronounce those words much better
25 than any of us.

1 MS. OSHITA: Thank you.

2 CHAIRPERSON MACK: Ms. Monahan-Cummings.

3 CHIEF COUNSEL MONAHAN-CUMMINGS: All right.

4 There's one case that I think is still of interest to this
5 Committee that's still in litigation and, that is, the
6 cases that were filed in regard to exposures to acrylamide
7 from french fries and potato chips. I think I've
8 mentioned this to the Committee before, that there were
9 some private cases that had been brought against Burger
10 King and McDonald's. And then about a year ago, maybe
11 longer than that now, the Attorney General's Office also
12 filed some cases against a number of different defendants
13 for the same kinds of exposures to acrylamide from potato
14 chips and french fries. And they're basically -- it's
15 litigation about whether or not there should be a warning
16 for those foods -- exposures to acrylamide in those foods.

17 The cases have been proceeding along. Discovery
18 is almost complete. It included the deposing of 20 expert
19 witnesses on both sides of the case.

20 The trial is currently set for January the 15th
21 in Los Angeles. Three defendants have settled and agreed
22 to provide warnings, those being Burger King, Wendy's, and
23 KFC. You may see those warnings popping up in their
24 restaurants.

25 The remaining defendants include Frito-Lay,

1 Proctor & Gamble, McDonald's, Lance Heinz and Pepsico.
2 And it's always possible that some of those will settle
3 before the trial date. And it's also possible the trial
4 date will change.

5 But that's the update on that case.

6 CHAIRPERSON MACK: I'll bet whoever took those
7 depositions had a good time.

8 Thank you very much.

9 Dr. Denton.

10 DIRECTOR DENTON: This is the last item of the
11 agenda, and that's the Summary of Committee Advice and
12 Consultation.

13 So just to summarize the last I guess a little
14 over an hour and a half, the Committee advice to OEHHA
15 regarding the three chemicals brought before us, in all
16 three cases basically the Committee did -- a majority
17 Committee members thought that we ought to pursue the
18 preparation of hazard identification materials on these
19 three chemicals. Although in the case of TNT, it was
20 unanimous; in the case of N,N-Dimethylformamide, it was 5
21 yes, 1 no; and then in the case of marijuana smoke, it was
22 4 yes and 2 no. So that's essentially it.

23 And regarding the next prioritization data
24 screen, there was basically an endorsement of the proposal
25 brought forward as far as using the screen 1 and screen 2,

1 basically screen 2, if you have one positive animal cancer
2 bioassay. So kind of a combination of both.

3 Before I go on, Carol, did you want to say
4 something?

5 CHIEF COUNSEL MONAHAN-CUMMINGS: I just wanted to
6 mention on marijuana smoke, technically speaking that's
7 not a majority of the Committee. The 4-2 would not be a
8 majority because, at least under the rules for this
9 Committee, we'd need at least five to vote positively.
10 But it does give us some advice in terms of whether or not
11 we'd proceed. It just it wouldn't be -- you know, if they
12 were listing, for example, that wouldn't be sufficient.

13 DIRECTOR DENTON: Legally well put.

14 Thank you, Carol.

15 CHAIRPERSON MACK: Who wants to change his vote?

16 COMMITTEE MEMBER EASTMOND: I'm willing to change
17 my vote to -- I'd like to go forward.

18 DIRECTOR DENTON: Okay. On marijuana smoke?

19 COMMITTEE MEMBER EASTMOND: Yes.

20 DIRECTOR DENTON: So we now have a majority of
21 Committee members recommending that we go forward with
22 marijuana smoke. So it's 5 yes and 1 no.

23 I only have a couple of other things that I
24 wanted to mention.

25 I wanted to introduce our new staff counsel in

1 the very beginning and I forget it. But at this point I
2 would like to introduce her. She's in the back. That's
3 Fran Kammerer.

4 STAFF COUNSEL KAMMERER: Kammerer.

5 DIRECTOR DENTON: And so Fran is going to be
6 working on Prop 65. And so the Committee will be seeing
7 her in future meetings.

8 The second thing that I wanted to mention is how
9 much again we appreciate Dr. Felton's service on the
10 Committee and his insight. It's really been very helpful,
11 and we will miss you.

12 And then I guess the second to the last thing
13 that I wanted to mention is that as an administrator of
14 the Proposition 65 process, this prioritization process I
15 think really makes logical sense to bring these chemicals
16 to you to have a preliminary discussion to see whether or
17 not more information should be developed. And so sitting
18 here is really kind of a reinforcement of that
19 prioritization process that we did adopt in 2004. This is
20 the first time that we've brought chemicals for your
21 advice and I think that it really makes a lot of sense.

22 And then I guess the last thing, and maybe Dr.
23 Mack will turn it back over to you, but I really do wish
24 that all of you have a happy holiday and we really
25 appreciate your service.

1 CHAIRPERSON MACK: Yeah, I think you better hold
2 your fire until we see what happens when some of the next
3 ones come through, because the epidemiology ones were
4 relatively easy. It's the next batch that will be hard.

5 Happy holidays, everybody.

6 (Thereupon the Carcinogen Identification
7 Committee adjourned at 11:55 a.m.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE OF REPORTER

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, and Registered Professional Reporter, do hereby certify:

That I am a disinterested person herein; that the foregoing California Office of Environmental Health Hazard Assessment, Carcinogen Identification Committee was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California, and thereafter transcribed into typewriting.

I further certify that I am not of counsel or attorney for any of the parties to said workshop nor in any way interested in the outcome of said workshop.

IN WITNESS WHEREOF, I have hereunto set my hand this 28th day of November, 2007.

JAMES F. PETERS, CSR, RPR
Certified Shorthand Reporter
License No. 10063