

PUBLIC MEETING  
STATE OF CALIFORNIA  
ENVIRONMENTAL PROTECTION AGENCY  
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT  
PROPOSITION 65  
CARCINOGEN IDENTIFICATION COMMITTEE

JOE SERNA JR.  
CAL/EPA HEADQUARTERS BUILDING  
1001 I Street  
SIERRA HEARING ROOM  
SACRAMENTO, CALIFORNIA

TUESDAY, NOVEMBER 15, 2016

10:00 a.m.

JESSICA SOTELO  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 13679

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

A P P E A R A N C E S

COMMITTEE MEMBERS:

Thomas M. Mack, M.D., M.P.H., Chairperson

Jason Bush, Ph.D.

Shanaz Dairkee, Ph.D.

David A. Eastmond, Ph.D.

Joseph Landolph, Ph.D.

Peggy Reynolds, Ph.D.

STAFF:

Dr. Lauren Zeise, Acting Director

Ms. Carol Monahan Cummings, Chief Counsel

Dr. Martha Sandy, Chief, Reproductive and Cancer Hazard  
Assessment Branch

Dr. Amy Dunn, Research Scientist, Reproductive and  
Cancer Hazard Assessment Branch

Dr. Jennifer Hsieh, Staff Toxicologist, Reproductive and  
Cancer Hazard Assessment Branch

Michelle Ramirez, Environmental Scientist, Proposition  
65 Implementation

1                   A P P E A R A N C E S   C O N T I N U E D

2 ALSO PRESENT:

3 Richard Adamson, American Beverage Association

4 Tim Formella, FMC Corporation

5 Anthony Kriech, Heritage Research Group, Asphalt Roofing  
Environmental Council

6 Stan Landfair, Dentons, Sumitomo Corporation, Bayer Agri  
7 Science

8 Arthur Lawyer, Technology Sciences Group, Sumitomo  
Corporation, Bayer Crop Protection

9 Lisa Lefferts, Center for Science in the Public Interest

10 Zhiuei Liu, FMC Corporation

11 Howard Marks, National Asphalt Pavement Association

12 Dr. Betti Martini, Mission Possible World Health Inc.

13 Jay Murray, Murray Association, Calorie Control Council

14 Gary Roberts, Dentons

15 Dr. John Ross, Consumer Specialty Products Association

16 Russell Snyder, California Asphalt Pavement Association

17 Paul Sohi, Asphalt Institute

18 Rudolph Valentine, Vinyl Acetate Council

19

20

21

22

23

24

25

1	INDEX	
2		PAGE
3		
4	I. WELCOME AND OPENING REMARKS	5
5	II. CONSIDERATION OF CHEMICALS AS KNOWN	
6	TO THE STATE TO CAUSE CANCER	
7	A. Nitrite in Combination with	
8	Amines or Amides	
9	Staff Presentation	15
10	Committee Discussion	51
11	Public Comments	52
12	Committee Discussion and Decision	56
13	III. PRIORITIZATION OF CHEMICALS FOR	
14	FUTURE CIC REVIEW	
15	Staff Presentations	78
16	Chemicals Or Chemical Groups	
17	Committee Discussion	83
18	Public Comments	85
19	Committee Members Discuss and Propose	
20	Priority Rankings for Each of the 5	
21	Chemicals	99
22	IV. UPDATE OF THE SECTION 27000 LIST OF	
23	CHEMICALS WHICH HAVE NOT BEEN	
24	ADEQUATELY TESTED AS REQUIRED	143
25	V. STAFF UPDATES	
26	Chemical Listings via Administrative	
27	Listing Mechanisms and Safe Harbor	
28	Level Development	151
29	Proposition 65 Litigation	153
30	VI. SUMMARY OF COMMITTEE ACTIONS	155
31	Adjournment	162
32	Reporter's Certificate	163

1 P R O C E E D I N G S

2 ---oOo---

3 ACTING DIRECTOR ZEISE: Good morning, everyone.

4 I'd like to welcome you to this meeting of the  
5 Proposition 65 Carcinogen Identification Committee. We  
6 have a --my name is Lauren Zeise. I'm acting director  
7 for the Office of Environmental Health Hazard  
8 Assessment.

9 Today we have one major agenda item being  
10 covered, and that is whether or not nitrite in  
11 combination with amines or amides should be known to the  
12 State to cause cancer. We have some additional agenda  
13 items. One covering prioritization of chemicals.  
14 Another looking at the degree to which chemicals have  
15 been adequately tested for section --our Section 2700.  
16 And we also have some staff updates.

17 So before getting started, I'd like to cover  
18 some housekeeping and logistics. The meeting is being  
19 transcribed, so everyone please speak into the  
20 microphones. The restrooms and drinking fountains, if  
21 you go out the door and turn down --go out the door and  
22 walk down the hall to your left, you'll find the  
23 restrooms and the drinking fountains. And then in the  
24 event of an emergency, if you follow the exit door, walk  
25 down the steps, go out of the building, and convene in

1 the park across the street. We'll be taking breaks  
2 during the meeting for our court reporter.

3 And now, with that, I'll introduce the  
4 Committee, the CIC. So to my direct right is Dr. Thomas  
5 Mack from the University of California School of  
6 Medicine. To his right is Dr. David Eastmond from the  
7 University of California, Davis. Welcome. And  
8 Dr. Jason Bush -- pardon --

9 COMMITTEE MEMBER EASTMOND: California of  
10 Riverside -- Riverside.

11 ACTING DIRECTOR ZEISE: Oh, I'm sorry.  
12 Riverside. I've really committed --

13 COMMITTEE MEMBER EASTMOND: I wasn't offended.

14 ACTING DIRECTOR ZEISE: My sincere apologies.

15 To his right Dr. Jason Bush, California State  
16 University, Fresno. To my left, Dr. Shanaz Dairkee,  
17 California Pacific Medical Center. Then Dr. Joseph  
18 Landolph, University of Southern California; and  
19 Dr. Peggy Reynolds of -- from the Cancer Institute of  
20 California and consulting professor at Stanford  
21 University School of Medicine.

22 And so now I'd like to introduce the OEHHA  
23 staff. Carol Monahan, chief counsel, sitting in front.  
24 Next to her is Allan Hirsch, chief deputy director.  
25 Next to her is Dr. Martha Sandy, branch chief for the

1 Reproductive and Cancer Hazard Assessment Branch. Next  
2 to her is Dr. Jennifer Hsieh, staff toxicologist with  
3 RCHAB. Next to her, Amy Dunn, research scientist with  
4 RCHAB.

5 And then for our Proposition 65 implementation  
6 staff, we've got Esther Barajas-Ochoa, Michelle Ramirez,  
7 and Julian Leichty.

8 So welcome everyone.

9 Now, I'd like Carol Monahan to make some --  
10 Monahan Cummings to make some introductory remarks.

11 CHIEF COUNSEL MONAHAN CUMMINGS: Good morning.  
12 I just want to remind the Committee of a few items. I  
13 know you've heard this before, but since we only meet  
14 once a year or so, I try and do these reminders for each  
15 meeting. First, I'd like to remind you that in your  
16 binder, and in materials that we've provided to you  
17 earlier, there's criteria that was developed by an  
18 earlier iteration of this committee for listing  
19 chemicals under Prop 65. And so if you have questions  
20 about the data that you are looking at for the chemicals  
21 in front of you today, please refer to the criteria  
22 which are in the back of the binder that you were given  
23 today under the tab criteria. Those are scientific  
24 criteria that were developed by this committee and early  
25 iteration, and the intent of those is to provide

1 guidance. There's a lot of room for judge -- for  
2 scientific judgment calls in the criteria, and that's  
3 for a good reason. Obviously, if science moves forward,  
4 an application of the criteria has to move with the  
5 science. So hopefully that criteria is useful to you in  
6 your decision-making today.

7           The charge for this committee has to do with  
8 listing chemicals under Proposition 65. Sometimes,  
9 through some of the comments that you hear, you'll be  
10 told other information that has to do with the impact of  
11 a particular listing; for example, whether or not a  
12 warning may be required, what the particular impact on  
13 certain sectors of the economy would be for particular  
14 listings. While this information is helpful in the  
15 general sense, it's not part of the criteria for use by  
16 this committee, and so you should apply the scientific  
17 criteria that you have available in your binder and  
18 apply your own scientific judgment on the questions that  
19 are presented to you today.

20           You'll also hear about the clearly shown  
21 standard, which is part of the statute. You are  
22 required to find whether or not a chemical or chemical  
23 group has been clearly shown through scientifically  
24 valid testing, according to generally accepted  
25 principles, to cause cancer. This is a scientific



1 question and is not a legal standard of proof.

2           This committee is also allowed and often does  
3 make decisions based entirely on animal evidence. The  
4 chemicals that you are considering today need not have  
5 been shown to be human carcinogens, and you don't need  
6 to have information about whether or not human exposures  
7 to this chemical group are sufficiently high to cause  
8 cancer in order to list the chemical group.

9           The members of this committee are very well  
10 qualified scientists. You were appointed to the  
11 Committee by the governor because of your scientific  
12 expertise, and you don't need to feel compelled to go  
13 outside that charge and make other kinds of decisions.  
14 In the event that you have or feel you need additional  
15 info -- I'm sorry.

16           In the event that you feel that you have  
17 insufficient information or you need more time to think  
18 or discuss the question before you, there's no  
19 requirement that you make a decision today on any of the  
20 questions that will be presented. You can always ask  
21 the staff to prepare additional information, or you can  
22 ask to defer the question to another meeting.

23           So today, the Committee is going to be  
24 considering whether or not nitrite, in combination with  
25 amines or amides, has been clearly shown through

1 scientifically valid testing, according to generally  
2 accepted principles to cause cancer. In this context,  
3 the -- this group of chemicals was sent to you because  
4 it did not meet the criteria for listing under the  
5 authoritative bodies process, and so these don't --  
6 these kind of situations don't come to you very often.  
7 Usually we resolve those issues in the administrative  
8 process, but this particular set of chemicals has been  
9 referred to you for de novo review.

10           So the Committee today could find that nitrite  
11 in combination with amines or amides has been clearly  
12 shown to cause cancer; the Committee could find that  
13 nitrites in combination with amines or amides have not  
14 been clearly shown to cause cancer; or the Committee  
15 could defer its decision on this question and request  
16 additional information from OEHHA.

17           In addition to deliberating on the broad group  
18 of chemicals encompassed by this term "nitrite in  
19 combination with amines or amides," the Committee may  
20 discuss potential consideration of one or more smaller  
21 groups of chemicals, which are subsets of the broader  
22 group; or the Committee could consider other subsets  
23 of -- I'm sorry. I already said that.

24           In the event the Committee identifies the subset  
25 of the broader category for consideration, the subset

1 would be referred for future consideration at a separate  
2 meeting so that the public would have sufficient  
3 opportunity to comment on the proposed listing. So this  
4 is a little bit different than our normal process, so  
5 what we are saying today is you can find that the whole  
6 group meets the criteria for listing, the whole group  
7 doesn't meet the criteria for listing, you need more  
8 time to think about it, or you want to consider a  
9 subgroup of these chemicals at a future meeting.

10 Any questions on that? Yes, Dr. Landolph.

11 COMMITTEE MEMBER LANDOLPH: Can you tell me  
12 who --

13 CHIEF COUNSEL MONAHAN CUMMINGS: Microphone.

14 COMMITTEE MEMBER LANDOLPH: -- which authorities  
15 bodies have --

16 CHIEF COUNSEL MONAHAN CUMMINGS: The  
17 authoritative body that we reviewed, the report was the  
18 International Agency for Research on Cancer, and you  
19 have that document in your materials. Any other  
20 questions? Thank you.

21 ACTING DIRECTOR ZEISE: Okay. And with that,  
22 I'll turn the meeting over to Chairman Mack.

23 CHAIRPERSON MACK: Thank you, Lauren. In the  
24 beginning, the only remark I have to make is I apologize  
25 to some extent for asking you to limit yourselves to

1 five minutes in the discussion from the regulated  
2 community. Yes, we might have more time, but part of  
3 the reason for the five-minute limit is because, with  
4 the exception of the one person or two persons who might  
5 be interested in speaking about nitrates, and we can  
6 consider an extension for those if it was absolutely  
7 necessary, but in relation to the prioritization,  
8 because the prioritization is based on information that  
9 is self-evident to some extent, the prevalence of the  
10 composure, the presence of concern, and the -- and the  
11 number of items of information that are pertinent, it  
12 really doesn't help us a lot to spend a lot of time on  
13 the quality of the information. And because we are not  
14 dealing with the details of the quality of the  
15 information, public comments are not -- not that useful.  
16 They are useful for recording opinion, and we accept the  
17 opinion, but that can be done relatively rapidly. So,  
18 for what it's worth, I made the decision that I only  
19 wanted five minutes from each person.

20           So with that having been said, I think we'll go  
21 ahead and turn it over to Martha.

22   ---oOo---

23           DR. SANDY: Thank you, Dr. Mack. I'll say a few  
24 words of introduction for why this chemical nitrite, in  
25 combination with amines and amides, is before your

1 committee today, before I turn it over to staff to make  
2 the presentation.

3           So as Carol said, back in February of 2014 OEHHA  
4 issued a notice of intent to list nitrite in combination  
5 with amines or amides as causing cancer based on  
6 conclusions by an authoritative body, in this case, the  
7 International Agency for Research on Cancer, or IARC.  
8 IARC's conclusions regarding the evidence of  
9 carcinogenicity in experimental animals was the trigger  
10 for this proposed authoritative body listing.  
11 Specifically, IARC included there is sufficient evidence  
12 in experimental animals for the carcinogenicity of  
13 nitrite in combination with amines or amides.

14           After considering public comments received on  
15 the proposed authoritative bodies listing, OEHHA  
16 determined that the scope of what would be covered under  
17 the listing of nitrite in combination with amines and  
18 amides was broad and covered many more chemicals than  
19 had been tested in the experimental animals studies  
20 discussed in the IARC monograph. OEHHA found that the  
21 proposed listing did not meet OEHHA's regulatory  
22 criteria of sufficiency of evidence.

23           In May 2015, OEHHA announced that nitrite in  
24 combination with amines or amides did not meet the  
25 criteria for listing under the authoritative bodies

1 mechanism, and consequently was being referred to you,  
2 the CIC, for listing consultation as required by our  
3 regulations.

4           So now let me say a few words about the scope of  
5 what is covered by nitrite in combination with amines  
6 and amides. Nitrite is common in the environment. It  
7 is present in water, soil, and living organisms. It is  
8 also common in the diet since it is present at low  
9 levels in vegetables, grains, and fish, and it is  
10 commonly added to processed meats and fish. Nitrite is  
11 also used for various industrial purposes. There are  
12 thousands of amines and amides. These are large classes  
13 of chemicals that include all amino acids and proteins.  
14 As, you know, amines and amides are found in nearly all  
15 plant- and animal-based foods.

16           Some amines and amides are used as pesticides,  
17 pharmaceuticals, and industrial chemicals. The  
18 materials provided to the Committee to assist in its  
19 deliberations include the following: The Committee has  
20 Hazard Identification Document prepared by OEHHA. This  
21 document includes as an attachment the 2010 IARC  
22 monograph on ingested nitrate and nitrite. In the  
23 process of preparing the Hazard Identification Document,  
24 OEHHA performed focus literature searches to identify  
25 relevant studies published since the IARC review, and we

1 have including the findings from these studies in the  
2 documents.

3           Specifically, our focused literature searches  
4 identified epidemiology studies published since the IARC  
5 review that assessed nitrite exposure and cancer risk.  
6 And just to be clear, none of these cancer epidemiology  
7 studies had, as the exposure metrics, nitrite in  
8 combination with amines or amides. What they assessed  
9 was exposure to nitrite, mostly as it occurs in the  
10 diet. Our focus literature searches also identified  
11 animal recommended cancer bioassays and genotoxicity  
12 studies of nitrite in combination with amines or amides.

13           The Committee has copies of all the references  
14 cited in the Hazard Identification Document and all the  
15 relevant papers cited in the 2010 IARC monograph. The  
16 Committee has also been provided with the public  
17 comments submitted on the Hazard Identification  
18 Document.

19           So now I'd like to turn it over to Dr. Jennifer  
20 Hsieh and Amy Dunn, and they'll be making the staff  
21 presentations on this.

22   ---oOo---

23           DR. HSIEH: Thank you, Dr. Sandy. My name is  
24 Jennifer Hsieh, and today we are here to present  
25 evidence on the carcinogenicity of nitrite in

1 combination with amine or amides. This presentation  
2 will be a brief summary of the information contained in  
3 the Hazard Identification Document prepared by OEHHA.  
4 In the attachment to that document, including 2010 IARC  
5 monograph, ingest nitrate and nitrite. These materials  
6 summarize the finding from a large number of  
7 epidemiology and toxicology study. Here is the overview  
8 of today's presentation.

9 I will start with chemical identity of  
10 nitrate -- nitrites, amine, and amide, followed by their  
11 occurrence and use. My colleague Amy Dunn will then  
12 discuss the evidence from studies in humans. I will  
13 then continue with the evidence from studies in animals,  
14 followed by the mechanistic evidence and other relevant  
15 data, mainly focusing on genotoxicity studies.

16 Chemical identity of nitrite. The structure of  
17 the nitrite is shown here. It's a negatively charged  
18 ion. Nitrite can form salt with positive charged ions  
19 such as sodium and potassium.

20 Next group amine. Amine are organic compound  
21 that contain a basic nitrogen atom with a lone electron  
22 pair, as shown in red circle here. There are five sub  
23 types of amines, included in our review. Depending on  
24 the degree of color substitution on the nitrogen atoms,  
25 amine can be classified as primary, secondary, linear,



1 or cyclic; or tertiary, linear, or cyclic.  
2 Additionally, partially charge quaternary amine can be  
3 formed by sharing a long electron pair with either an  
4 archaeal group or aerial group.

5           The fifth subtype. Cyclic aromatic amine  
6 consist of amines where the nitrogen atom is contained  
7 within an aromatic agreement.

8           Next group, amides. Amides are organic compound  
9 have a nitrogen atom, which is directly attached to a  
10 carbonyl group, as shown in red circle here. There are  
11 seven subtypes of amides include in our review. Like  
12 amine, amide can be classified as primary, secondary,  
13 linear, or cyclic; or tertiary, linear, or cyclic,  
14 depending on the degree of a carbon substitution on the  
15 nitrogen. Other subtypes of amides including urea,  
16 carbamates, sulfonamides, and guanidine.

17           So continue on the occurrence and use of  
18 nitrite. Nitrite is part of a nitrogen circle, and it's  
19 common in environment. It is present in water, soil,  
20 and organism, such as plant, fish, and animal. In  
21 human, in other living things, there is a dynamic  
22 interchange of a nitrite and nitrate. Industrial use of  
23 nitrite include nitrous acid production, chemical  
24 synthesis, inhibition of polymerization reaction, and  
25 removal of hydrogen sulfide from natural gas.

1 Nitrite is present in some foods. Vegetable,  
2 grain, and fish all contain very low level of nitrite.  
3 In addition, nitrite and nitroso are used as food  
4 preservative to cure meat and fish to inhibit the growth  
5 of bacteria and to preserve color of the meat.

6 Next group, amines. Amines are -- yeah, amines  
7 are a broad group of chemicals. Amines occur in all  
8 leading organisms as amino acid and as biogenic amines,  
9 example of which are listed here. Amine tested in  
10 combination with nitrite in toxicology study include  
11 amine that occur as food constituents, such as meat,  
12 fish, milk, and pepper. Heterocyclic amine formed  
13 during high temp of cooking. Amine present in tobacco  
14 smoke, and amine including cyclic aromatic amine used in  
15 rubber, dye, and nylon production. And amine used as  
16 coloring or filling agents, as pesticides, and as  
17 pharmaceuticals. Several metabolite are amine, and  
18 there are various other industrial use of amine.

19 Next group, amides. Amides are also a broad  
20 group of chemical. Amides occur in all living organism  
21 as protein and peptides, for example. Amides tested in  
22 combination with nitrite in toxicology studies include  
23 amides that occurred as food constituents, including  
24 meat, fish, milk. Amide formed during high-temperature  
25 cooking such as acrylamide. Amides form endogenously

1 such as methylguanidine. And amide used as  
2 pharmaceuticals, pesticides, research chemical, and in  
3 synthetic fiber production.

4 As Dr. Sandy mentioned in the opening remark,  
5 overall, amine and amides are large class of chemicals  
6 with solvents of individual a member in each class.  
7 Both amines and amides are present in plants and  
8 animal-based food.

9 Next group --next. Nitrites occur in  
10 combination with amines or amides in some occupational  
11 setting such as those associated with azo dye  
12 production; in food, such as plant-based foods and  
13 processed meats and fish; and in tobacco and tobacco  
14 products.

15 As discussed in 2010 IARC monograph, ingested  
16 just nitrate and nitrite. It has long been recognized  
17 that nitrate, when present in combination with amines or  
18 amide under acidic conditions, may form carcinogenic and  
19 nitroso compound.

20 Now, I'm going to hand to my colleague Amy Dunn,  
21 and she will present evidence from studies in humans.

22 ---oOo---

23 MS. DUNN: Good morning. As Jennifer mentioned,  
24 I'll be presenting the evidence from studies in humans.  
25 Evidence of cars -- sorry.

1 Evidence of carcinogenicity comes from three  
2 main sources: The review by IARC's 2006 working group,  
3 published in 2010, that considered 73 cancer  
4 epidemiology studies of ingested nitrite. There are  
5 also other reviews of relevant studies. The third  
6 source is our review of an additional 35 studies, a  
7 parallel set to those that IARC reviewed, and these are  
8 studies published since IARC's review.

9 The majority of studies evaluated human exposure  
10 to nitrite using estimates of exposure through the diet  
11 with the use of food frequency questionnaires. Nitrite  
12 occurs in combination with amines and amides in our  
13 diet, as Dr. Hsieh has mentioned. Exposure assessment  
14 in these studies involved estimating the level of  
15 nitrite in foods that people reporting eating, generally  
16 using values from the literature, while some studies did  
17 measure nitrite in foods. Some investigators only  
18 report the combined estimated intake of nitrite plus  
19 nitrate.

20 There are sources of uncertainty with respect to  
21 nitrite intake evaluated using food frequency  
22 questionnaires. People's diets vary over time. Also,  
23 the ability to recall diet is variable. How many of us  
24 can reliably say how much of any particular food product  
25 we ate last year? In addition, levels of nitrite in

1 food have been changing over time, and only a few  
2 studies took this into account. However, these issues  
3 would not be expected to differ by outcome. The  
4 uncertainty in the exposure assessment makes it more  
5 difficult to find an association should one exist.

6           A strength of many of the dietary studies is  
7 that they took actions to validate the information  
8 reported on food frequency questionnaires using  
9 follow-up dietary reports or 24-hour diet diaries, among  
10 other approaches. An important distinction between  
11 case-control and cohort studies is that participants in  
12 the cohort studies reported intake prior to cancer  
13 diagnosis, avoiding the potential for recall bias.

14           As I've mentioned -- sorry. The study -- sorry.  
15 That wasn't supposed to change.

16           As I've mentioned, the studies we reviewed were  
17 published since IARC's review, and like IARC, were  
18 studies that estimated exposure to nitrite. In addition  
19 to the dietary studies, one study measured urinary  
20 nitrite on a one-time basis and used this to categorize  
21 participants' nitrite level. In addition, there were  
22 two studies that examined exposure to nitrite in an  
23 occupational setting, one in China and the other in  
24 Germany.

25           For studies of nitrite exposure published since

1 IARC's -- oh, sorry.

2           There are a number of endpoints of interest in  
3 the human studies, and I will go through the evidence of  
4 these one by one.

5           First we will consider the evidence for  
6 colorectal cancer. In IARC's review, published in 2010,  
7 they looked at one case control study on colorectal  
8 cancer, which report an increase risk of colon cancer  
9 and an increased risk of rectal cancer. They also  
10 looked at one cohort study which saw no association with  
11 colorectal endpoints. IARC's review did not consider  
12 studies that looked only at processed meat without  
13 estimating nitrite content because, as they noted,  
14 studies that only evaluated consumption of cured meat  
15 and risk for cancer were not reviewed, specifically  
16 since they do not represent complete dietary nitrite  
17 intake. This is because many, but not all, cured meats  
18 contain nitrite, and because other foods can also be an  
19 important source of nitrite.

20           Last year, an IARC working group reviewed the  
21 evidence for processed meats. We present this  
22 information as auxiliary data, as processed meats are a  
23 subset of foods of interest in relation to nitrite  
24 exposure. However, the monograph on the 2015 IARC  
25 working group's has not yet been published. The OEHHA

1 team that developed the HID had only an article by  
2 Bouvard et al., published in the Lancet, which  
3 summarizes the working group's findings. They  
4 classified consumption of processed meats as  
5 carcinogenic to humans, that is Group 1, based on  
6 sufficient evidence of colorectal cancer.

7           For studies of nitrite exposure published since  
8 IARC's 2006 review, we've created a severe of data  
9 displays, such as the one shown here. These are called  
10 forest plots. First, before going through the data, I'd  
11 like to orient you, those of you who are unfamiliar with  
12 these kinds of plots, to this sort of data display, as  
13 I'll be showing several of these during my presentation.

14           The first column on the left shows the name of  
15 the authors of the study; that is, the source of data.  
16 The second column shows which endpoint, what kind of  
17 health outcome we are looking at in this plot. The  
18 third column describes the exposure that was evaluated  
19 in relation to this health outcome. In the example in  
20 the first study, Miller et al. reported nitrite exposure  
21 only in combination with nitrate exposure, and their  
22 estimated intake comes from processed meats in  
23 participant's diet. The second study reported on all  
24 dietary nitrate.

25           The fourth column from the left shows the

1 estimated level of nitrite. The units vary by study,  
2 generally either micrograms per thousand kilograms --  
3 kilocalories of diet or milligrams per day. For each  
4 endpoint, exposure level increases as you move down the  
5 page within each study. The lines plotted to the right  
6 of that column show the estimated risk from exposure at  
7 that level, with the point estimate shown by a dot and a  
8 confidence interval shown by the line. The dotted line  
9 that runs vertically on the plot and is highlighted in  
10 this slide indicates a risk of 1.0, which reflects the  
11 null hypothesis of no increased risk. The three columns  
12 to the right of the plot list the numbers that are  
13 plotted for case control studies, the odds ratio, and  
14 the upper and lower bounds of the confidence interval.

15           Now, regard to these data, we are looking at two  
16 case-control studies that examined nitrite exposure in  
17 relation to colon cancer. Because all of the exposure  
18 lines have confidence lines that intersect the dotted  
19 line at 1, we know that none of these group had  
20 increased risks that are statistically significant. The  
21 risks of those in the first study are generally greater  
22 than one and appear to increase with increasing exposure  
23 levels, but all the confidence limits cross the dotted  
24 line.

25           So the second study, you may notice that we have



1 data for two colon cancer subsites. In this case,  
2 colon --distal colon cancer appears to have a somewhat  
3 different pattern of risk compared to proximal colon  
4 cancer. We have included displays for subsites when  
5 that information was available.

6           There are four cohort studies that looked at  
7 colon cancer. You'll see that the second shown but Loh  
8 et al reported risk only in relation to a measure of  
9 continuous exposure, with the risk in this case not  
10 being significantly increased, but I just wanted to  
11 point out that this type of exposure measure is per  
12 milligram per day as a continuous variable, or half a  
13 milligram per day in this case.

14           The fourth study listed DellaValle et al, which  
15 looked only at woman, found significantly increased risk  
16 of colon cancer in relation to intake of preserved  
17 foods, although there is not a trend of increasing risk  
18 with increasing exposure.

19           The case-control studies of rectal cancer shown  
20 in this plot include one study conducted by Zhu with  
21 significantly elevated risks in the third of four  
22 exposure categories, a risk of 1.51. No increased risks  
23 were seen in the other case-control study that reported  
24 only nitrite plus nitrate.

25           In the cohort studies of rectal cancer, three of

1 the four studies show some indication of increased  
2 risks, but none are statistically significant. The  
3 DellaValle study, which you may recall found increased  
4 risks for colon cancer, did not find similarly increased  
5 risks for rectal cancer.

6           Some studies also reported a risk estimate for  
7 colon and rectal cancers combined, including the four  
8 case control studies shown here. One interesting aspect  
9 of the Ward et al study is the comparison of risks based  
10 on nitrite intake from published values; that is, levels  
11 of nitrite as reported in the published literature, to  
12 levels of nitrite these investigators measured in food,  
13 in processed meat in this case. Both subsets of the  
14 Ward study show elevated risks, although none are  
15 statistically significant. The other three case-control  
16 studies do not show an indication of increased risk.

17           The three cohort studies that looked at combined  
18 colon and rectal cancer show some indication of elevated  
19 risks, but none of these were significant.

20           Moving now to esophageal cancer.

21           IARC reviewed two case-control studies of  
22 esophageal cancer, both of which had positive  
23 nonsignificant association with nitrite intake. In  
24 their review of the two-case control studies, Jakszyn  
25 and Gonzales considered the data insufficient. In

1 studies published since IARC's review, two cohort  
2 studies and one case-control study looked at all  
3 esophageal cancer endpoints combined. The study of  
4 occupational exposure by Xie et al found significantly  
5 elevated risks. This was an exposure to sodium nitrite.  
6 The case-control study by Ward, et al, shows some  
7 indication of increasing risk with increasing exposure  
8 to nitrite plus nitrate from animal sources, but the  
9 risks were not significantly increased.

10 Two cohort studies looked at subsites of  
11 esophageal cancer. For esophageal adenocarcinoma, only  
12 the risk at the highest dose in the Cross et al study  
13 shows any indication of an increase, and this was not  
14 significantly increased.

15 For esophageal squamous cell carcinoma in these  
16 same two cohort studies, there is some indication of  
17 increasing risks with increasing exposure, particularly  
18 in the men in the Keszei et al study. The measure of  
19 continuous exposure was significantly increased in this  
20 group.

21 Turning now to stomach cancer.

22 IARC and their 2010 monogram found a positive  
23 association in six of seven case-control studies that  
24 was significant in four. There were two cohort studies,  
25 including a Finnish study that found no association, and

1 a Dutch study that found a significant increase in risk  
2 for the highest intake. Based on these data, IARC  
3 concluded that nitrite in foods is associated with an  
4 increased incidence of stomach cancer and classified the  
5 overall human evidence as limited.

6 Jakszyn and Gonzalez in their 2006 review noted  
7 that the evidence supports a positive association with  
8 gastric cancer. Two meta-analyses that pulled relative  
9 risks across studies of ingested nitrate both reported  
10 similar risk level, though only one reach statistical  
11 significance.

12 Auxiliary information provided by the 2015 IARC  
13 working group on red and processed meats as summarized  
14 by Bouvard et al, noted that a positive association with  
15 consumption of processed meat was found for stomach  
16 cancer.

17 In the one cohort study that looked at all  
18 gastric cancer endpoints combined in the set since  
19 IARC's review, risks were not increased.

20 Two of the three case-control studies that  
21 looked at all gastric cancer found a indication of  
22 increased risks, particularly with animal sources of  
23 nitrite in the diet. Hernandez-Ramirez found  
24 significantly increased risks for those with higher  
25 intake levels, particularly for diffuse gastric cancer.

1 In the third study on this plot, Xu et al estimated  
2 exposure based on a one-time sample of urinary nitrite  
3 and compared those who were H. pylori positive with  
4 those who were not, finding a positive association with  
5 levels of nitrite in urine only in a small set of people  
6 who were negative for H. pylori. Infection with H.  
7 pylori is associated with stomach cancer. The study in  
8 the middle of this plot by Hernandez-Ramirez actually  
9 controlled for H. pylori status, which may be one of the  
10 reasons for the strengths of this study's findings.

11 Two cohort studies look at subsites of gastric  
12 cancer. Risks for gastric cardia adenocarcinoma were  
13 not elevated. Risks of gastric non cardia  
14 adenocarcinoma were not significantly elevated in these  
15 two studies, although the study by Keszei shows some  
16 indication of increased risks for men.

17 We now turn our attention to sites beyond the  
18 gastrointestinal tract, beginning with lymphoma. IARC,  
19 in their 2010 monogram, reported on two case-control  
20 studies of Non-Hodgkin Lymphoma, one of which found an  
21 increase in risk with increasing quartiles of nitrite  
22 intake. They noted that when plant and animal sources I  
23 of dietary nitrite were evaluated separately, the  
24 positive association was observed only for plant  
25 sources. A recent meta-analysis of four case-control

1 studies reported an elevated but not significant risk  
2 for highest versus lowest nitrite intake.

3           With respect to studies of Non-Hodgkin Lymphoma,  
4 published following IARC's review, there are four  
5 case-control studies, three of dietary exposure to  
6 nitrite and one of occupational exposure. Each of these  
7 four studies found some indication of elevated risks.

8 The occupational case-control study conducted in Germany  
9 is not shown on the plot. This study found  
10 significantly elevated risks, but provided only results  
11 for exposure to nitrite, nitrate, or nitrosamine, and  
12 not nitrite alone.

13           Because of the many subsets examined in some of  
14 the dietary studies, the plots extend over three slides  
15 for lymphoma. Here on this plot, you see the study for  
16 Chiu et al, which looked at subgroups based on the  
17 presence or absence of a chromosomal translocation,  
18 t(14:18). In this study, those who had the  
19 translocation and consumed greater levels of nitrite had  
20 significantly increased risks of Non-Hodgkin Lymphoma.

21           In the Ashebrook-Kilfoy et al 2013 study shown  
22 below that, increased risks are seen in those with the  
23 translocation, but these are not statistically  
24 significant. This study included both men and woman and  
25 examined risks by gender as well as by source of dietary

1 nitrite. You can see on this plot that there does  
2 appear to be a difference in risks by gender, with  
3 women's risks higher than men's, and significantly  
4 increased risk for one exposure group in relation to  
5 nitrite from processed meat intake.

6           In the study that looked only at women published  
7 in 2010, Ashebrook-Kilfoy examined nitrite intake in  
8 relation to lymphoma subtype and sources of nitrite in  
9 the diet. For follicular lymphoma, shown on the plot as  
10 FL, risks increase was increasing nitrite intake from  
11 all sources, and the highest exposure group has  
12 significantly increased risks.

13           For those with the diffused large B-cell  
14 lymphoma, shown on the slide as DLBCL, the midrange but  
15 not the highest intake group had significantly increased  
16 risks in relation to nitrite intake from all sources and  
17 animal sources.

18           In this same study, for the subgroup with  
19 chronic lymphocytic leukemia or small lymphocytic  
20 lymphoma, abbreviated as CLL/SLL, there are  
21 significantly elevated risks for both the low and high  
22 intake group in relation to nitrite from plant sources.

23 There was also a cohort study not shown in the plots  
24 that looked at lymphoma subgroups, Daniel et al, and  
25 they found an indication of elevated risks for those

1 with CLL/SLL, but no trend with increasing exposure.

2 Back to the case-control studies shown on the  
3 plot. There are also significantly increased risks for  
4 those with T-cell lymphoma in relation to all source and  
5 animal source of nitrite in the diet, but no indication  
6 of increasing risks with increasing exposure.

7 Turning now to brain cancer. For this and the  
8 rest of the sites, we do not have results displayed on  
9 plots.

10 IARC examined brain cancer in relation to two  
11 different types of populations, children and adults.  
12 Although IARC did not mention brain cancer in their  
13 overall finding, they summarized their evaluation of  
14 evidence in these population, excerpts of which are  
15 shown here.

16 Following their evaluation of 12 case-control  
17 studies of childhood brain tumors, they noted in  
18 relation to maternal diet, that children born to mothers  
19 who had the highest category of intake of nitrites  
20 specifically from cured meat, had an almost twofold  
21 increased risk for brain tumors.

22 In relation to maternal exposure to nitrite via  
23 drinking water during pregnancy, IARC noted there was a  
24 twofold increase in risk for brain tumors in the  
25 offspring in relation to nitrite levels in residential



1 drinking water, and that this effect was stronger among  
2 woman who did not rely on bottled water.

3 IARC also examined seven studies of dietary  
4 nitrite exposure in adult brain cancer. No significant  
5 associations were reported for dietary nitrite intake  
6 overall; however, in the largest study that was  
7 conducted in California, IARC noted that researchers  
8 observed a twofold increase in risk among men who  
9 consumed level of nitrite above the median and level of  
10 vitamin C below the median. There were also two  
11 studies -- two small studies with a positive association  
12 between adult brain cancer and intake of nitrites from  
13 cured meat. Also, a larger case-control study found  
14 threefold increase in adult brain cancer among those  
15 with high consumption of nitrite from plant sources.

16 There have been two cohort studies of dietary  
17 nitrite and adult brain cancer published since IARC's  
18 review. One found elevated but not significantly  
19 increased risks in relation to total dietary nitrites  
20 intake. The other found significantly elevated risks  
21 from nitrite -- with nitrite from plant sources. The  
22 highest intake level in men in the second study was  
23 associated with a twofold increased and risk, and the  
24 trend of increasing risks with increasing exposure was  
25 also significant.

1           These investigators also looked at diet during  
2 adolescence, estimated by study participants  
3 retrospectively, and found that risks were elevated for  
4 the fourth of five exposure categories; however, this  
5 was for nitrite plus nitrate. And in contrast to the  
6 findings for adult exposure, there was no trend with  
7 increasing exposure during adolescence.

8           A recent metaanalysis that looked at two cohort  
9 studies and four case-control studies of adult brain  
10 cancer reported a statistically significant increased  
11 risk when comparing lowest versus highest exposure  
12 level.

13           Turning now to thyroid cancer. IARC did not  
14 mention thyroid cancer in their 2010 monograph. The two  
15 cohort studies that we evaluated published since IARC's  
16 review were led by the same author but looked at  
17 different populations. Ashebrook-Kilfoy's 2011 study  
18 looked at men and woman in six U.S. states, and they  
19 found an elevated trend for follicular thyroid cancer in  
20 men.

21           The 2013 publication look at women only in  
22 Shanghai, and reported significantly elevated risks for  
23 all source and processed meat source nitrite intake with  
24 a significant trend for the processed meat intake.

25           In a review published in 2014 that looked at

1 three cohort studies, the authors noted that dietary  
2 nitrite and nitrate showed a positive association with  
3 thyroid risk. Two recent meta-analysis estimated a very  
4 similar relative risk using the available studies, and  
5 these risks of thyroid cancer in relationship to dietary  
6 nitrite were significantly increased.

7 Finally, other cancers. IARC 2010 reviewed  
8 studies of dietary nitrite intake in case control of  
9 cohort studies in relation to a number of other sites,  
10 and noted that the number of studies of any given cancer  
11 site were few with, for example, three case-control  
12 studies of pancreatic cancer and two or fewer studies of  
13 cancers and other sites.

14 In our review of studies published since IARC's  
15 review, we found that there were positive endpoints seen  
16 for dietary nitrite exposure in relation to several of  
17 these endpoints in some but not all studies, and a few  
18 endpoints were examined for which no positive studies  
19 were found.

20 In summary, a large number of epidemiologic  
21 studies, more than 100, have examined the association  
22 between dietary nitrite exposure and cancer at a variety  
23 of sites. IARC in their 2010 monograph concluded that  
24 nitrite in food is associated with an increased  
25 incidence of stomach cancer. This conclusion was based

1 on seven well-designed studies. With regard to brain  
2 cancer, the working group noted a increased risk in  
3 children born to mothers with the highest category of  
4 intake of cured meats and increased risks in adults.  
5 They noted that with the exception of stomach and brain  
6 cancer, few case-control or cohort studies are available  
7 for any given cancer site, and they classified the  
8 overall evidence as limited.

9 In the ten years since that review, the many  
10 additional studies conducted add colon cancer,  
11 Non-Hodgkin Lymphoma, and thyroid cancer, among others,  
12 to the list of sites of possible concern.

13 ---oOo---

14 DR. HSIEH: So I will continue on with evidence  
15 from the study in animal. The 2010 IARC monograph  
16 evaluated 55 animal cancer bioassay that test nitrite in  
17 combination with either fishmeal, a complex mixture of  
18 amine and amide, or nitrate in combination with  
19 individual amine or amide. That concludes there's  
20 sufficient evidence in experimental animal for the  
21 carcinogenicity of nitrite in combination with amines or  
22 amides.

23 OEHHA identified an additional 35 animal  
24 bioassays of nitrite in combination with amines or  
25 amides. Findings from all 90 of this study presented in

1 table in OEHHA's Hazard Identification Document. Table  
2 9 presents cancer bioassay of fish meal in combination  
3 of amine and amide. Table 7 presents cancer bioassay on  
4 23 individual amine in combination with amides or in  
5 combination with nitrites. Table 8 present cancer  
6 bioassay on 15 amides in combination with nitrite.  
7 Increase in tumor incidence were observe in members of  
8 this study, with tumor occurring at multiple sites and  
9 in multiple Leiden species and strains.

10           Here is the information on study design and  
11 study finding from the two study of fish meal  
12 administered in diet in combination with sodium nitrite,  
13 administered in the drinking water for two years to male  
14 and female Fischer F344 rats. In each study, animal  
15 received either fish meal alone or fish meal plus sodium  
16 nitrite. In each study fish meal was administered at  
17 three dose -- 8 percent, 32 percent, or 64 percent of  
18 the diet. Intake of sodium nitrite in drinking water  
19 increase with increasing label of fish meal in the diet.

20           In male rats, a statistically significant  
21 increase in rare kidney adenoma, and adenocarcinoma was  
22 observed in the middle and high dose group receiving  
23 fish meal in combination with nitrite compared to the  
24 group receiving fish meal alone.

25           In female rats, a statistically significant

1 increase in rare kidney adenoma was observed in the high  
2 dose group receiving fish meal in combination with  
3 nitrite compared to the group receiving fish meal alone.

4           In addition, rare uterine adenoma and  
5 adenocarcinoma were observed in middle and high dose  
6 female receiving fish meal in combination with nitrite,  
7 while no uterine tumor were observed in female  
8 administered fish meal alone.

9           Because of a large number of animal cancer  
10 bioassays combined with individual amines or amides in  
11 combination with nitrite, we cannot present it now.  
12 Please refer to our document for more detailed  
13 information on cancer bioassay study design and study  
14 finding, which are presented in table 7 for amines and  
15 table 8 for amides.

16           In the following presentation, we will show one  
17 or two examples from study of nitrite in combination  
18 with amine and study of a nitrite in combination with  
19 amides to highlight some carcinogenicity finding for  
20 your reference.

21           Before I present some example finding from  
22 animal cancer bioassay, maybe explain how we evaluate  
23 the study finding. For our purposed today, the test  
24 group of interest is the group test with nitrite plus  
25 amine or amide. There are three comparator group: the

1 untreated or vehicle control group, a group treated with  
2 nitrite alone, and a group treated with amine or amide  
3 alone.

4 Study are --were described as positive if a  
5 increase in tumor instance in the test group were  
6 statistically significant or biologically significant in  
7 the case of rare tumor as compared to all comparator  
8 group.

9 Study were described as inconclusive if increase  
10 in tumor incidence in the test group were significant,  
11 but definitive conclusion are not possible since the  
12 study lacked one or two comparator group.

13 Study were described as negative if no  
14 significant increase in tumor instance was observed in  
15 the test group compared to at least one comparator  
16 group.

17 Next slide shows the finding from cancer  
18 bioassay in male with high rates of secondary amine with  
19 hydroxypropyl amine paired in combination with nitrite.  
20 This study has a control group, a group receiving only  
21 nitrite alone, a group receiving only the amine, and a  
22 group receiving the amine in combination with a nitrite.

23 This study report positive findings,  
24 specifically the instance of a rare nasal carcinoma,  
25 rare nasal papilloma and lung papilloma. Shown

1 statistical significant increase in the test group as  
2 compared to all three comparator group. Rare tumor the  
3 esophagus and lung were also observed in the test group,  
4 while none occurred in the comparator group.

5           Here's is another set of example of animal  
6 bioassay of morpholine a cyclic -- secondary amine test  
7 in combined with nitrite in study in mice, rats, and  
8 hamsters.

9           In Swiss mice, positive finding the lung adenoma  
10 were observed for morpholine in combination with  
11 nitrite. In Sprague-Dawley rats, positive finding of a  
12 lung angiosarcoma, liver carcinoma, and liver  
13 angiosarcoma were observed.

14           In Syrian golden hamsters, positive finding of a  
15 liver carcinoma were observed; therefore, more finding  
16 in combination to nitrate induced tumors in mice, rats,  
17 and hamsters; and in rats, tumors were induced at  
18 multiple sites.

19           This slide present a summary of the result from  
20 the animal carcinogenicity study of nitrite tested in  
21 combination with 23 individual amines. The left column  
22 the different subtype of amine from top to bottom, noted  
23 like some amine are members of multiple amine subtypes.  
24 For example, IQ and PhIP is a primary amine, a tertiary  
25 amine, and a cyclic aromatic amine.



1           The next column indicates the number of amine of  
2 that subtype that have been tested. The remaining  
3 column to the right indicates the number of amines that  
4 have positive tumor finding, inconclusive tumor finding,  
5 and negative finding. The name of the specific amine  
6 tested and found to be positive, inconclusive, or  
7 negative was shown here for your reference. For  
8 example, 11 secondary amines have been studied in animal  
9 cancer bioassay. Four have positive finding; three have  
10 inconclusive finding because the study lack one or more  
11 comparator group; and four have negative finding.

12           Similarly, 13 tertiary amine have been studied.  
13 Three have positive findings; three have inconclusive  
14 finding, and seven have negative finding.

15           Now moving on to example of amide tested in  
16 combination with nitrite and cancer bioassay. Here is  
17 the example of the study of dodine or guanidine test in  
18 combination with nitrite in studies in mice. Findings  
19 from study of exposure to pregnant indicates here as F-0  
20 females, and study of in utero exposure indicates here  
21 as F-1 males and F-1 females.

22           Positive finding of a lymphosarcoma were  
23 observed for dodine in combination with nitrite for F-0  
24 females, F-1 males, and F-1 females.

25           This slide present a summary of the results from

1 the animal cancer bioassay study in combination of  
2 nitrite with 15 individual amides. The left column  
3 leads to different subtypes of amide from top to bottom.  
4 Notice that some amides are members of multiple amide  
5 subtypes. For example, allantoin is both of secondary  
6 amide and also a urea. The table here is arranged like  
7 the previous amines summary of -- table. For example,  
8 seven urea has been studied in animal cancer bioassay.  
9 Five have positive findings, nine have inconclusive  
10 findings, and two have negative findings. Similarly,  
11 four guanidine have been studied -- what's going on? No  
12 screen. Yeah, we lost the screen. Should I continue?

13 We cannot see the --

14 CHIEF COUNSEL MONAHAN CUMMINGS: Can you see it?  
15 It's on your screen.

16 DR. HSIEH: I can -- this side and we wait for  
17 they -- come to fix the monitor, or should I just  
18 continue?

19 CHAIRPERSON MACK: Anybody have any questions  
20 for Dr. Hsieh?

21 COMMITTEE MEMBER EASTMOND: We see -- we have  
22 the presentation on, but it's not projecting behind us.

23 CHAIRPERSON MACK: Oh, I see.

24 DR. HSIEH: Yeah, not the monitor, so the public  
25 cannot see it.

1 COMMITTEE MEMBER EASTMOND: Even though the  
2 audience can't see it, but we can see it.

3 CHAIRPERSON MACK: Well, I don't know what the  
4 alternative is.

5 COMMITTEE MEMBER EASTMOND: Probably continue.

6 CHAIRPERSON MACK: Do you have any suggestions  
7 to an alternative? My inclination is to go ahead.

8 DR. HSIEH: Okay. Okay. Thank you.

9 So four guanidine have been study. One have  
10 positive finding, two have inconclusive finding, and one  
11 has negative findings.

12 Moving on to the next slide. Next slide. Yeah.  
13 This slide present a summary of target tumor sites  
14 observed in animal carcinogenicity study of nitrate in  
15 combination with either fish meal or amine or amide. So  
16 study conducted in rats, mice, and hamster.

17 Now moving on to mechanistic evidence and other  
18 relevant data. Mechanistic evidence reviewed by IARC  
19 include information on nitrosation reaction. In this  
20 reaction, nitrite react with amine and amide to form --  
21 sorry -- to form a nitroso compound such as nitrosamine  
22 and nitrosamide. In nitroso compound, can react with  
23 DNA to cause DNA damage. This DNA damage can result in  
24 tumor formation.

25 IARC also reviewed the genotoxic effect of

1 nitrite alone. In IARC review, positive finding of a  
2 genotoxicity induced by nitrite include the induction of  
3 chromosomal aberrations and micronuclei in vitro and in  
4 vivo. Aneuploidy in Syrian hamster embryo cell in  
5 vitro. Multiple type of mutation induced in vitro and  
6 in animal exposed in utero, and mouse sperm-head  
7 abnormality induced in vivo.

8 OEHHA supplemented IARC's review of the  
9 genotoxicity of nitrite alone with a review of  
10 genotoxicity study of nitrite in combination with amine  
11 or amide.

12 OEHHA identified genotoxicity study with nitrite  
13 test in combination with 111 different amine and 39  
14 different amide. Findings from this study are presented  
15 in tables in OEHHA Hazard Identification Document.

16 Table 10 presents genotoxicity study of a nitrite in  
17 combination with amine. Table 11 present genotoxicity  
18 study of nitrite in combination of --with amide.

19 Positive finding have been observed in a number of tests  
20 of this study. Using a number of different test  
21 systems, including bacterial, yeast, mammalian cell in  
22 vitro, and rodents in vivo.

23 The genotoxic endpoint assessed in this study  
24 with positive finding include DNA mutation, DNA damage,  
25 gene conversion, DNA strand breaks, and unscheduled DNA

1 synthesis. Because of larger number of genotoxicity  
2 study of nitrite in combination with amine or amide, we  
3 cannot present at OEHHA now. Please refer to table 10  
4 and 11 in OEHHA's document for more detailed information  
5 on genotoxicity study design and study findings.

6 In the following presentation, we will show  
7 examples from study of nitrite in combination with amine  
8 and from study of a nitrite in combination with two  
9 amides to highlight some genotoxicity finding for your  
10 reference.

11 Before I present some example finding from the  
12 genotoxicity study, let me explain how we evaluate the  
13 study finding. Like animal cancer bioassay, the test  
14 group of interest is the group tested with nitrite plus  
15 amine or amide. The three comparator groups are  
16 untreated or vehicle group, or a group -- sorry -- or a  
17 group test nitrite alone, and a group test with amine or  
18 amide alone.

19 Study are described as positive if more than  
20 twofold increase in genotoxic effect was observed in  
21 test group as compared to three comparator groups.  
22 Studies were described as inconclusive if more than  
23 twofold increase in genotoxic effect was observed in  
24 test group. The definitive conclusions are not possible  
25 since the study lack one or more comparator group.

1 Study was described as negative if no increase or less  
2 than twofold increase in genotoxic effect was observed  
3 in the test group compared to at least one comparator  
4 group.

5           This slide shows the finding from five genotoxic  
6 studies of secondary amine, dimethylamine tested in  
7 combination with nitrite. The first three studies are  
8 salmonella G46 host-mediated assay conducted in vivo in  
9 mice and rats. The fourth study is more combinational  
10 salmonella reverse on mutation study. And the fifth  
11 study assessed vivo DNA's break in male rats exposed in  
12 vivo. The first full study include a test group and all  
13 three comparator groups. The fifth study like it --  
14 untreated control group. Positive finding are a  
15 observed in the first three studies. The fourth study  
16 is negative. The findings from the fifth study are  
17 inconclusive.

18           This slide presents a summary of result from the  
19 genotoxicity study of nitrite tested in combination with  
20 111 individual amines. The tables low in column are  
21 arranged like the previous amines animal cancer bioassay  
22 summary table. The name of a specific amine tested and  
23 found to be positive, inconclusive, or negative are  
24 shown here for your reference. In some case, only a  
25 partial list is provided due to the space limitation.

1           Of 14 primary amine has been tested in  
2 combination with nitrite for genotoxicity. Four have  
3 positive findings, seven have inconclusive finding, and  
4 three have negative finding.

5           Of 48 secondary amine tested, 38 have positive  
6 finding, 7 have inconclusive finding, and 3 have  
7 negative finding.

8           Of 52 tertiary amine tested, 24 have positive  
9 finding, 19 have inconclusive finding, and 9 have  
10 negative finding.

11           One quaternary amine has been tested with  
12 negative findings.

13           Of 34 cyclic aromatic amine tested, 16 have  
14 positive finding, 8 have inconclusive finding, and 10  
15 have negative finding.

16           Now, moving on to examples of amide test in  
17 combination with nitrite for genotoxicity. Here are  
18 example of genotoxicity study of two urea compounds --  
19 ethylurea and methylurea tested in combination with  
20 nitrite. The study of ethylurea is salmonella G46  
21 host-mediated assay conducted in vivo in mice. So is  
22 the second study of methylurea. Both of these  
23 host-mediated assays include a test group and all three  
24 comparator group, and both reported positive finding of  
25 amine toxigenicity.

1           The first methylurea study of DNA of strand  
2 break in Chinese hamster ovary cells in vitro, like the  
3 untreated control; therefore, the finding are  
4 inconclusive.

5           Next slide presents a summary of the results  
6 from the genotoxicity study of nitrite tested in  
7 combinations with 39 individual amides.

8           The tables shown low in the column are arranged  
9 like previous amides animal cancer bioassay summary  
10 table. For example, six urea has been tested in  
11 combination with nitrite for genotoxicity. Three have  
12 positive findings, one have --has inconclusive finding,  
13 two have negative finding. Similarly, four guanidine  
14 have been tested. Two have been positive findings, two  
15 have inconclusive finding, and none have negative  
16 finding.

17           So to sum up the evidence from animal cancer  
18 study of nitrite in combination amines and amides, IARC  
19 reviewed 55 cancer bioassay, and based on that review,  
20 concluded there is sufficient evidence in experimental  
21 animals for the carcinogenicity of nitrite in  
22 combination with amine or amide. Considering all 90  
23 cancer bioassay present in table 7, 8, 9, of OEHHA's  
24 Hazard Identification Document, positive findings were  
25 observed for nitrite in combination with fish meal in



1 two studies in rats. In male rats, statistically  
2 significant increase in rare kidney adenomas and  
3 adenocarcinoma were observed. In female rats, a  
4 statistically significant increase in rare kidney  
5 adenoma was also observed, along with observation of  
6 rare uterine adenoma and adenocarcinoma. Possibly a  
7 tumor finding were also observed with nitrite in  
8 combination with seven individual amines and for nitrite  
9 in combination with seven individual amides.

10 Inconclusive findings were observed for studies  
11 with eight amines three amides. Negative findings were  
12 observed for studies with 13 amine and 5 amides.

13 To sum up the evidence from genotoxicity study  
14 of nitrite tested in combination with amine and amide.  
15 Positive genotoxicity finding were observed in at least  
16 one assay for 59 amine and 15 amide tested in  
17 combination with nitrite. Of 59 amine with positive  
18 genotoxicity finding, four are primary, 38 are  
19 secondary, 24 our tertiary, and 16 are cyclic aromatic  
20 amine. 15 amides with positive genotoxicity finding,  
21 four are primary amides, one is a secondary amides, two  
22 are tertiary amides, three are urea, one is carbamate,  
23 three are sulfonamides, and two are guanidine.  
24 Inconclusive finding were observed for 36 amines and 20  
25 amides. Negative findings were observed for 16 amines

1 and four amides.

2 For the chemical list in carcinogenic finding,  
3 please refer to table 12 and 13 in the Hazard  
4 Identification Document, which it summarize the  
5 genotoxicity and animal carcinogenicity finding for each  
6 of the individual amine and amide tested in combination  
7 with nitrite. The table also grouped each of the amine  
8 and amide by subtype.

9 With that, conclude today's presentation, and  
10 thank you.

11 CHAIRPERSON MACK: Jennifer and Martha and Amy,  
12 you did a fantastic job on summarizing what was a really  
13 voluminous set of reports and data. I compliment you on  
14 that. I'm not sure how good it's going to do in the  
15 long run, but you did a terrific job.

16 Now, our job is to decide whether or not we  
17 should list nitrites in relation to amides and amines as  
18 a general category, and so I would ask now -- I would  
19 like to take a slightly different tact than usual.  
20 Usually we started with the epidemiologic data, but I  
21 would rather start with the -- first of all, I guess  
22 we --

23 PUBLIC MEMBER: Mic is not on.

24 CHAIRPERSON MACK: What's wrong, guys?

25 ACTING DIRECTOR ZEISE: It's working now.

1           CHAIRPERSON MACK: It was working before, it  
2 just wasn't close enough.

3           Do we have any questions for clarification for  
4 Jennifer or Amy? Bill.

5           COMMITTEE MEMBER LANDOLPH: Again, I have  
6 comment that you did a masterful job on all issues. I  
7 had a couple of questions. The first one is, I was  
8 going to ask you right away, what were the percentage of  
9 amines that were positive, and I'm looking at this data  
10 right in front of me. So if you have 59 amines, and  
11 you've got -- let's see -- 59, and we've got 20, which  
12 are negative -- oh, 16 negative, and 36 inconclusive, so  
13 that's 42 out of 59 are inconclusive or negative. So  
14 it's putting us in a little bit of difficult position to  
15 give a blanket and premature that we could accept all of  
16 them right away, is what I was thinking to begin with.

17           Do you have any idea as to structural  
18 considerations in the amines that might -- obviate  
19 listing them -- do you have any reason why they would be  
20 negative or inconclusive?

21           DR. SANDY: It would take more digging and  
22 serious thought to come -- no easy patterns were  
23 apparent. We've laid out the studies and provided the  
24 original studies to you, and we'd have to look, but we  
25 didn't see any clear patterns. I would point out that

1 the negative studies, you know -- as you know, we're  
2 just reporting what's out there. That may be one test  
3 and it's negative or one test and it's inconclusive  
4 because it's missing a comparator group.

5 COMMITTEE MEMBER LANDOLPH: And I've seen it  
6 structurally -- I teach some of this each year in a  
7 carcinogenesis course. You need an alpha carbon with a  
8 hydrogen that can get metabolized by the T450 to result  
9 in the formation of nitrosamines, so maybe you could  
10 rule out some there in crafting the legislation for this  
11 more precisely is what I'm thinking.

12 CHAIRPERSON MACK: Anymore questions? All  
13 right.

14 MR. MURRAY: Thank you, Chairman Mack, and good  
15 morning. Jay Murray commenting on behalf of the  
16 California League of Food Processors, the California  
17 Retailers Associations, the California Grocers  
18 Association, the Western Agricultural Processors  
19 Association, the California Chamber of Commerce, the  
20 Grocers Manufacturers Association, and the North  
21 American Meat Institute, and thank you for reading our  
22 written comments.

23 I suspect this topic may be the easiest part of  
24 your day. Nitrite in combination with amines or amides  
25 is, as you know, an unusual topic for your agenda, and

1 the first of those is why it's on your agenda at all,  
2 and you've heard a little bit about this. It's --  
3 unlike most compounds that come before you, this is not  
4 on your agenda because it was assigned a high priority,  
5 and it certainly wasn't a conclusion of OEHHA that this  
6 is a compound or class of compounds that should be  
7 listed. The only reason these combinations are on your  
8 agenda today, as has already been noted, is because of a  
9 peculiarity in the regulations on authoritative bodies.

10           The authoritative bodies listing regulations  
11 requires that where chemicals are considered and  
12 rejected for listing under that mechanism, it must be  
13 referred to the States qualified experts, which is you,  
14 and Dr. Sandy made the point right at the outset of this  
15 meeting. In other words, OEHHA had no choice but to  
16 refer to ask your committee whether, in its opinion, the  
17 chemical nevertheless meets the more rigorous clearly  
18 shown to cause criteria.

19           In this case, OEHHA's conclusion was that  
20 nitrite in combination with amines or amides could not  
21 be listed via authoritative bodies because, quote, the  
22 evidence is limited to a comparatively small number of  
23 chemicals. For the same reason that OEHHA determined  
24 nitrite in combination with amines or amides could not  
25 be listed under authoritative bodies mechanism, this

1 broad class cannot be listed under the clearly shown to  
2 cause listing criteria either. And there can be a  
3 little doubt on that subject. In fact, although such a  
4 listing would cover tens of thousands of compounds, and  
5 an even larger number of products, the fact is that the  
6 comments I coauthored are the only comments the  
7 Committee received. No one has advocated, at least not  
8 in writing, that the listing of this broad category or  
9 any subset of this category should proceed at this time.

10 Nitrite in combination with amines or amides is  
11 a broad ill-defined class of tens of thousands of  
12 possible combinations, some known, some unknown, and  
13 only a handful have been tested for carcinogenicity.  
14 You heard that of the 38 combinations tested for  
15 carcinogenicity in animals, the HID identified only 14  
16 with at least one positive test. So of the tiny  
17 minority that were tested at all, the majority were not  
18 positive; and, moreover, the combinations tested first  
19 were thought most likely to yield positive results.  
20 They weren't selected randomly.

21 Finally, even the so-called positive animal  
22 study or test for the 14 positive combinations may not  
23 withstand scientific scrutiny. For example, the animal  
24 evidence of carcinogenicity for one of the 14 positives  
25 chlorpheniramine, the antihistamine drug in combination

1 with nitrite, was limited to one tumor type, liver; in  
2 one sex, males; in one species, rats; in one study, a  
3 study which is unlikely to qualify as scientifically  
4 valid testing.

5           Importantly, those reportedly positive results  
6 do not reflect a pattern or a mechanism of action that  
7 has application across the entire category of nitrite in  
8 combination with amines or amides or any significant  
9 subcategory. There's even less epidemiologic support  
10 for listing nitrite in combination with amines or  
11 amides. There's not a single epidemiologic study of  
12 nitrite in combination with amines or amides, and both  
13 Dr. Sandy and Dr. Dunn acknowledge that the epidemiology  
14 studies which you saw were studies of estimated exposure  
15 to nitrite, not estimated exposure to amines or amides.

16           So in conclusion, nitrite in combination with  
17 amines or amides does not meet the listed criteria  
18 because the evidence is limited to a comparatively small  
19 number of chemicals. Ten of thousands of combinations  
20 cannot be clearly shown to cause cancer based on  
21 positive results with only 14 combinations at most.

22           Thank you. I'd be pleased to answer any  
23 questions.

24           CHAIRPERSON MACK: Thank you for being succinct  
25 and clear, Jay. Anybody have any questions for Murray?

1 Okay. Let's take a five-minute break.

2 (Brief recess was taken.)

3 ---oOo---

4 CHAIRPERSON MACK: I'm going to ask the folks  
5 who have -- I'm going to change the order that we  
6 usually do and discuss the epidemiology or at least  
7 address the epidemiologic issue after we address the  
8 animal data and chemical information. So the first  
9 thing I'm going to do is ask Dr. Eastmond and Dr. Bush  
10 and Dr. -- and Joe to give us their opinion on whether  
11 or not we should list the combination of nitrites and  
12 the whole category of amines and amides, and then we'll  
13 take a vote about that. And then we'll discuss whether  
14 or not we have any suggestions for how we might proceed  
15 by altering the -- the rubrics to do something useful.

16 So, again, I will say we are going to have  
17 Dr. Landolph, Dr. Eastmond, and Dr. Bush each address  
18 the -- on the basis of the animal data and carcin -- and  
19 the -- and the chemical data they've looked at, on  
20 whether or not they're in favor of listing this category  
21 of nitrite plus the rubric -- total rubric of amines and  
22 amides. Then Dr. Reynolds and I will do the same for  
23 the -- based on the epidemiology information in light of  
24 what they've said, and --

25 ACTING DIRECTOR ZEISE: Mechanistic.



1 CHAIRPERSON MACK: Mechanistic, thank you. I  
2 didn't have the word mechanistic in my mind. Now I got  
3 it firmly ensconced, at least for the next five minutes.  
4 So is that clear?

5 All right. So let's start with Dr. Eastmond.

6 COMMITTEE MEMBER EASTMOND: Is this on?

7 PUBLIC MEMBER: No, not on.

8 COMMITTEE MEMBER EASTMOND: We know this one  
9 works. Okay. So I was asked to review -- to discuss  
10 and review the animal cancer bioassays associated with  
11 the combination of nitrate -- nitrite plus amines, okay.  
12 And the challenge is -- is alluded to, and Jay mentioned  
13 this, you've got a class of probably thousands of  
14 chemicals, and you only have animal bioassays for a  
15 small subset of these. And within those animal  
16 bioassays, you get a real sort of mixed series of  
17 results. So by my sort of judgement or conclusion,  
18 there were somewhere 22 to 23 total amines, which were  
19 evaluated. Based on the evidence we've seen, probably  
20 three of these may have sufficient evidence depending  
21 on -- and another two might have probably sufficient  
22 evidence depending on how they are describe; another  
23 four have some evidence; and then the -- I would say the  
24 majority or certainly 13 of these I would consider  
25 inadequate evidence, okay. So as a group -- and I can

1 go through those specifically if people are  
2 interested --but, in essence, you have a very broad  
3 category. We have data for only a small subset of  
4 these, and even in those, we have data --only a very  
5 small subset of these, really, I would think, would have  
6 sufficient evidence that one way consider listing them.  
7 And what my concern would be is if you cast a broad net,  
8 you are going to catch lots of things which are not  
9 carcinogenic and would label --list them as well, so  
10 that would be the concern.

11           Do you want me to address genotoxicity at this  
12 point? Okay. So in a similar sort of thing with the  
13 genotoxicity. There are a lot of positive results in a  
14 lot of cases --well --so I look at this and sort of  
15 crudely probably 40 percent of the chemicals tested  
16 showed positive results; about 30 percent were  
17 inconclusive; another 30 percent there was no sort of  
18 interaction between these.

19           Now, one of the challenges is the genotoxicity  
20 test, the chemicals test for genotoxicity in some cases  
21 overlap with those tested for animal cancer bioassays,  
22 but many cases, they don't overlap, so they are a total  
23 different section, so we don't know what --if they  
24 would cause cancer or not. Genotoxicity tests are  
25 screening tests to help us make decisions, but they're,

1 in my mind, insufficient to make a decision as far as  
2 Proposition 65. So they're informative, again. So it's  
3 a broad class. There some here that look like --  
4 clearly genotoxic, a group that are inconclusive, and a  
5 group that are basically in which there's inadequate or  
6 no evidence for genotoxicity. So, again, it's a very  
7 mixed sort of bag. It's very hard to draw specific  
8 conclusions, and I didn't see obvious ways of  
9 classifying these among the amines. Now, there may be  
10 something we can -- Jason might -- talk about some of  
11 the possibility -- possible classification in that  
12 group -- amides, but that's it.

13 CHAIRPERSON MACK: I'd rather hold off for a  
14 moment. Jason, go ahead.

15 COMMITTEE MEMBER BUSH: Thank you. I'll start  
16 off by saying I did read the public comments and the  
17 public comment document from the collective  
18 organization, and you make several logical arguments in  
19 that document.

20 My charge was to evaluate the relationship  
21 between nitrite and amides. And just to recap a little  
22 bit about what was already talked about, of the  
23 approximately 15 amides that were evaluated, seven of  
24 them showed positive tumor findings. And of those  
25 seven, five were ureas. It's interesting that when I

1 take a macro view of those -- of that information, of  
2 those amides studies with positive tumor findings, we  
3 see a mixed bag of tumors. We see some rare tumors. We  
4 see benign and malignant tumor types as well. We see  
5 that across species, different rodents, both rat and  
6 mice; different strains; and in males and females.  
7 There are mixed ages involved. There's obviously some  
8 in utero exposure as well. There are mixed routes,  
9 either through drinking water or intergastric gavage,  
10 feeding them or through the chow. So it's difficult to  
11 make a collective decision over this entire class. I  
12 mean, considering all amino acids fall into amides,  
13 it's -- it's questionable.

14           While most of the studies utilize single doses,  
15 they are well below the LD fifties and subchronic  
16 treatments, so -- and there's a little bit of dose  
17 response there, but when you compare that to the  
18 controls, there's certainly a clear and strongly  
19 significant difference with looking at these particular  
20 amides that were positive in conjunction with nitrite as  
21 a group compared to the controls.

22           I find that I have to align myself with the  
23 conclusions from the IARC 2010, and I do find that there  
24 is sufficient evidence in animal carcinogenicity for  
25 those amides that were evaluated and show positive tumor

1 findings.

2 CHAIRPERSON MACK: Joe.

3 COMMITTEE MEMBER LANDOLPH: Yeah, I agree with  
4 everything that Jason and David already said. I just  
5 had a couple more thoughts. One is, what you are  
6 actually trying to do here is look at nitrosamine  
7 formation, and that's not what's being measured, you  
8 know, in the new you -- that's being studied, via animal  
9 blood or whatever, so this is a surrogate for that. And  
10 the rate constant for that would be a constant times  
11 the -- concentration nitrosamine times the concentration  
12 of the amine, times the concentration of the nitrite, or  
13 whatever the nitrite leads to, which is supposed to be  
14 the nitro-saving agent, and that's not clearly worked  
15 out. So there's some more mechanistic work that has to  
16 be done here, so I think that could be sharpened up. I  
17 guess my points at this time would be pretty much what  
18 Jason and David said. Those -- some of those compounds  
19 really trumped up traumatically. When you added nitrite  
20 alone, there was nothing. When you added the amine  
21 alone, there was nothing. When you added the two  
22 together, there was a 25 to 100 fold increase. So  
23 clearly this goes to being linked together to make a  
24 nitrosamine is the key, and I think that's what we ought  
25 to stick to, and I'll suggest in the next session what I

1 think you might do.

2 CHAIRPERSON MACK: All right. Thanks, Joe.

3 My task was to looking at colorectal cancer and  
4 lymphomas, and that's pretty easy to do. I guess the  
5 first thing is that all of the epidemiologic studies are  
6 based on estimates of exposure, which are mixed in the  
7 extreme and based, oftentimes, on food frequency and  
8 consumption and interpretations of food frequency, which  
9 in turn is based estimates of content and food-specific  
10 content that comes from very specific sources and don't  
11 necessarily mean they are very accurate across the  
12 board. So whereas, if you look at the data for both  
13 colorectal cancer and for lymphomas, you get the feeling  
14 there probably is something there, but what the  
15 something is and what it's due to is very difficult to  
16 say. It's undoubtedly probably due to the combination  
17 of nitrate and some amines and/or amides in certain  
18 circumstances, but there is very little in the way of  
19 dose response and there's very little in the way of  
20 consistency from study to study or from subset to  
21 subset. So I would not be able to conclude that there  
22 was a potential for listing of the category nitrites  
23 plus amines and amides base on colorectal cancer or  
24 lymphoma. Peggy.

25 COMMITTEE MEMBER REYNOLDS: I could just agree,

1 or since I was signed all the other cancers, I might  
2 just mention, I think as has been well pointed out, the  
3 epidemiologic literature pretty much relies, for the  
4 most part, on dietary studies, and most of those dietary  
5 studies are food frequency questionnaire studies. With  
6 all of the inherent problems for that particular study  
7 design, I think it's worth mentioning that the IARC in  
8 2010, the working group define the agent of interest as  
9 ingested nitrate or nitrite under conditions that result  
10 in endogenous nitrosation, so I think that it's very  
11 difficult from the point of view of looking at estimated  
12 exposures in the epi studies to really say what might be  
13 going on in the context of nitrites in the presence of  
14 amines or amides.

15           So there are few studies out there also that  
16 looked at water as a source of exposure, but that's  
17 primarily a source of exposure for nitrates and not  
18 nitrite, per se, so very little in terms of that.  
19 Specifically, a few, as was mentioned, was some biologic  
20 measurements, and most of the studies really tended to  
21 take a look at this exposure in the context of cured  
22 meats. And as we know, the new IARC monograph 114 that  
23 is soon to come out has specifically addressed the issue  
24 of cured meats.

25           So since the IARC monograph, there's sort of key

1 studies that have tried to address this have tended to  
2 be cohort studies, which of course are studies that IARC  
3 also gives more -- a little more weight to, and are  
4 studies which have attempted to do adequate adjustment  
5 for smoking, which is also tobacco smoke also as source  
6 of a number of these agents, and some more sophisticated  
7 dietary information usually with adjustment for vitamin  
8 C so that studies that seem to find associations tended  
9 to be those with very high nitrate intake and very low  
10 vitamin C intake in keeping with the whole view of the  
11 mechanism.

12           So since the IARC report, sort of the two  
13 studies that have seemed to address a number of the  
14 cancers, since some of these papers address several  
15 cancers and not really one cancer at a time, have tended  
16 to be EPIC, which is the European investigation into  
17 cancer nutrition, which is a very large study of half a  
18 million people from ten countries throughout the world,  
19 is really focused on trying to take a look at dietary  
20 factors and cancer. And in the U.S., the AARP,  
21 associate of the Americans retired --retired cohort  
22 diet and health study, which is a large NCI sponsored  
23 cohort study, again, of over half a million older  
24 Americans, which was specifically designed, again, to  
25 try to take a look at dietary factors in cancer



1 outcomes. So a number of -- it's probably the most  
2 compelling evidence, I would say, for any cancer is that  
3 for stomach cancer, as was -- and as was cited in the  
4 IARC monograph in which they found limited evidence in  
5 humans for carcinogenicity of nitrite in food, and  
6 nitrite in food is associated with an increased  
7 incidence of stomach cancer. They reported on several  
8 studies, mostly indications to positive associations,  
9 mostly case-control studies, and then subsequent to  
10 publication, there were of course some more case-control  
11 studies, some null, some positive. And in the big  
12 cohort studies, AARP saw no association with nitrite  
13 values for processed meat, and the EPIC study was the  
14 Norfolk, the Cambridge portion of that study, so no  
15 association for overall dietary intake. And the  
16 Netherlands' cohort study saw no associations for  
17 nitrite from processed meat after adjusting for a number  
18 of factors, including smoking.

19           So for esophageal cancer, the evidence is rather  
20 mixed, as has been very nicely already reported to us.  
21 The three cohort studies that have been reviewed, only  
22 two have really looked at intake of processed meat, and  
23 together it's been somewhat equivocal, some suggestion  
24 of a higher risk for esophageal squamous cell carcinoma,  
25 but not adenocarcinoma. It's among men but not women.

1 And the Netherlands' cohort study, it's a rather mixed  
2 bit of evidence. There was suggestibly higher risks for  
3 both types in the AARP study, and no association in the  
4 EPIC study, the European study.

5           Brain cancer -- CNS and brain cancer is an  
6 interesting area, as has been pointed out. IARC  
7 reviewed a host of studies looking at childhood brain  
8 tumors, and what has been sometimes called the hotdog  
9 hypothesis. There was the big West Coast brain cancer  
10 studies and also the children's cancer study, a national  
11 study, which suggested higher level -- higher risks for  
12 mothers' consumption of processed meats during  
13 pregnancy, but not necessarily for children's own  
14 consumption. And since a number of these studies have  
15 been conducted, actually, vitamin C has been added to  
16 hotdogs, so the hotdog hypothesis hasn't really been  
17 that much further explored for childhood brain tumors.

18           The several studies of adult glioma that were  
19 perhaps inspired by some of these have been generally  
20 null. There was no association in an Australian study,  
21 a German study, an Israeli study, an Ohio study. No  
22 association in a Los Angeles study for general dietary  
23 nitrate, but a positive association for high levels from  
24 cured meat. Back to cured meat again. And in the San  
25 Francisco Bay Area study that was cited by Amy, there

1 was an increased risk for men, but not women, with high  
2 dietary nitrite intake and low vitamin C, so back to  
3 sort of that combination of ingredients.

4 Thyroid cancer, as it's been mentioned, is  
5 somewhat intriguing. IARC didn't address it in the 2010  
6 monograph, but there have been two subsequent cohort  
7 studies that have suggested maybe something going on.  
8 The AARP cohort suggested an elevation in follicular  
9 thyroid cancer, which is a less common type and only in  
10 men, so in a pretty small subset of that cohort. And  
11 the Shanghai women's health study suggested elevations  
12 for dietary animal sources, processed meats, but not  
13 plant sources. So the thyroid cancer literature is very  
14 sparse and pretty uninterpretable.

15 Lung cancer, there's little evidence. IARC  
16 cited a couple of studies that suggested that there  
17 might be some association for men, but not women. In  
18 the Hawaiian study and in the Iowa women's cohort study,  
19 some association with intermediate average intake, but  
20 not actually measured intake or estimated intake. And,  
21 subsequently, there was no association in the EPIC  
22 cohort study, and not really in the Iowa Women's Health  
23 Study.

24 So stomach cancer, I already talked about.

25 What other cancers? There's many cancers and

1 very little to say. Breast cancer, there's very little  
2 evidence. There was no association, either in EPIC or  
3 the Iowa Women's Health Study. Head and neck cancers,  
4 no association in a finished case-control study, but a  
5 little elevation for nasopharyngeal cancer in a  
6 Taiwanese case-control study, and for oral cancers in a  
7 small Washington State study. Pancreatic cancer,  
8 there's been pretty much no evidence for risk. A series  
9 of null studies, both from IARC, and subsequently, no  
10 results from the AARP dietary study. Liver cancer,  
11 there's -- was not addressed in the IARC report, and  
12 subsequently, no association was observed in the AARP  
13 diet study or an ecologic study in Thailand. Ovarian  
14 cancer, a little suggestion from two recent studies, but  
15 again, sparse evidence. Bladder cancer, there was no  
16 association in two studies reviewing the IARC report.

17           Some suggestion from three more recent studies  
18 of elevation associated with consumption of processed  
19 meat, and in Los Angeles in a large well-known bladder  
20 cancer study in Los Angeles, there was a suggested  
21 elevation in never smokers, but not in ever smokers,  
22 again, addressing sort of the issue of covariants that  
23 may influence risks for these exposures in the human  
24 health studies.

25           Prostate cancer, no association in the European

1 EPIC study, and suggestion of increased risk in  
2 advanced, but not early prostate cancer with nitrite  
3 intake from meat in the AARP study. Only one study  
4 really tried to look at all types of cancer combined,  
5 the EPIC study, and found no association in their  
6 dietary study.

7 I think we had a very nice discussion of some of  
8 the meta-analysis and pooled analyses since the IARC  
9 report. Some have focused on the thyroid cancer issue,  
10 which looks interesting, but clearly the evidence is  
11 quite inconsistent.

12 A couple of reviews looking at stomach and  
13 esophageal cancer, again, suggest that positive  
14 associations for stomach cancer, little or nothing to  
15 say about esophageal cancer. And in the 2016  
16 meta-analysis of 51 studies for various cancers. As  
17 already presented by Amy, we saw elevated risks for  
18 adult glioma and thyroid cancer, which is provocative  
19 but not necessarily borne out by extensive literature  
20 for the particular exposures of interest.

21 So, in general, I would say for the EPI studies,  
22 it's difficult to disentangle nitrate from nitrite  
23 exposures from the -- using food frequency questionnaire  
24 data. It's also problem for studies which specified  
25 processed meats as the source of exposure. Much as in

1 the case of the environmental studies dietary exposures  
2 to particular chemicals, of course, do not occur in  
3 isolation from exposures to other chemicals in the diet  
4 or in the environment.

5 I would say in general that the studies for all  
6 of these cancers published since the 2010 IARC monograph  
7 really do not change the assessment of IARC for group  
8 2A, probably carcinogenic to humans, indicating that  
9 nitrate and food is associated with an increased  
10 incidence of stomach cancer.

11 With respect to exogenous amines and amides, so  
12 the good news/bad news is there's a lot of literature,  
13 which, in human health -- of human health studies, which  
14 try to address nitrite exposure, but really none, as  
15 it's been well pointed out, that address it in the  
16 context of the presence of amines or amides. We do know  
17 that cooking at high heat is known to be a source  
18 heterocyclic amines, particularly for cooked meats, and  
19 that is on IARC group 1 carcinogen. We do know that  
20 acrylamide, again, is formed cooking at high heat,  
21 french fries, and that is an IARC group 2A, which is  
22 limited evidence in humans and sufficient evidence in  
23 animals. But none of this has really been specifically  
24 addressed in the dietary studies, so it's hard to say  
25 what may be going on in the human health studies. The

1 preliminary assessment for monograph 114, implicating  
2 consumption of processed meat for colorectal cancer as a  
3 group 1 carcinogen. So, again, process meat may be high  
4 in nitrates, but also in nitrites, and also nitrates and  
5 other constituents, so I would say from the human health  
6 point of view, the evidence is quite limited, and I  
7 would -- I haven't seen anything in the more recent  
8 literature that would cause me to think of anything  
9 different than what was in the IARC 2010 monograph.

10 That was a long way of say I agree.

11 CHAIRPERSON MACK: Anybody have any questions or  
12 is there any cross comments between individuals?

13 COMMITTEE MEMBER DAIRKEE: Thank you. My focus  
14 was basically the genotoxicity, and as my colleagues  
15 earlier just mentioned, that data is really all over the  
16 place. For a single chemical of all the different  
17 assays conducted don't even agree, but the problem that  
18 I really had with that data was the lack of positive  
19 controls. So if something ends up being negative, what  
20 does it really mean if there is no positive control in  
21 the assay? There was only one example of cimetidine  
22 with amines and amides where they did include MNNG as a  
23 positive control, so they were able to then confirm that  
24 cimetidine did not do what a known carcinogen did, a  
25 known genotoxic agent did. So that was a good

1 experiment, but that was the only one among the whole  
2 long, long, long table. But the one --

3           The one thing that I really found very useful in  
4 the HID was the comparison between animal data and the  
5 genotoxicity data. That was a very useful table 12.  
6 And from that table it appears that there's really only  
7 five chemicals where there's a positive match in terms  
8 of amines or amides increasing -- having an increased  
9 effect. So I think the Committee needs to have a closer  
10 look at some other future point as to those five  
11 chemicals, two of them -- and none of them are on the  
12 Prop 65 list.

13           So I think that's basically what I -- all I have  
14 to say about how convincing this data is.

15           CHAIRPERSON MACK: Thank you. We'll return to  
16 your five chemicals in a minute, but first, let's deal  
17 with the major issue right now. Does anybody have any  
18 questions for anybody else on the Committee? I'd just  
19 like to say that I agree with Peggy, the stomach seems  
20 to be the most likely candidate. And one of the things  
21 that convinced me was the contrast each time between the  
22 cardia of the stomach and the body of the stomach,  
23 suggesting that the same case-control dietary  
24 information was different for the two sides. But,  
25 again, I make the point that either with colorectal



1 cancer, there is a tendency for positivity all the time,  
2 but it's just never consistent.

3 Anybody have any other questions for anybody  
4 else? Okay. Let's take a vote.

5 COMMITTEE MEMBER EASTMOND: One thing -- I  
6 skipped over this when I was talking about the animal  
7 bioassays. For a couple of these, there are really  
8 striking increases seen in the combination of either the  
9 chemicals, amines, and the nitrites, so -- there so  
10 strong it's unlikely they're caused by any chance. I  
11 mean, they're very, very strong, but it's only for a  
12 limited number and it doesn't appear to be real  
13 consistency across classes, from what I can tell.

14 CHAIRPERSON MACK: Okay. I'll read the end of  
15 the sentence.

16 Has nitrite in combination with amines or amides  
17 -- actually, should be and/or amides, but I think that's  
18 being picky -- been clearly shown through scientifically  
19 valid testing according to generally accepting  
20 principles to cause cancer? So what we are going to do  
21 is ask for hands raised for the affirmative and then  
22 we'll ask for the negatives. So does anybody want to  
23 vote for a positive response to that statement?

24 I guess not. So let's put our hands up for the  
25 negative side. We fail to find evidence that there is

1 such an association, so we are finding all members were  
2 negative.

3           So I guess now we take a break for lunch, and we  
4 start with the others after lunch. What is the  
5 appropriate time limit -- yes, Peggy?

6           COMMITTEE MEMBER REYNOLDS: So that was for the  
7 broad class of everything, right? Do we or should we  
8 discuss specific --

9           CHAIRPERSON MACK: You are right. We should do  
10 that now. I want to start by saying, if I were going to  
11 design a way to try and educate the public and allow  
12 them to protect themselves against carcinogens when it  
13 comes to nitrite and amide and amines, this is not the  
14 very effective way. Listing is not the way to go,  
15 because we are going to wind up, I'm afraid, in the  
16 future listing foods, and foods are going to vary  
17 tremendously from place to place and provider to  
18 provider, and so it's going to still be confusing, but I  
19 guess we are stuck with it, so we better absolve  
20 ourselves to it. It will begin by what's going to  
21 happen with the most recent IARC meeting, where there's  
22 going to be processed meats, which are considering to be  
23 causal, if I understand correctly, but the book hasn't  
24 come out yet. And if that's true, then we are going to  
25 have another listing from an authoritative body on the

1 food stuff.

2 COMMITTEE MEMBER REYNOLDS: But that's not a  
3 chemical --

4 ACTING DIRECTOR ZEISE: And Carol Monahan  
5 Cummings, I don't know if you want to clarify around  
6 that, Carol. Our chief counsel.

7 CHAIRPERSON MACK: Anyway, so I guess we'll --  
8 let's take Shanaz's suggestion for five chemicals. And  
9 let me first ask our director here, do we need to come  
10 into complete agreement on what to do, or can we just  
11 list some things for the staff to think about -- about  
12 further listing?

13 ACTING DIRECTOR ZEISE: That will work fine.

14 CHAIRPERSON MACK: Okay, so --

15 ACTING DIRECTOR ZEISE: And so we would -- if  
16 you could discuss it, and it would be great if you could  
17 give us some direction, but if you'd like us to bring  
18 some subgroups back to you for consideration, I think  
19 just getting a general direction will be good, and we  
20 can always follow up.

21 CHAIRPERSON MACK: Okay. I personally don't  
22 have any suggestions. I think it's a tough deal, and I  
23 think we are going to wind up with foods, but from what  
24 David said -- what Shanaz said, we might have some  
25 ideas. So let's just go through and ask one by one.

1           COMMITTEE MEMBER EASTMOND: Well, the only thing  
2 that's, in my mind, if you are looking at  
3 classifications that might be worth pursuing are small  
4 molecular weight ureas, because you had a series of them  
5 that were very positive, very strong. This is the  
6 ethylurea, methylurea, ethylene thiourea, and butylurea.  
7 And in those cases there appeared to be a clear  
8 interaction between the urea and the nitrite and very  
9 strong responses.

10           The question is, are there others -- can you  
11 exclude others that aren't -- wouldn't fall within that  
12 category, and that's something that I think look at to  
13 see if it's worth pursuing. And I would leave this,  
14 really, at OEHHA's good judgment to say is this worth  
15 pursuing or not. Jason, some thoughts?

16           COMMITTEE MEMBER BUSH: Same thoughts. The --  
17 you know, the ureas jumped out as strong positivity for  
18 tumor findings. I think trying to get a handle on some  
19 other subclasses, you really have your work cut out for  
20 you. You know, there's a suggestion of the carbamates,  
21 but, again, that's -- that may be difficult to assess  
22 that out a little bit. So as a first order, I think  
23 looking at the small molecule ureas are a place to  
24 start.

25           CHAIRPERSON MACK: Okay. Shanaz, why don't you

1 tell us your five chemicals.

2 COMMITTEE MEMBER DAIRKEE: Ureas were among  
3 them, but my understanding is ethylnitrosourea and  
4 methylnitrosourea at least are not relevant to humans,  
5 and these are specifically rodent carcinogens. This is  
6 my take on the literature, so I'm not sure if I'm that  
7 excited about those two, but I was very intrigued  
8 that -- and, again, this may be true of the next two  
9 that I'm going to be mentioning, which is morpholine and  
10 aminopyrine. These two, I don't know whether there is  
11 any human data at all, but it was very intriguing to me  
12 that the animals work was strong and the genotoxicity  
13 work was very strong as well. It was a very good match.  
14 So data wise, those are the two that really shine as  
15 next things to be pursuing. Again, as I said, I don't  
16 know what the human data statuses are, but they are very  
17 widespread. They are common chemicals.

18 CHAIRPERSON MACK: Joe, do you have any  
19 suggestions?

20 COMMITTEE MEMBER LANDOLPH: Yeah, I would -- I  
21 would focus those which seem to have some human exposure  
22 and human problems, and among those, the ones which have  
23 the strongest animal carcinogenicity and genotoxicity  
24 data. There are also some drugs in there, which I  
25 thought were interesting. I was speed-reading last

1 night. Praziquantel and drugs likes this. So I think  
2 that could be a real problem for the public if they  
3 could be nitrosated, and so if they have strong evidence  
4 behind them, then I would bring them forward too.

5 CHAIRPERSON MACK: Peggy?

6 COMMITTEE MEMBER REYNOLDS: No. I defer to the  
7 table.

8 CHAIRPERSON MACK: All right. Now finished with  
9 the listing of process, so we'll take our lunch break  
10 under the --

11 CHIEF COUNSEL MONAHAN CUMMINGS: No mic. Can  
12 you turn the mic on?

13 ACTING DIRECTOR ZEISE: You just asked --  
14 Dr. Mack just asked when you come back, so about a --  
15 you think an hour is sufficient for the panel?

16 CHAIRPERSON MACK: It's sufficient.

17 ACTING DIRECTOR ZEISE: Okay. So come back at  
18 1:00.

19 CHAIRPERSON MACK: Come back at 1:00.

20 ACTING DIRECTOR ZEISE: 1:00?

21 CHAIRPERSON MACK: 1:15.

22 ACTING DIRECTOR ZEISE: 1:15.

23 (Lunch recess was taken.)

24 ---oOo---

25 DR. SANDY: So the item now that we are going to

1 discuss is prioritization of chemicals for CIC review.  
2 And many of you have joined the Committee since the last  
3 time we brought a group of chemicals for prioritization  
4 ranking in 2011, so I'm going to give a little bit of an  
5 overview of what this process is that we call  
6 prioritization.

7           So we track chemicals that we think have some  
8 evidence of carcinogenicity, and we then prioritize  
9 among this large group of chemicals. And the goal is to  
10 identify chemicals that you, the CIC, should evaluate.  
11 And we want to focus your efforts on chemicals that may  
12 pose significant hazards to Californians, so we look at  
13 chemicals that we think have apparent exposure in  
14 California, and then we look at chemicals with the most  
15 information that suggest they might be carcinogenic.

16           I want to emphasis that prioritization is a  
17 preliminary appraisal of the evidence of hazard. It's  
18 not a thorough comprehensive review like we do when  
19 write a Hazard Identification Document. It's meant to  
20 be a quick screen.

21           So here's a schematic from our prioritization  
22 process document. We have this tracking data base, and  
23 then among the chemicals that have evidence of apparent  
24 exposure in California and some carcinogenicity  
25 evidence, something suggestive of carcinogenicity, we

1 come up with a group chemicals call the candidate  
2 chemicals, which are flagged here. And we apply  
3 different data screens to those candidate chemicals,  
4 where we do focused literature reviews to identify  
5 chemicals that we should take further into the process.  
6 And we've met with your committee over the years, and  
7 you've instructed us to -- that you are most interested  
8 in chemicals that have evidence in humans, so we apply a  
9 data screen -- a human data screen, and then we also  
10 apply an animal data screen. We have done this in the  
11 past. And then we come up with chemicals that we want  
12 to propose to you for consideration, and we consult with  
13 you in a meeting like we are doing today. And then we  
14 take your advice and we -- OEHHA then selects chemicals  
15 for preparation of hazard identification materials.

16           So in 2009 through 2011 we were applying human  
17 data screening and an animal data screen to about 380  
18 chemicals, and we screened them. And chemicals that  
19 passed either one of those data screens, we then looked  
20 at in more detail. And the ones we thought were the  
21 most compelling, we brought to you. So we brought 104  
22 of those chemicals to you for ranking during those three  
23 years. And now we are doing ongoing screening as we add  
24 new chemicals to the tracking database, we screen  
25 immediately to see if there's apparent exposure in



1 California, and we look at the evidence and we come up  
2 with an assessment of our own. And if we think that we  
3 need to take it to you for consultation, it goes on our  
4 list to do so.

5 We also update the chemicals we screened back in  
6 2009 to 2011 looking for new information in the  
7 scientific literature. And so we have ongoing proposals  
8 of chemicals for the CIC's consideration, and we consult  
9 with you on an ongoing basis. So here we are today  
10 consulting with you on five.

11 Here's another screen, just of our process. We  
12 apply a human data screen, we apply an animal data  
13 screen. Anything that passes either one of those, we  
14 then do step three, which is we conduct a preliminary  
15 toxicological evaluation of that chemical, and that  
16 means we look at all relevant data -- animal, human,  
17 mechanistic data, and come to some assessment of what is  
18 the strength of that data. And the ones that are the  
19 strongest, we identify as chemicals we want to propose  
20 to you for consideration, step four.

21 The human data screens, is -- is here. We look  
22 at epidemiology studies that report positive  
23 associations between exposure and increased cancer risk.  
24 We give more weight to analytical studies and to the  
25 studies where the cancer effect can be attributed to the

1 chemical with some confidence.

2           The animal data screen is laid out here. Again,  
3 this is a just a quick way to pull out chemicals with  
4 what we thought were the strongest levels of evidence,  
5 and we've consulted with you on this screen as well. So  
6 if a chemical has two or more positive animal cancer  
7 bioassays or one positive study with malignant tumors or  
8 combined malignant and benign tumors occurring to an  
9 unusual degree with regards of incidents, site, or type  
10 of tumor or age at onset; or if we have findings of  
11 tumors at multiple sites or evidence of a second animal  
12 study of benign tumors known to progress to malignancy,  
13 then we say the chemical passed the animal data screen.

14           So this slide shows where we are today in our  
15 prioritization process for consulting with you on five  
16 chemicals, and here they are. Your committee in the  
17 past has asked us to put a table together like this,  
18 where we try to characterize the exposure to each of the  
19 chemicals as either being wide spread or high in  
20 frequent consumers or limited exposure, perhaps  
21 occupational, or high in infrequent consumers, so we  
22 characterize exposure. And then for the different types  
23 of data -- human data, animal data, and other relevant  
24 data, we are indicating with an X that there are studies  
25 to look at. An X in the analytical human data column

1 does not mean it's positive analytical data. It means  
2 there's a study. And the idea was to guide the  
3 epidemiologists and toxicologists and other scientists  
4 as to what they might want to focus on in reviewing the  
5 prioritization materials we give to you.

6           So the five chemicals we'll be discussing today  
7 are aspartame and then asphalt and asphalt emissions  
8 associated with road paving. We are also asking you to  
9 look as asphalt and asphalt emissions associated with  
10 roofing. And then we have methyl chloride. The next  
11 one is a group of chemicals, type-I pyrethroids, and as  
12 I've indicated here, two of the pyrethroids have been  
13 ranked by your committee in the past -- permethrin and  
14 metofluthrin --but we are bringing the group, and you  
15 have the ability to rank the group or individual  
16 chemicals within and group, and then vinyl acetate. And  
17 I've also noted for aspartame that it was ranked by your  
18 committee in 2009, but since that time, there's new  
19 evidence. That concludes my presentation.

20   ---oOo---

21           CHAIRPERSON MACK: Okay. We'll begin with  
22 aspartame. And Dr. Eastmond and I are designated to  
23 provide our opinions first, so I'm going to ask  
24 Dr. Eastmond to tell me what he thinks.

25           COMMITTEE MEMBER EASTMOND: I'll kind of give

1 you my overall assessment and aspartame story.  
2 Basically, there's a significant association between  
3 aspartame and Non-Hodgkin's Lymphoma was seen in males  
4 in one prospective cohort study. In a second study,  
5 although significant increases were seen at lower doses,  
6 the increases were not seen at higher does, and there  
7 was no significant trend related increase, and the third  
8 prospective cohort study showed no association with  
9 Non-Hodgkin Lymphoma.

10           The animal studies, this is one there's been a  
11 lot of work done in animals, certainly rodents, and what  
12 you see is sort of mixed in inconsistent results on  
13 those animal bioassays, so -- I'll just go through it.  
14 Negative results were seen in male and female mice  
15 reported in one study. Increased in liver tumors were  
16 seen in a transplacental plus lifetime exposure in male,  
17 but not female mice, and there was a possible increase  
18 in brain tumors was also seen in rats. Another  
19 plants -- transplacental plus two years study in rats  
20 showed no treatment-related findings. Another study in  
21 rats showed no treatment-related increases. Two  
22 lifetime studies in rats conducted by the Ramazzini  
23 Institute report increase in leukemia and lymphomas.  
24 Increase in kidney tumors was also seen in one of the  
25 studies and increased in mammary carcinoma in males and

1 females in the other study.

2           The kind of -- a little bit unusual. The  
3 leukemia lymphoma results from the Ramazzini Institute  
4 during that period of time are not considered to be  
5 reliable because they had problems with an infection,  
6 and there were some issues with the pathology diagnosis.  
7 So I believe that during this period of time, the solid  
8 tumors results are considered to be fairly reliable, but  
9 those on the leukemias and lymphomas are considered  
10 questionable.

11           As far as genotoxicity, there's been positive  
12 results reported on a number of genotoxicity tests;  
13 however, from my point of view, the quality of these  
14 results is suspect, partly because they've been  
15 published in journals where I can think the editors and  
16 the reviewers are unlikely to have much experience with  
17 these type of assays, so I look at this sort of medium  
18 priority. Be high because of exposure and concern about  
19 it, but the data that I can see itself puts me a little  
20 bit lower, so that's my assessment

21           CHAIRPERSON MACK: Well, with respect to the  
22 epidemiologic studies, I think there's always reasons  
23 for caution and interpretation, but the fact is, that  
24 Non-Hodgkin's Lymphoma's popped up twice. Whether or  
25 not those were serious findings or not, we don't know.

1           I think one of the things that weighs on my  
2 assessment is the fact that this is an extremely  
3 widespread agent, and kids are drinking it every day.  
4 And, furthermore, if we decrease the sugar -- sugary  
5 drinks that we'd like to decrease in the country, that  
6 means there's going to be more consumption of aspartame,  
7 and, therefore, I think it's more important on that  
8 basis. And I'd like to add that it's also a  
9 commercially really big deal because it's -- involves an  
10 awful lot of sales and awful lot of business activity,  
11 and to me, that doesn't mean it should be downgraded; it  
12 means it should be upgraded, because a decision should  
13 be made as soon as possible and based on the evidence as  
14 to whether or not we are having any concerns. If it  
15 turns out that the epidemiologic data is faulty and  
16 there is no additional animal data or genotoxicity data,  
17 that means we can dispense with it quickly and go on to  
18 other things. So my inclination is to call it high,  
19 even though I respect David's strictly scientific view  
20 that it might belong in the middle.

21           COMMITTEE MEMBER BUSH: Yeah, after reviewing  
22 the available data, I mean, there is so much out there,  
23 I --my conclusion was to put it somewhere in the middle  
24 in terms of a priority listing.

25           COMMITTEE MEMBER DAIRKEE: I would say middle to

1 high. Middle to high.

2 COMMITTEE MEMBER REYNOLDS: Middle to high.

3 CHAIRPERSON MACK: Middle to high is not a  
4 category.

5 COMMITTEE MEMBER REYNOLDS: Okay. High.

6 CHAIRPERSON MACK: Okay. Shall we take a vote?

7 Pick comment. Let's now have some information from  
8 other people.

9 CHIEF COUNSEL MONAHAN CUMMINGS: Your mic is not  
10 on.

11 CHAIRPERSON MACK: Let's go now to the  
12 community, and let's start with the folks from Georgia,  
13 so Ms. Martini, you want to -- and please strictly stick  
14 to five minutes. Yeah, it's too many books for five  
15 minutes.

16 ---oOo---

17 MS. MARTINI: First, I want to thank the  
18 Committee because this is such an important -- this is  
19 such an important subject. It is very much appreciated.  
20 In fact, as I walked in, I was met by a very charming  
21 man from Romania, and he reminded me that Romania is the  
22 first country in the world to ban aspartame six years  
23 ago because it caused so much cancer. And what I was  
24 going to say is a little bit different than what I'd  
25 like to say now because you mentioned the Ramazzini

1 studies. And I was that Dr. Soffritti in New York when  
2 he got an award for how prestigious they were, and I  
3 worked with EFSA and I see it back there in your book.  
4 And here's what happened is, Dr. Cola, who headed EFSA  
5 resigned because industry tried to get them to say that  
6 the Ramazzini studies were not -- were not good. And  
7 they came up -- they said, the rats have respiratory  
8 disease. Well, Dr. Soffritti said, of course they do;  
9 it's a lifetime study. And respiratory disease is the  
10 dying process, and the rats were dying.

11 Now, there have been three Soffritti studies or  
12 Ramazzini studies, and it showed it to be a  
13 multipotential carcinogen. And then Harvard did a study  
14 on it, which was a human study, they said was the  
15 longest and strongest to a link for cancer. But, first  
16 of all, knowing that I couldn't speak very big, I  
17 brought the medical text to show it to the Committee,  
18 and it was cut down 40 percent and had everything as a  
19 matter of public record to help you with being brief.

20 I've provided you with a sheet that I copied  
21 from this on the mechanisms by which it causes cancer.  
22 Now, this is, for instance, Dr. Roberts, who was the  
23 world expert, says that diketopiperazine, derivative of  
24 aspartame has been incriminated as a tumor-causing  
25 chemical. It caused brain tumors in original studies,



1 and the Jerome Bressler to the FDA is the one who wrote  
2 the Bressler report that's on my website, MPWHI.com.

3           And when he retired, I called and thanked him  
4 for this report, and he says, "Didn't you notice  
5 something was missing?" And I said yes. I didn't know  
6 what it was. He said, "You've got to get those two  
7 studies, because people are using this stuff, and  
8 it's -- and it's deadly."

9           But it took me eight years to find those studies  
10 that I've added back to the Bressler report. They were  
11 teratology studies, and they showed neuro tube defects.  
12 And the FDA made a deal with G.D. Searle never to let  
13 the public know. And what's happening, there's no  
14 pregnancy warning, and so the -- they're using aspartame  
15 and they're giving birth to babies with brain tumors.  
16 St. Jude is full of them. When I gave these studies to  
17 Dr. Monte, and he wrote a book about it, While Science  
18 Sleeps, the Sweetener Kills. And in the last chapter,  
19 you can go to While Science Sleeps on aspartame and  
20 autism, and he explains how they blew up his house  
21 because he was telling all this stuff -- with him in it,  
22 I might add, and then the fact that the FDA made a deal.  
23 But once I exposed it and put him back on the Bressler  
24 report, then the FDA released another one. He'd been  
25 trying to get it for 30 years, and Dr. Monte wrote the

1 book. One victim was so upset about all the propaganda,  
2 that she did her own study. The tumors were -- and she  
3 wrote a book. The tumors were so large, the rats used  
4 them as pillows.

5           So then Dr. Peter Nunn in the UK did a study on  
6 brain tumors, and he knew Dr. Owey, who tried to  
7 prevent approval because of the brain tumors and the  
8 birth defects, and they never published it. I saw part  
9 of the beginning, and they told me, yes, it's like  
10 Dr. Owey found, so -- and it's so easy to prove.

11 Incidentally, some years ago, the Winston Food  
12 Laboratory did an analysis on ten diet cokes that were  
13 in the fridge, ten in an incubator, ten that is -- that  
14 was at room temperature; and the Food Chemical News  
15 published that even the aspartame in the -- that was in  
16 the fridge had broken down to diketopiperazine.

17           And in closing --

18           CHAIRPERSON MACK: Thank you --

19           MS. MARTINI: --the FDA admitted it was a  
20 carcinogen.

21           CHAIRPERSON MACK: Okay. Thank you very much.  
22 We appreciate your contribution.

23           MS. MARTINI: I've been doing it 26 years --

24           CHAIRPERSON MACK: I bet you have, and your  
25 style shows it.

1           Okay. Now we have Lisa Lefferts. Again, I'd  
2 appreciate you very much sticking to the five-minute  
3 deadline.

4           MS. LEFFERTS: Thanks very much for the  
5 opportunity to be here. My name is Lisa Lefferts. I'm  
6 a senior scientist with Center for Science in the Public  
7 Interest. We're an independent nonprofit organization  
8 concerned with public health advocacy. Oh, this is the  
9 wrong presentation, but I'll will work with it anyway.

10           Our bottom line conclusion is to urge the  
11 Committee to make aspartame a high priority. This would  
12 be consistent with IARC's recent decision to designate  
13 aspartame a high priority for review. As noted, it's  
14 one of the most widely consumed artificial sweeteners.  
15 We have positive findings in three animal studies, two  
16 species, both sexes, multiple sites, supportive human  
17 evidence. We do have a lot of negative studies, but  
18 those tend to be underpowered studies, and they do not  
19 provide convincing evidence of noncarcinogenicity. They  
20 don't outweigh the positive findings, and we urge the  
21 Committee not to rely on the EFSA review, which was  
22 flawed.

23           Exposure can be a lot higher than what was  
24 indicated in the OEHHA document. This is from the  
25 NIH-AARP diet and health study, which said that

1 consumption could be as high as 3400 milligrams per day,  
2 a lot higher than the approximately 200 milligrams per  
3 day equivalent mentioned in the OEHHA document.

4           The animal studies were published in peer review  
5 journals, two published in a government-sponsored  
6 journal, and they are far superior to the old industry  
7 studies because they are much larger, the animals were  
8 followed over their lifetimes, and two included in utero  
9 exposure.

10           There's a lot of rumors about the Ramazzini  
11 Institute, but the best information available on that  
12 laboratory can be obtained from the 2011 NTP EPA  
13 sponsored review, and they found that everything was  
14 within GLP expectations, slides --all slides required  
15 were present, histological quality was very good. And  
16 there was also a review of chemicals that were evaluated  
17 by both NTP and the Ramazzini Institute, and it's,  
18 quote, found remarkably consistent results. These are  
19 the people that identified that benzene was carcinogenic  
20 first, and they were criticized then, and they are being  
21 criticized now.

22           This is a quote from the an article published by  
23 EPA scientists. They talk about aspects of a design  
24 including gestational exposure, lifespan observation,  
25 and larger numbers of animals in groups may in part

1 advantages that provide risk assessors with valuable  
2 insights for the identification of chemical-related  
3 neoplasia, not obtained from other bioassays, and that's  
4 exactly the situation we have here with aspartame.

5           We filed a Freedom of Information Act request.  
6 There was a pathology working group work report from  
7 NIEHS that was providing a second opinion on some of the  
8 diagnoses, and they found that the diagnoses of  
9 lymphatic and histiocytic neoplasms were generally  
10 confirmed.

11           In the 2011 review, which did not focus  
12 specifically on aspartame, there was wide spread  
13 agreement in diagnoses. The exception as was mentioned  
14 was the lymphomas, but the issue there was really a  
15 quantitative not a qualitative issue. There's three  
16 sets of data there. There's differing opinions on how  
17 many lymphomas, but they all did diagnose lymphomas, and  
18 EPA continues to use the solid tumor data.

19           I just want to draw the Committee's attention to  
20 the kidney tumors. There were none in concurrent  
21 controls, there've been none in the Ramazzini Institute  
22 controls historically, and they're almost never found in  
23 Sprague-Dawley rats historically, yet there were 21 out  
24 of 1500 treated -- Sprague-Dawley rats that had these  
25 tumors. And the experts that we consulted with who have

1 worked with NTP and IARC said these are considered clear  
2 evidence of carcinogenicity.

3           The argument that may be infection could explain  
4 the lymphomas and leukemias has been thoroughly  
5 evaluated and refuted by EPA scientists in the journals  
6 that I've mentioned here. The negative studies, the  
7 industry studies failed to meet the minimum number of  
8 animals per sex per dose, versus the RI studies, which  
9 greatly exceed those recommendations. The NTP  
10 transgenic studies are not considered reliable, and the  
11 limb study -- the exposures -- the subjects were late in  
12 life when aspartame was first approved.

13           Okay. That's all the time I have. The EFSA  
14 analysis is flawed, and that's our conclusion.

15           CHAIRPERSON MACK: Thank you.

16           MS. LEFFERTS: Thank you.

17           CHAIRPERSON MACK: Next let's ask Dick Adams.

18           MR. ADAMSON: Good afternoon. I'm Richard  
19 Adamson. I appreciate the opportunity to speak today.  
20 I'm here on behalf of the American Beverage Association  
21 and will share some information on the carcinogenicity  
22 of aspartame. I've been following aspartame since 1981  
23 when I become director of the National Cancer  
24 Institute's division of cancer etiology, and I've kept  
25 the breast of the aspartame science for the past

1 35 years. The Ramazzini carcinogenicity studies have  
2 been brought up, so let me comment. They are seriously  
3 flawed. Board-certified pathologists, the national  
4 toxicology program, and many regulatory authorities have  
5 critiqued these studies extensively and repeatedly, and  
6 they report numerous problems in the design, conduct,  
7 and statistical evaluation of the animal bioassays.

8           The Ramazzini mouse study, which is our latest  
9 study, published by Soffritti et al is the latest study,  
10 and the European Food Safety Authority, EFSA, in 2011  
11 dismissed this study and correctly noted, and I quote,  
12 It is generally accepted that lifetime studies until or  
13 close to the natural death can lead to erroneous  
14 conclusions, unquote, because of geriatric pathology and  
15 autolysis.

16           Also, in 2014, the Food and Drug Administration  
17 rejected a citizens' petition that asserted that the  
18 Ramazzini aspartame study showed carcinogenicity. The  
19 FDA, in reject it, noted they had asked for additional  
20 information and data from the Ramazzini Institute on all  
21 three studies and had not received any. Also worrisome  
22 is infection in the rat column, which you've heard  
23 about, with mycoplasma pulmonis, and the subsequent  
24 misdiagnosis of tumors.

25           The Ramazzini Institute does not use barrier

1 maintained specific pathogen-free animals in contrast,  
2 with those of the National Toxicology Program or other  
3 institutes. Schoeb and McConnell reported in a peer  
4 review journal that the Ramazzini rat bioassays were  
5 compromised by mycoplasma pulmonis infection, and  
6 lesions of the diseases were misdiagnosed as lymphoma.  
7 By the way, Schoeb is a leading authority on mycoplasma  
8 pulmonis in animals.

9           Also, it's been brought up about the EPA and EP  
10 pathology working group. Let me tell you what they  
11 said, and I quote, that tumor diagnosis and procedures  
12 at the respiratory tract and neoplasms of the inner ear,  
13 the diagnosis of lymphoma and leukemias are unable to be  
14 confirmed.

15           I do not believe that Ramazzini has allowed  
16 sufficient review of its mouse data to rule out the  
17 possibility of infection in the mouse colony. These are  
18 not barrier-maintained animals. Finally, no regulatory  
19 agency in the United States, Canada, Europe, Australia,  
20 or Asia has accepted the conclusions of the Ramazzini  
21 carcinogenicity studies on aspartame.

22           The currency I see priority level for aspartame,  
23 as you've heard, is at the bottom of the medium  
24 category, and I personally believe that is an  
25 appropriate level for aspartame today.



1 Thank you, and I'll be glad to answer any  
2 questions.

3 CHAIRPERSON MACK: Thank you, Dr. Adamson.

4 MR. MURRAY: Thank you. Jay Murray. On behalf  
5 the Calorie Control Council, thank you for reading our  
6 comments. We believe the data strongly support  
7 aspartame -- retaining aspartames at the bottom of the  
8 medium category priority level, which you assigned in  
9 2009. The FDA in 2014 and the European Food Safety  
10 Authority in 2014 reviewed the carcinogenicity data  
11 concerning aspartame, including the most recent  
12 Ramazzini study, found no cause for concern and  
13 explained their reasoning in detailed reports available  
14 to the public. No regulatory agency in the world  
15 considers aspartame to be a carcinogen. The public  
16 comments you received express a lot of emotion mostly  
17 from outside of California, and with all due respect,  
18 it's not necessarily a public service, however, to  
19 dedicate your and OEHHA's future resources to  
20 allegations of government conspiracies. I got two  
21 slides. Thank you.

22 So the scientific data today is essentially the  
23 same as when you assigned aspartame to the bottom of the  
24 medium priority in 2009. There's only one additional  
25 animal study that was not considered by your committee

1 in 2009. That's the Soffritti et al mouse study. And  
2 as you heard from Dr. Adamson, studies conducted at the  
3 Ramazzini Institute have been the subject of serious  
4 criticism. This study is no exception. Both FDA and  
5 EFSA have not accepted the results of this study because  
6 of critical flaws.

7           Next slide. There are three epidemiology  
8 studies published since 2009 that were not considered by  
9 your committee. There's the Cabaniols case control  
10 study, negative case control study. The most recent  
11 prospective cohort study by McCullough et al in 2014.  
12 At the -- the -- and the Schernhammer study, which is a  
13 weak and inconsistent positive prospective cohort study  
14 where the authors did not rule out chance as an  
15 explanation, said that their results needed to be  
16 confirmed in other large prospective cohort studies, and  
17 even issued a press release stating the data is weak.  
18 Importantly, no increased risk of cancer attributable to  
19 aspartame was identified in the other two cohort  
20 prospective cohort studies. So none of these studies  
21 warrant elevated aspartame's priority level.

22           Finally, an IARC advisory panel recommended that  
23 aspartame and sucralose be reviewed by IARC in the next  
24 few years, and this will mark the third authoritative  
25 body to evaluate aspartame. Both NTP and FDA have

1 concluded aspartame is not carcinogenic, and there's no  
2 reason to raise the priority level for a substance  
3 that's already been reviewed by two authoritative bodies  
4 and may be reviewed by the third.

5           In fact, the prioritization procedure states,  
6 quote, it's unlikely that chemicals will be proposed for  
7 CIC review that have been recently reviewed by an  
8 authoritative body and found to have insufficient  
9 evidence of carcinogenicity, yet we seem to have exactly  
10 that situation with FDA's 2014 review. FDA received two  
11 petitions asserting that aspartame is carcinogenic in  
12 animals, and under the Delaney clause, carcinogenic food  
13 additives are prohibited. After reviewing the assertion  
14 that aspartame was carcinogenic in animals and after  
15 specifically analyzing all three Soffritti studies, the  
16 FDA found no basis to conclude that aspartame causes  
17 cancer in animals or humans. This FDA conclusion was  
18 expressed in official FDA action dated October 2014 that  
19 rejected the two petitions. So according to the  
20 prioritization procedure, it seems to me that aspartame  
21 should be an unlikely candidate for CIC review. So for  
22 all of these reasons, aspartame's priority level should  
23 not be elevated, and I'd be please to address any  
24 questions you may have.

25                           ---oOo---

1 CHAIRPERSON MACK: Thank you, Mr. Murray. And  
2 that concludes the conclusion on aspartame on the floor,  
3 so now let's see whether or not we have changes in our  
4 views.

5 Jason what do you think?

6 COMMITTEE MEMBER BUSH: I'll maintain my  
7 previous conclusion, and I believe it's at medium  
8 priority. And my rationale for that was having the other  
9 authoritative bodies rereviewing this material.

10 CHAIRPERSON MACK: All right. David.

11 COMMITTEE MEMBER EASTMOND: I have sort of mixed  
12 feelings. I'm still in a medium sort of weight;  
13 although I can go a little higher, because the  
14 significance. There's obviously public concern about  
15 this, so I'm flexible about this. Yeah, I'm flexible  
16 about it.

17 COMMITTEE MEMBER DAIRKEE: Same, medium high.

18 CHAIRPERSON MACK: High or medium, but still  
19 medium. Joe.

20 COMMITTEE MEMBER LANDOLPH: High.

21 COMMITTEE MEMBER REYNOLDS: High.

22 CHAIRPERSON MACK: High. Okay. Well I found  
23 your arguments pretty good, actually, but I'm still  
24 going to stick with high, but I'd be happy with high  
25 medium, so we've got one, two -- they're still on four,

1 so it's going to be high. Let's say it's a low high.

2 Okay. That concludes the discussion of  
3 aspartame. Now, we come to the discussion of high and  
4 low asphalt --

5 PUBLIC MEMBER: Having trouble hearing you.

6 DR. SANDY: So I'm asking to put a slide up.  
7 You are talking about asphalt; is that correct?

8 CHAIRPERSON MACK: Yes.

9 DR. SANDY: Just to remind the Committee that we  
10 are asking you to rank two things, asphalt and asphalt  
11 emissions associated with road paving and assault  
12 emissions associated with roofing.

13 CHAIRPERSON MACK: So it's Peggy Reynolds --

14 COMMITTEE MEMBER REYNOLDS: To start with -- so  
15 they -- this is has been considered by NIOSH with  
16 health effects -- is there a reason for... is that an  
17 editorial comment that's going up?

18 NIOSH considered health effects in 2001, and as  
19 has been pointed out in some of the public commentary  
20 and the NIOSH report and the 2013 IARC report, in part,  
21 chemical exposures unlike aspartame, which may have very  
22 broad exposure for the general public. This is probably  
23 a series of exposures that are pretty much limited to  
24 occupational groups, specific occupational groups, and  
25 the nature of exposure may in fact be a function of

1 heating and mixing. The notation for NIOC -- IARC of  
2 excess lung cancer among roofers as opposed to among  
3 pavers may be a function of exposure to other agents  
4 such as cold tar or asbestos. So that is somewhat  
5 equivocal from the human health point of view. IARC  
6 similarly discussed issues about heating and the degree  
7 to which human exposures to some of these chemicals are  
8 associated also with exposure to cold tars, which are  
9 established human carcinogens.

10           The IARC multicenter study noted significantly  
11 elevated standardized mortality ratios for lung cancer  
12 for road paving workers, but not for roofers; although  
13 this study was based on very small numbers. And the  
14 IARC monograph cites several occupational mortality and  
15 case-control studies suggesting elevated lung cancer  
16 risks, but with considerable difficulties in  
17 interpretation, due to study design. And finally the  
18 2015 meta-analysis of larynx cancer and occupations with  
19 PAH exposures suggested a nonsignificant elevated risks  
20 for asphalt workers. So from that perspective of the  
21 human health evidence, it does seem to have been  
22 addressed in a number of forums, and is -- the evidence  
23 is somewhat equivalent in my view.

24           CHAIRPERSON MACK: Peggy, equivalent is not a  
25 category.

1 COMMITTEE MEMBER REYNOLDS: Okay. We got high,  
2 medium, low; is that what we have? I would say based on  
3 the prevalence of exposure, importance to the general  
4 population, I would go low.

5 CHAIRPERSON MACK: All right. Dr. Landolph.

6 COMMITTEE MEMBER LANDOLPH: Yeah, it's an  
7 interesting group of substances to consider. There's a  
8 lot of polycyclic aromatic hydrocarbons in there. One  
9 of the studies indicates the higher the temperature at  
10 which it's prepared, the more carcinogenic activity it  
11 may have. So I agree with all Peggy's comments. I  
12 would rank it medium.

13 CHAIRPERSON MACK: All right. Howard Marks.

14 MR. MARKS: Thank you for the opportunity to  
15 speak on behalf of the stakeholders of paving asphalt  
16 emissions, and I'd like to --my name is Howard Marks.  
17 I'm with National Asphalt Pavement Association. Also  
18 with me is Russell Snyder with the California Asphalt  
19 Pavement Association, as well as Paul Sohi with Asphalt  
20 Institute.

21 I do want to provide just a little background to  
22 the Committee here from my background. I've be  
23 practicing occupational health and toxicology for about  
24 20 years now, have a masters in public health, and  
25 doctorate in environmental toxicology. I've also

1 published numerous articles on Ph carcinogenesis, an  
2 inhibition of that.

3           First off, I guess up on the board here, we have  
4 a distinction between asphalt emissions associated with  
5 road paving, which is the group I represent, and  
6 emissions associated with asphalt roofing. So someone  
7 had eluded to -- there's certainly a clear distinction  
8 in the chemistries of both paving asphalt and roofing  
9 asphalt, and especially in those emissions. I wasn't  
10 intending to elaborate much further on that. Much of  
11 that information is in our comments, and hopefully it  
12 you'll take some time to look at the comments and  
13 understand what those chemical differences are. A lot  
14 of it has to do with the application temperature.

15           Second, obviously, you've already talked about  
16 the occupational setting of exposure to these materials,  
17 not really public, and so it's extremely limited on the  
18 public. It is an occupational setting.

19           Third, there has really been no authoritative  
20 body, U.S. or international, that's really identified a  
21 carcinogenic hazard or risk for exposure to paving  
22 asphalts. Someone had indicated that -- about some of  
23 the epidemiological evidence, whereby there was  
24 misconstrued on relative risks with regard to paving and  
25 roofing, and hopefully you'll take another look at that.



1 But IARC itself had determined that there's no human  
2 evidence or animal evidence of carcinogenicity or  
3 cancers with exposure to paving asphalt.

4         Also, with regards to chemistry, there really is  
5 no study that's identified in field exposure emissions  
6 of paving asphalt fume. It has greater than three --  
7 three rank PIHs, and that's because the paving  
8 temperature is usually below 300 degrees. We've also  
9 started to do some engineering controls on using paving  
10 applications machines that are basically reducing  
11 exposure to the workers as well as our industry has been  
12 proactively looking at new technologies to even reduce  
13 that a temperature further.

14         I guess the last thing I'd like to impress with  
15 the Committee is, basically, the reasons that are  
16 articulated in our written comments, and that is that  
17 there are significant differences in chemical  
18 composition between paving and roofing asphalts. These  
19 are primarily due to the application temperature.  
20 There's a complete lack of evidence for human or animal  
21 cancers or carcinogenic hazard or risk. And, again, the  
22 exposure is only under an occupational setting. So our  
23 stakeholders see no reason to really prioritize paving  
24 asphalt emissions, and we would respectfully request  
25 that that be removed from future listing. I can answer

1 any questions if you have any. Thank you.

2 CHAIRPERSON MACK: Thank you.

3 COMMITTEE MEMBER BUSH: I have a question.

4 Thank you. Curious about the personal protective  
5 equipment that workers actually have. Never tend to see  
6 anybody wearing masks or filters or breathing apparatus  
7 in any way. Is that something that is being addressed  
8 or --

9 MR. MARKS: Thank you for mentioning that.

10 There are two occupational exposure levels right now  
11 that are in place, one by Cal/OSHA and one by ACJH.  
12 They are very low and the emissions from paving  
13 occupational setting are well, well below those  
14 occupational exposure levels, so there's no need for  
15 PPE.

16 CHAIRPERSON MACK: You are referring to paving?

17 MR. MARKS: Correct. Thank you.

18 CHAIRPERSON MACK: Anthony Kriech.

19 MR. KRIECH: Thank you, Chairman Mack and the  
20 Committee, for taking a look at the documents we  
21 submitted, and hope you've had a chance to review them.  
22 My name is Anthony Kriech. I'm director of research or  
23 Heritage Research Group in Indianapolis, and for the  
24 last 27 years I've been studying asphalt and asphalt  
25 emissions. Today I'm presenting on behalf of AREC, the

1 Asphalt Roofing Environmental Council, which is a  
2 consortium of national associations related to the  
3 roofing industry. In order to understand a complex  
4 mixture like asphalt, the first thing you have to  
5 understand, it's not a single compound; it's lots and  
6 lots of compounds. And --but for us to try to  
7 understand something complex like this, we decided early  
8 on to collaborate with the government --NIOSH  
9 specifically --with universities, and with the unions  
10 to try to understand what the exposures are to our  
11 workers.

12           The industry sponsored these collaborative  
13 studies in animal, mechanistic, and exposure  
14 measurements to get a more complete understanding of  
15 what is in the workplace that workers see each day. EPI  
16 has been challenging in roofing because of all the  
17 confounders. It's a smaller group. It's hard to get a  
18 lot of information around that.

19           When asphalt is at ambient temperatures, we know  
20 that asphalt contains low levels --trace level of PACs,  
21 and those trace levels are well-established in the  
22 literature as --and that is the concern that IARC has  
23 always had about asphalt emissions and exposure to  
24 asphalt. But when it is at ambient temperatures, the  
25 PACs that are present in the asphalt, the polynuclear

1 metacomounds are nonleachable by a number of studies in  
2 the literature, and there's really very little evidence  
3 that the solid asphalt itself is bioavailable. It's  
4 only when asphalt is heated up to high temperatures that  
5 any of these PAC compounds can be released. In fact,  
6 you need to get really above about 200 degrees C or 400  
7 Fahrenheit for those compounds to get enough energy to  
8 get off the surface and form aerosols.

9           In order for those to get in then -- into the  
10 workplace environment, the breathing zone of workers, we  
11 have studied what conditions are necessary for that to  
12 occur. Roofing asphalt applications, which are heated  
13 above 200 degrees C, represent about 6 percent of all  
14 the roofing asphalts applied in California, and it's  
15 shrinking. And it's shrinking in part because of the  
16 published literature, which shows that when you heat  
17 these things up, you have a potential to release  
18 polynuclear aromatic compounds. So what we are seeing  
19 is a shift away from that.

20           Today, under the consent decree from the  
21 California Attorney Generals, roofing asphalt workers  
22 are warned about compounds that are known to cause  
23 cancer to -- in the State of California. So Prop 65  
24 warnings are already in place for this industry. I was  
25 an observer at IARC in 2011 for the monograph 103 on

1 asphalt. IARC concluded that the concern in asphalt  
2 PACs, not some unknown compound that nobody knows about,  
3 the PACs that are well established, well studied.

4           They limit the conclusions to occupational  
5 exposure in roofers to a 2A classification, primarily  
6 based on animal studies, and those studies were  
7 actually, in part, sponsored by the industry, so I don't  
8 think there's a big controversy about that.

9           Hot asphalt applications above 200 degrees C is  
10 where we are concerned, and so we are trying to reduce  
11 those. So it's our opinion that the current Prop 65  
12 warnings concerning roofing asphalt emissions are  
13 adequate today. Industry is moving to reduce  
14 temperatures further and to avoid exposures to these  
15 PACs. Our concern primary is that is listing the  
16 product from the standpoint of the cold material that's  
17 on the roof is a concern, because it will create  
18 confusion and not clarity around the concerns related to  
19 asphalt products.

20           I'm available to answer any questions.

21           CHAIRPERSON MACK: Thank you, Mr. Lee -- Kriech.  
22 Sorry. So now we go to the -- what the individual  
23 criteria -- what the individual classification should  
24 be. And I should have made it clear in the beginning,  
25 and I didn't, that we have to make two different

1 classifications, one for paving assault and one for  
2 roofing asphalt.

3 Jason, how do you think that falls out now?

4 COMMITTEE MEMBER BUSH: After reviewing the  
5 material, I -- looking at the totality of both the  
6 genotoxic data and the animal carcinogenicity studies,  
7 I'm -- I feel that it should be for both road paving  
8 asphalt and roofing asphalt, they should be listed with  
9 a medium priority.

10 CHAIRPERSON MACK: David.

11 COMMITTEE MEMBER EASTMOND: Similar opinion. I  
12 was thinking medium priority on both medium.

13 COMMITTEE MEMBER DAIRKEE: Medium. Medium for  
14 both.

15 COMMITTEE MEMBER LANDOLPH: Roofing medium,  
16 paving low.

17 COMMITTEE MEMBER REYNOLDS: Roofing medium,  
18 paving low.

19 CHAIRPERSON MACK: And I think what's I think  
20 too, roofing medium and paving low. And so let's go  
21 through with one, two, three, four for that, and so  
22 that's what's going to prevail. So it's going to be  
23 roofing medium, and paving low.

24 CHIEF COUNSEL MONAHAN CUMMINGS: Mic.

25 CHAIRPERSON MACK: Now Shanaz for methyl

1 chloride. Shanaz.

2 COMMITTEE MEMBER DAIRKEE: So for methyl  
3 chloride, it's important to note that the contribution  
4 of this chemical from natural sources is estimated to be  
5 as much as 99 percent of the total released. And  
6 thousands of tons of methyl chloride are released  
7 naturally into the atmosphere every day by  
8 volatilization from the oceanic reservoir, from  
9 volcanos, from forests and brush fires. And this  
10 chemical, because it's so ubiquitous, it is detected in  
11 drinking water, groundwater, surface water, seawater,  
12 all kind of effluent sediments in the atmosphere, in  
13 fish samples, and in human milk.

14 The few studies that have examined the  
15 carcinogenic potential of methyl chloride in humans  
16 through epidemiology have failed to demonstrate any  
17 association. And in animals, the only evidence of  
18 carcinogenicity comes from a single two-year bioassay in  
19 which statistically significant increased incidence of  
20 renal benign and malignant tumors was observed in male,  
21 only male B6C3F1 mice, but at very high concentrations.  
22 And it's also thought that the underlying mechanism of  
23 renal carcinogenesis in mice is not relevant to humans.  
24 It is genotoxic in a variety of test models, but at  
25 relatively high concentrations.

1           So it seems like the natural background of  
2 methyl chloride is pretty high, and to see anything  
3 above that from this synthetic or industrial sources  
4 requires very high concentrations. It is a group 3  
5 chemical on the IARC list, not classifiable as a  
6 carcinogen since there is currently in adequate evidence  
7 for the carcinogenicity of this chemical in humans or  
8 animals. So I would tend to put it low on the category.

9           CHAIRPERSON MACK: Okay. Well, I was impressed  
10 by this one Icelandic study, which I don't know the  
11 detail's about, but a very high relative risk, and a  
12 significant one, of a 9.35 after 40 years of follow-up  
13 with fishermen that were accidentally exposed to methyl  
14 chloride as a refrigerant. So on that basis, I would  
15 tend not to want to put it low, but because of the rest  
16 of the data, I think I would probably go with medium.  
17 And since we have no other information available, let's  
18 now just go to see what other people --

19           COMMITTEE MEMBER BUSH: My opinion was to list  
20 it as medium priority.

21           COMMITTEE MEMBER EASTMOND: Mine is also medium,  
22 medium low.

23           CHAIRPERSON MACK: Okay. And Shanaz is at low?

24           COMMITTEE MEMBER DAIRKEE: I said low.

25           CHAIRPERSON MACK: And Joe?



1 COMMITTEE MEMBER LANDOLPH: Medium.

2 COMMITTEE MEMBER REYNOLDS: Medium medium.

3 CHAIRPERSON MACK: So medium prevails for methyl  
4 chloride.

5 Okay. Now we come to a really important issue,  
6 the pyrethroids, and important for no other reason -- if  
7 for no other reason because it's one of the ways we get  
8 rid of aedes aegypti and prevent zika and yellow fever  
9 and whatever else happens. So we need to try to be  
10 careful. David, you're the leadoff person for that.

11 COMMITTEE MEMBER EASTMOND: The -- so this is  
12 really a class of chemicals. It's type-I pyrethroid.  
13 So there are eight specific different chemicals that  
14 were listed in going through this. So, essentially, my  
15 take on epidemiological studies, there were multiple  
16 associations reported, particularly for exposure in  
17 utero and childhood leukemia. However, most of those  
18 with very strong associations appeared to be from one  
19 Brazilian study, so I didn't know what to think about  
20 that. And the animal bioassays, there were mixed  
21 results seen for the different pyrethroids somewhat  
22 inconsistent. You have -- depending on the particular  
23 agent, you'll have different tumor types, but there's a  
24 smattering of different tumors for the various types.  
25 So typically you'll get maybe increases in mice and a

1 couple of target tissues, but nothing in rats and  
2 negative in genotoxicity assays for pyrethrin, would be  
3 an example. So you've got this sort of mixed pattern  
4 going through this. I think Martha mentioned a couple  
5 of these already listed, if I'm not mistaken.

6 DR. SANDY: One is listed, resmethrin, and two  
7 have been brought to you separately for prioritization  
8 ranking.

9 COMMITTEE MEMBER EASTMOND: Okay. So which one  
10 is the first thing I'm listing?

11 DR. SANDY: Resmethrin --

12 COMMITTEE MEMBER EASTMOND: Resmethrin --

13 DR. SANDY -- is listed --

14 COMMITTEE MEMBER EASTMOND: -- is listed --

15 DR. SANDY: -- currently --

16 COMMITTEE MEMBER EASTMOND: Okay.

17 DR. SANDY: -- as a carcinogen. And then  
18 permethrin was ranked as a medium, I believe, and  
19 metofluthrin as a low.

20 COMMITTEE MEMBER EASTMOND: So there's a -- I  
21 mean, my take on this is that there are a variety of  
22 sort of reports for increasing tumors. I don't see any  
23 real consistency, you know, if you are looking at they  
24 all cause liver cancer or they all cause -- so it's a  
25 mixed bag. I don't feel confident in certainly treating

1 them as a class by themselves. I think we can go  
2 through individually on specific ones if we felt like it  
3 was warranted. And, again, you see these are typically  
4 sort of negative in genotox assays, although there is  
5 some positives, so it's a mixed bag. And so my overall  
6 kind of assessment on this, if I recall, was I put these  
7 down as sort of medium priority for full evaluation.

8 CHAIRPERSON MACK: I actually felt the same way,  
9 but my first thought is these should be dealt with  
10 individually, including the ones that have already been  
11 listed, so that we should consider looking at each of  
12 them -- I think there's seven -- each of the five  
13 remaining pyrethroids individually. And there doesn't  
14 seem to be consistency within each one, from what we  
15 know, so I would consider them either low or medium, and  
16 I guess I would go with medium also.

17 So now let's hear from Stan Landfair.

18 MR. LANDFAIR: Hello, Dr. Mack, Dr. Eastmond,  
19 Dr. Zeise, and panel members. Thank you. Thank you for  
20 reviewing our materials. I can tell from the  
21 preliminary comments you reviewed them carefully. We  
22 thank you for that.

23 I'd like a point of clarification. We  
24 understand from the notice there are two separate  
25 questions raised. One is whether the type-I pyrethroids

1 should be considered for listing as a class; and the  
2 second is whether, if so, they should be assigned a  
3 high, medium, or low priority. And I suppose we have a  
4 tertiary question as to each of these chemicals, what  
5 priority they might be assigned. And our -- I'd like to  
6 introduce myself. I represent Bayer Chemical Company --  
7 I'm sorry -- Bayer Agri Sciences. And my colleague  
8 Arthur Lawyer, and Dr. Arthur Lawyer represents them  
9 also. We also represent Sumitomo. So of the eight  
10 chemicals listed, we identify with four, and so we will  
11 speak to those generally. There are also others behind  
12 us who will speak on behalf of FMC with respect to  
13 bifenthrin, and also from the Consumers Specialty  
14 Products Association, Dr. John Ross, who will speak with  
15 respect to the idea of whether these pesticides should  
16 be -- yes, these pesticidal chemicals should be treated  
17 as a class, although if that question is moot, then we  
18 probably won't need to address it.

19           So our position is that the chemicals should not  
20 be treated as a class, largely for the reason you  
21 mentioned, Dr. Eastmond. And really extension of the  
22 same discussion we had with respect to -- what chemical  
23 were you talking about earlier? It was nitrites.  
24 Nitrites. Your analysis. And that there are many  
25 chemicals that -- there are here eight identified, but

1 the are many more potentially type-I pyrethroid  
2 chemicals, and they don't show any kind of consistency  
3 in a mode of action or even in results for animal  
4 bioassays, so it would be wrong to assume that they  
5 should be treated as a class. And we think you've  
6 implicitly made that decision already by joining them.

7           You have indicated preliminarily that you  
8 thought these should be medium or low. I'd like to  
9 persuade you, they should be low, and part for the  
10 reason you eluded to with respect to their -- the  
11 compelling need for these chemicals in the mosquito and  
12 vector control. One of the criteria in your criteria is  
13 exposure. We'd acknowledge there is human exposure, but  
14 let's put an adjective in front of that word "exposure."  
15 The exposures here are regulated, they are low, they are  
16 deliberate, and they are for a public health purpose.  
17 These chemicals have been reviewed, all of them, in the  
18 United States by the U.S. EPA; by California's DPR; and  
19 other countries by agencies such as the Canadian pest --  
20 the MRA, the pesticide regulatory and management agency;  
21 similar agencies in Europe; the World Health  
22 Organization.

23           We don't have to have an imminent concern that  
24 unless OEHHA or you, on behalf of Prop 65, step in and  
25 identify these chemicals as carcinogens, there will be

1 an unknown and unregulated danger. These chemicals are  
2 very, very well regulated, and that leads to the other  
3 questions, are they good candidates for listing? We  
4 think not. Remember, please, that U.S. EPA is an  
5 authoritative body, and if the -- and each one of these  
6 chemicals has been reviewed on the basis of a mountain  
7 of data for carcinogenicity. If the agency, this agency  
8 believed that those review demonstrated these should be  
9 listed, they would have been listed already in the  
10 authoritative bodies listing. That does not preclude  
11 your review, but we think it's very poor candidates for  
12 listings because of that.

13 Dr. Lawyer can address scientific questions  
14 regarding these chemicals, and so can the  
15 representatives of FMC and CSPA, if you'd like to hear  
16 more.

17 CHAIRPERSON MACK: Just to point out, though,  
18 while it's true, it doesn't preclude our review, it also  
19 doesn't excuse our nonreview. We are obligated by the  
20 State of California to do the job, whether or not  
21 somebody else has already done the job, so we have to  
22 make a decision.

23 MR. LANDFAIR: Well, I won't dispute that, but  
24 here, as you point out, we are talking about priority,  
25 and if the question is how should we devote the State's

1 resources, I think the need is far less compelling for  
2 pesticidal chemicals that are regulated and where the --  
3 issue of carcinogenicity seems to be so attenuated.

4 CHAIRPERSON MACK: Right. I understand.

5 MR. LANDFAIR: Thank you.

6 CHAIRPERSON MACK: Next on the list is Arthur  
7 Lawyer.

8 MR. LAWYER: Artie Lawyer with Technology  
9 Sciences Group from Davis, California, also representing  
10 Sumitomo Chemical and Bayer Crop Protection, I think it  
11 is. I have two brief comments. One gets to the point  
12 that you were bringing up, Dr. Eastmond. This -- the  
13 class-I pyrethroids as considered as a group, it's quite  
14 a disparate group of chemicals. I mean, just as an  
15 example, the vapor pressure ranges over four orders of  
16 magnitude, the log P differs by over three orders of  
17 magnitude, so it's not surprising that in fact you would  
18 get a different toxicological profile for these  
19 chemicals. And as I think you've mentioned, the -- if  
20 you look at those compounds that actually do have  
21 potential for carcinogenicity and the -- and the classic  
22 studies that are done, some of them actually -- there's  
23 no consistency with what kinds of parameters come out.  
24 There's no target -- consistent target organ that -- rat  
25 versus mouse. Again, no consistency there. The male

1 versus female. So you look across the board. It's  
2 really an individual compound by compound matter. So  
3 that's point number one. And, in fact, to that point,  
4 resmethrin was brought up. It was listed, I think,  
5 eight years ago, and it had data for that particular  
6 compound that was consistent with listing under  
7 Proposition 65.

8           So the second and final point, is on -- on the  
9 fact that these are regulated compounds. All these  
10 pyrethroids are registered under FIFRA are by the EPA.  
11 And the good news about that is, as the summary document  
12 that was made available to you, they all have been  
13 looked at, and as -- in order to get registered in the  
14 United States, they actually have to go through a  
15 focused cancer assessment by the agency; so, in fact,  
16 all of these compounds, except those that have not been  
17 into the United States, have an EPA classification for  
18 cancer. And as you can see, resmethrin came up as  
19 likely, but most of them have popped up as not likely to  
20 be carcinogenic, so we have the -- an agency in many  
21 cases very recently coming to those conclusions, so it  
22 fits the criteria of how a regulatory agencies looked at  
23 it in the past.

24           For those that haven't been to the United  
25 States, I couldn't find any that haven't at least been



1 through the European agency, and, again, not found are  
2 the ones that were listed on --before the Committee,  
3 none of them were found to be likely to be carcinogenic  
4 in the European way of looking at it. Again, so it  
5 gives you a preamble for how these compounds would  
6 likely be looked at by the Committee here.

7           So I was going to leave it at that, and see if  
8 there's any questions. We could go through individual  
9 compounds, but I'm not sure it's necessary.

10           CHAIRPERSON MACK: I have a question, and you'll  
11 probably think I'd ask, do they have all the same mode  
12 of action against mosquitos?

13           MR. LAWYER: No. There's actually two major  
14 mechanisms, but, largely, the answer is yes. I -- they  
15 target the neurological aspects of the insects, so part  
16 of that class difference has to do with that.

17           CHAIRPERSON MACK: Neurotoxicity is the same.

18           MR. LAWYER: Right. The target within the  
19 system is a little different, but in general, they  
20 behave much the same way, yeah. Very effective.

21 Questions? Again, I could go through the individual  
22 ones.

23           CHAIRPERSON MACK: Okay. Tim Formella. All the  
24 way at the back. Takes you a minute to get up here, you  
25 know.

1 MR. FORMELLA: As introduction, I'm Tim Formella  
2 with FMC Corporation. I am in the regulatory group, so  
3 I don't have the science background that all of you  
4 folks do, but I just want to reiterate what has already  
5 been stated, that we don't believe that this group  
6 should be looked at as a group that each of the  
7 individual components of the type-I pyrethroids should  
8 be reviewed separately.

9 I --when I look at this, I don't think you have  
10 captured each of the type-I pyrethroids. You have made  
11 a list of eight. I don't think that includes all  
12 type-I's, that may need to be looked at. And having  
13 said that, I don't know if you have had the opportunity  
14 to look at all the data that was listed for these eight.  
15 And if you are going to add all type-I pyrethroids, when  
16 you make this decision, that you probably need to review  
17 the data on all of those, so it may postpone some of  
18 those a little bit. So those are just my basic  
19 comments. And for bifenthrin, you can see by the data  
20 that's there, that I think that should be a low priority  
21 if in fact you do take the route of looking at them  
22 individually. Thank you.

23 CHAIRPERSON MACK: Thank you for your brevity,  
24 Mr. Formella.

25 DR. SANDY: Thank you. I wanted to just

1 clarify. We have suggested a group in this case, to  
2 allow you to give us advice on that group, possibly  
3 looking at that group, and we have listed some  
4 individual compounds within the group, but it's not an  
5 all-inclusive list, just to address this last speaker's  
6 comment.

7 CHAIRPERSON MACK: So you want an opinion on the  
8 group as well as what you should do in addition to that;  
9 is that what you are saying?

10 DR. SANDY: An opinion on the group and any  
11 individual compounds that you feel compelled to give us  
12 advice on that are not already listed. Thank you.

13 CHAIRPERSON MACK: Okay. Finally, we have  
14 Zhiwei Liu.

15 MR. LIU: So, first of all, thank you so much  
16 for having the opportunity. So I'm Zhiwei Lui, senior  
17 toxicologist, and on behalf of FMC Corporation. So I  
18 would speak specifically on bifenthrin. So basically,  
19 you know, bifenthrin toxicology document is complete,  
20 and it has been registered worldwide, include major  
21 agencies like EPA, AF, and European authorities. You  
22 know, for the U.S. EPA, so that currently the U.S. EPA  
23 doesn't have concerns about bifenthrin as a carcinogen  
24 with Q1 star. So, therefore, you know, there's no Q1  
25 star approach for cancer risk assessment, so the cue to

1 reference those approach should be protect you of -- you  
2 know, any potential cancer concern. So, basically, I  
3 quote, the EPA said in their 2012 document bifenthrin is  
4 classified, you know, as a possible human carcinogen  
5 based on increased instance of urinary bladder tumors in  
6 mice, in the mice only, in the male mice only, single  
7 gender, in one single study. And -- however, the EPA  
8 concluded that bladder tumors may not be uncommon in  
9 mice are not likely to be malignant.

10           So, basically, all the, you know, tumor,  
11 basically -- you know -- basically, all the urinary  
12 bladder tumors in the male mice was reevaluated by the  
13 water pathologist group. They all included that urinary  
14 tumor, you know, cited in the original study report was  
15 actually, you know, the urinary bladder lesions. They  
16 are not tumors. As -- okay. In addition, this tumors  
17 were observed only in the male mice out of the highest  
18 dose tested, and in the instance, was over borderline  
19 significant. So, overall, I think all these tumors in  
20 the urinary bladder tumors are actually lesions not  
21 tumors; and, secondly, the only -- the basically the  
22 significant increase instance only of observed out of  
23 the high dose 600gpm, which is above the MTD dose. I  
24 think therefore, the recommendation of a note assigning  
25 Q1 star indicated that hemogenic potency of bifenthrin

1 is very minimal, and further demonstrated there's no  
2 major concerns for the, you know, carcinogenicity of  
3 bifenthrin. So, therefore, you know, we do consider  
4 bifenthrin should be set as a low priority for Prop 65.  
5 So with that, I would be happy to answer any questions.

6 CHAIRPERSON MACK: Thank you. I do have a  
7 question. I don't know who is the best person to answer  
8 it, and that is, when used in the field, as an  
9 insecticide class of pyrethroids, how much does it vary  
10 from place to place and from manufacturer to  
11 manufacturer in terms of the distribution of the  
12 individual pyrethroids? In other words, is it uniform  
13 mixed, or does the mix vary from place to place and  
14 manufacturer to manufacturer?

15 MR. LAWYER: Maybe I -- this is Artie Lawyer  
16 again. Maybe -- as part of the approval process by the  
17 EPA, if an agency says "thou shalt" and makes a label  
18 that restricts and makes very prescriptive how much  
19 material can be used and on what crops, it wouldn't  
20 exclude on some, allow on other. So, for example, you  
21 brought up zika. It's a very -- any of the mosquito  
22 uses, it's very well -- risk assessments, so it really  
23 depends on the crop, the use, and the potential for  
24 exposure, but it's part of the process.

25 CHAIRPERSON MACK: Thank you very much. Now, I

1 apologize to John -- I'm sorry. I got you out of order  
2 because I shuffled the cards.

3 DR. ROSS: No problem. I'm John Ross. I'm  
4 representing the Consumers Specialty Products  
5 Association, and I'm glad to hear that the Committee is  
6 considering not -- considering all of these members as a  
7 class, but rather individually, because a number of the  
8 compounds that haven't been considered don't produce  
9 tumors in either species that's been tested in either  
10 rats or mice. So things like permethrin, and  
11 imiprothrin, fenpropathrin, and others -- there's four  
12 or five of them, don't produce tumors in the species  
13 that have typically been tested, and so it would be a  
14 miscarriage to throw all these together.

15 Also want to reiterate that in animal testing,  
16 there is no single mode of action recognized with these.  
17 They produce tumors at different sites, and those  
18 produce tumors and in those chemicals where there has  
19 been extensive mode of action studies done, there is  
20 evidence that those tumors don't apply to humans. And  
21 one of the papers that you got in your file is for  
22 transfluthrin, which I helped write, addressing that  
23 issue.

24 I'd also like to go back to the question you  
25 asked about the amount used, and, for example,

1 transfluthrin may only need 2 milligrams in a room in  
2 order to repel mosquitos. It's extremely efficient  
3 because it's semivolatile. It's one of these compounds  
4 that is at the high end of the volatility range that  
5 Dr. Lawyer referred to, and that's compared with the  
6 chemicals that are used for treating West Nile by  
7 airplane. That maybe, you know, ounces per acre.

8           So to wrap up, there are no consistent  
9 activities in endocrine receptors. That's not a mode of  
10 action. And, finally, this epidemiologic study that's  
11 been referred to, the Ferreira study, I think is highly  
12 confounded because you have basically two socioeconomic  
13 groups that were examined. You've got a case-control  
14 study, but the cases and the controls come from entirely  
15 different economic groups, they're different skin  
16 colors, there's a variety of things. You've also got  
17 problems of translation going from Portuguese to  
18 English, and the biggest problem, I think, is recall  
19 bias. These individuals were queried months to years  
20 after their exposures.

21           With that, if you've got any questions, I'd be  
22 happy to answer them.

23   ---oOo---

24           CHAIRPERSON MACK: Thank you. That's been very  
25 helpful, Mr. Ross. So a fifth question that might ask

1 is how you --I keep turning it off --how we would  
2 classify the pyrethroids as a group --the type-I  
3 pyrethroids as a group for purposes of prioritization.  
4 So if we had to do it as a group, how would you classify  
5 it, Jason?

6 COMMITTEE MEMBER BUSH: Well, what struck me  
7 when I read the summary --

8 MR. LANDFAIR: May I intervene. I think the  
9 question on the notice was whether type-I pyrethroids  
10 should be treated as a class, and that's a threshold --

11 CHAIRPERSON MACK: --

12 CHIEF COUNSEL MONAHAN CUMMINGS: Dr. Mack, could  
13 you turn on your mic, please.

14 CHAIRPERSON MACK: --that's been put to us, not  
15 deciding whether or not to do it.

16 MR. LANDFAIR: I understand that, and I'd accept  
17 whatever guidance my counterpart Carol Monahan Cummings  
18 says, but I understood the notice to put the question to  
19 the panel. They wanted your advice on whether or not  
20 type-I pyrethroids should be considered as a group or as  
21 a class; and, secondly, prioritization for the  
22 individual compounds.

23 CHAIRPERSON MACK: Yeah. I think we are getting  
24 to that.

25 MR. LANDFAIR: Okay.



1 CHAIRPERSON MACK: Thank you.

2 MR. LANDFAIR: Thank you.

3 COMMITTEE MEMBER BUSH: So what struck me when I  
4 read the summary is the --the carcinogenicity, the  
5 animal data showing that there was some increases and --  
6 of mixed --mixed tumors, and not seeing anything  
7 related to the genotoxicity. You know, that stands out  
8 to me as a clear endocrine disruption. And the last  
9 gentleman that was just speaking, you indicated that  
10 there were no studies of endocrine disruption, but I --  
11 in our summary, I see two, possibly three, different  
12 studies, and I'd like to know a little bit more. You  
13 know, it may not be that this --this class of compounds  
14 or individual compounds are actually initiating tumors,  
15 but in my review of this information, it seems like they  
16 could be promoting tumors. And for that reason, one, I  
17 do not think they should be listed as a chemical group;  
18 and, two, I think they are of medium priority. I want  
19 to know more about these.

20 CHAIRPERSON MACK: If I understand you  
21 correctly, it seems reasonable to you to group them as a  
22 group rather than individually.

23 COMMITTEE MEMBER BUSH: No, individually.

24 CHAIRPERSON MACK: Okay. And medium -- if you  
25 had to list them as a group, you'd call it medium?

1 COMMITTEE MEMBER BUSH: Correct.

2 CHAIRPERSON MACK: David.

3 COMMITTEE MEMBER EASTMOND: As I said before and  
4 I think after the public comments made, that this is  
5 even a broader group than we've seen in our  
6 documentation, and so I think it would be -- I don't  
7 think it would be wise to take them all as a group,  
8 because they are highly varied, and there's many that  
9 apparently are not here and may not have any cancer data  
10 as well. If we chose to go forward and look at them,  
11 we'd probably ought to do this on an individual  
12 chemical-by-chemical basis.

13 CHAIRPERSON MACK: I -- I stay with my opinion  
14 that I voiced in the first place, that we probably  
15 should take them individually. I would also call them  
16 medium, if we had to do it as a group. But I would say,  
17 when I say we should do it individually, I don't think  
18 we need to -- I don't think it would be wise to try and  
19 cover every single type-I pyrethroid, because it's going  
20 to be a very large number and will take a lot of time,  
21 and there's very little data on some of them. So I  
22 think we have to, in some way, by staff or by us, but I  
23 think staff is the best way to make a list of those that  
24 we should cover individually. It will, of course, not  
25 include the ones that have already been listed, and will

1 include those in which there is some data that you've  
2 given to us already, and maybe we'll stop with that.  
3 That would be my personal opinion. But if I were to do  
4 it as a group, I would also call it medium. Shanaz.

5 COMMITTEE MEMBER DAIRKEE: I'm more inclined to  
6 look at them individually than as a group.  
7 Individually. Individually, as medium. Group, I'm not  
8 so sure.

9 CHAIRPERSON MACK: Let me ask you, if I  
10 understood you correctly, you are suggesting that each  
11 of them be considered individually --

12 COMMITTEE MEMBER DAIRKEE: Correct.

13 CHAIRPERSON MACK: Okay. I think that's hard to  
14 do without listening to data for each individual, but  
15 you have, and that's what you are deciding. Okay. Joe.

16 COMMITTEE MEMBER LANDOLPH: Yeah. A couple of  
17 interesting points. There is tumor genicity data in  
18 this memo out of the EPA I've got. It's interstitial  
19 cell adenomas of the testes, number one. Number two, I  
20 agree with Jason. There is data on endocrine disrupting  
21 chemicals. This is a paper from 2010 by Weis and Du et  
22 al, and they talk about members of this class acting by  
23 different mechanisms, but they have endocrine disrupting  
24 activities, which probably is why they don't have much  
25 genotoxicity. And number three, there's three

1 interesting papers, which deal with epidemiology data in  
2 increase in all lymphohematopoietic cancers and multiple  
3 myeloma in these three papers. So there's some  
4 epidemiology data too, so I would say take a look at  
5 them. I would say medium would be appropriate.

6 CHAIRPERSON MACK: Peggy.

7 COMMITTEE MEMBER REYNOLDS: So if I'm hearing  
8 the consensus is that we probably should not consider  
9 these as a group, but they are likely to be several  
10 members of a group that could be of importance, and we  
11 are deferring to staff to help enumerate those; is that  
12 correct?

13 CHAIRPERSON MACK: Seems we have somebody who is  
14 making a record of what we are all saying --

15 PUBLIC MEMBER: Having trouble hearing you.

16 CHAIRPERSON MACK: I say it's fortunate that  
17 somebody is making a record of everything we are taking,  
18 because it's so important, but as I understand it, we  
19 are agreed that we should try to group them individually  
20 or classify them individually.

21 COMMITTEE MEMBER REYNOLDS: Right.

22 CHAIRPERSON MACK: We're also agreed that if we  
23 were forced to do it as a group, we'd call it medium.

24 COMMITTEE MEMBER REYNOLDS: Okay.

25 CHAIRPERSON MACK: And Shanaz, for one, is

1 prepared to call all of them medium on the basis of  
2 availability information, but we won't hold her to that.

3 COMITTEE MEMBER REYNOLDS: I agree with that,  
4 although I'm thinking we should address them as a group.

5 CHAIRPERSON MACK: Okay. Thank you very much.

6 COMMITTEE MEMBER BUSH: Dr. Mack, I have one  
7 question, actually to Dr. Sandy. Can I ask, is  
8 this type-Is pyrethroid being reviewed by the other  
9 committee, by the reproductive tox committee at all?

10 DR. SANDY: No, they are not.

11 COMMITTEE MEMBER BUSH: Okay.

12 DR. SANDY: If I could say a few more words. We  
13 were -- when we are asking you to group -- to rank the  
14 group, we might you -- if you ranked it as high, we  
15 might then use staff to figure out, which among those  
16 pyrethroids -- type-I pyrethroids as a group should be  
17 focused on in the Hazard Identification Document, like  
18 we done over the past few years with other groups of  
19 chemicals we've brought to you. We would not expect you  
20 to list the group. We would just bring them as a group  
21 to you, and you could list them individually. So I  
22 wanted to clarify that. But thank you for your ranking  
23 of this group. And then now, looking at the individual  
24 ones, if you saw any that you thought should be ranked  
25 differently than the whole group, we'd be interested to

1 hear.

2 CHAIRPERSON MACK: I'm going to ask the three  
3 non-epidemiologists for their opinions about that.

4 COMMITTEE MEMBER BUSH: At this time, I can't  
5 make a decision as to ranking individual compounds. I  
6 think if we are going to evaluate them -- and I know I'm  
7 not being very helpful to you; sorry, Martha -- I would  
8 need more time to evaluate the data.

9 COMMITTEE MEMBER EASTMOND: None of them jump  
10 out at me as being particularly more concerned than  
11 others. I mean, they are kind of this intermediate  
12 range for me.

13 CHAIRPERSON MACK: Shanaz -- or you've already  
14 expressed your opinion. Joe.

15 COMMITTEE MEMBER LANDOLPH: None.

16 CHAIRPERSON MACK: You don't think any of them  
17 stand out either; was that your answer? I'm looking for  
18 the person who wants to speak about vinyl acetate. So  
19 we'll go to vinyl acetate. Who's the -- Bush.

20 COMMITTEE MEMBER REYNOLDS: Okay. I'll start  
21 because there isn't very much epidemiologic evidence to  
22 refer to Dr. Bush. But IARC did classify vinyl acetate  
23 as possibly carcinogenic to humans through a group 2B,  
24 within inadequate evidence of the carcinogenicity in  
25 humans. Couple of the studies seem to be focused on

1 some cohort mortality studies, which were not  
2 necessarily geared looking specifically at vinyl  
3 acetate. One Union Carbide cohort mortality study  
4 looking at a number of suspect chemicals that suggested  
5 some elevated odds ratios for mortality for  
6 reticuloendothelial cancers, particularly Non-Hodgkin's  
7 Lymphoma, multiple myeloma, and lymphocytic leukemia,  
8 but based on extremely small numbers, nonsignificant  
9 associations, only two deaths to the -- for the  
10 lymphocytic leukemias. And then a study of a synthetic  
11 chemicals plant using a case control studies design; in  
12 other words, comparing lung cancers in the cohort  
13 compartment to lung cancers in the community, to look at  
14 histologic subtypes, and finding some evidence for a  
15 higher proportion of large cell, but not other lung  
16 cancer histology as associated with vinyl acetate,  
17 potential vinyl acetate exposure. But this was again  
18 not statistically significant, and so it does appear  
19 that there is perhaps more opportunity than some of the  
20 compounds we've thought about for consumer exposures to  
21 the end products. I do not know enough about  
22 bioavailability for some of the end products, but would  
23 like to hear more about that.

24 COMMITTEE MEMBER BUSH: I did read the public  
25 comments from the -- from Franklin International and the

1 Vinyl Acetate Council, so thank you for providing that  
2 along with the 2008 risk assessment from the European  
3 Commission, all 257 pages of that, thank you, as well as  
4 the 2008 screening assessment from Environment Canada  
5 and Health Canada.

6           So the --looking at the data, I'm going to  
7 focus on the animal carcinogenicity data and the  
8 genotoxicity data. The studies primarily indicate in  
9 animal studies that long-term exposure, either through  
10 drinking or inhalation, lead to tumor types of those  
11 particular cavities, so it's consistent with direct  
12 exposure. So we are getting thing likes increases in  
13 oral cavity cancer, esophageal cancers in the drinking  
14 studies, getting things like nasal papillomas in the  
15 nasal cavity, the tumors in the inhalation studies. So  
16 those do come from primarily high dose studies as well,  
17 so those need to be taken with a --with an objective  
18 eye. There is overwhelming genotoxicity data. I think  
19 it's overwhelmingly positive, and it's consistent with  
20 the general mode of action of acetaldehyde being a  
21 primary metabolic metabolite of vinyl acetate. I  
22 realize in reading the public comments that there are  
23 exposure limits, and it doesn't seem as if the general  
24 consumer or --would be in any danger of exceeding any  
25 kind of exposure limit there, and I think the



1 genotoxicity data is consistent, again, with,  
2 acetaldehyde being the primary genotoxic metabolite for  
3 this compound.

4           So I think the high dose animal carcinogenicity  
5 studies, you know, suggest this direct exposure, and in  
6 a real-world scenario, probably wouldn't be something  
7 that would be that much of a concern, I suppose, to the  
8 general public in California, and so I rank this as a  
9 low priority.

10           CHAIRPERSON MACK: Okay. Jason says low  
11 priority. Peggy, I didn't get what you said. High,  
12 medium, or low?

13           COMMITTEE MEMBER REYNOLDS: I was going to go  
14 medium, but I would like to hear a little bit more.

15           CHAIRPERSON MACK: Yes, there he is.

16           CHIEF COUNSEL MONAHAN CUMMINGS: We've already  
17 been going for two hours. I think that we should take a  
18 break soon for the court reporter. Yeah, two hours is  
19 long time for a court reporter.

20           (Brief recess was taken.)

21           CHAIRPERSON MACK: Okay Mr. Valentine.

22           MR. VALENTINE: Thank you very much. Can you  
23 hear me? Mr. Chairman, ladies and gentlemen of the  
24 panel, my name is Rudy Valentine. I'm here representing  
25 the Vinyl Acetate Council. I'm a board-certified

1 toxicologist, and the VAC, the Vinyl Acetate Council is  
2 a not-for-profit organization representing the major  
3 manufacturers of vinyl acetate.

4           You've already received our written comments of  
5 some of the concerns expressed about the mode of action  
6 in this material were pertinent to the discussion. I  
7 hope to enumerate some of those. My presence here, in  
8 bottom line, is to request that the CIC place low  
9 priority on vinyl acetate based on its mode of action as  
10 well as the very low potential for exposure to vinyl  
11 acetate in products that are used within California.

12           Vinyl acetate is a volatile ester. It's  
13 volatile ester, and it's mode of action, as already  
14 noted, is driven by the active metabolite acetaldehyde,  
15 which is formed from endogenous carboxylesterases, which  
16 is present along the portals of entry for which vinyl  
17 acetate may be exposed -- inhalation, nasal cavity, and  
18 oral cavity by ingestion. Complete metabolism of vinyl  
19 acetate also results in the production of an acetic  
20 acid, which can increase intercellular acidity and  
21 induces cytotoxicity. These play a role in vinyl  
22 acetate's mode of action.

23           Acetaldehyde notably is -- or can form DNA  
24 adducts. It's weakly genotoxic, and of importance to the  
25 CIC, it's ubiquitous in the environment. It's present

1 and ambient there, and it's endogenous in most animal  
2 and plant life, included in many foods and fruit juices.  
3 It should also be recognized that acetaldehyde is  
4 approved of a food-flavoring agent, and is generally  
5 recognized as safe by the FDA. And while it is true, we  
6 acknowledge that vinyl acetate has produced tumors in  
7 some but not all species of animals. It has produced  
8 tumors by inhalation or oral routes. It's also  
9 important to recognize that tumors occur all along the  
10 portal entries and is not seen systemically. Further,  
11 tumors are seen only at very high exposure  
12 concentrations that are typically associated with either  
13 local cytotoxicity or increase cell proliferation.

14 OEHHA's toxicology summary that was presented to  
15 the CIC excluded the extensive mechanistic toxicology  
16 data that supports the view that acetaldehyde toxicity  
17 is dependent upon on acetaldehyde, and that both  
18 substances can be threshold carcinogens; that is,  
19 there's a biological threshold below which there should  
20 be no reasonable risk of adverse effects. This view has  
21 been endorsed by scientific experts. You've already  
22 alluded to the European review in 2000 as well as the  
23 Health CANADA assessments, and they concluded -- and I  
24 want to quote this -- the genotoxicity data are in line  
25 with the hypothesis that vinyl acetate genotoxicity is

1 mediated by acetaldehyde and that the genotoxicity of  
2 acetaldehyde only becomes evident after the cellular  
3 defense mechanisms are overloaded.

4 Further, the VAC contends that the available  
5 health and exposure information worked the designation  
6 of vinyl acetate as a low priority for review. In  
7 assessing prioritization, the VAC asks the CIC to  
8 consider whether a potential listing of vinyl acetate  
9 would in fact likely result in an issuance of any  
10 warnings. In the VAC's written comments, we noted that  
11 exposure to vinyl acetate from use of consumer and  
12 professional products are sufficiently low, such that it  
13 isn't likely that businesses would need to warn if vinyl  
14 acetate were ultimately listed. The VAC maintains that  
15 the projected internal dose and asks that risks  
16 associated with acetaldehyde from either inhalation or  
17 ingestion of vinyl acetate from consumer products is de  
18 minimus when compared to existing exposures from air,  
19 food, or as a breakdown product from ethanol consumption  
20 in beer, wine, and other beverages. And as part of that  
21 basis, we wish to note that, again, there was Health  
22 Canada assessment. During that assessment in 2008,  
23 industry provided a voluminous exposure information on  
24 consumer products. And what they observed after  
25 analytical measurement of most consumer products is that

1 residual VAM, which is residual monomer in materials  
2 that with made from vinyl acetate based polymers are  
3 undetectable. In the few cases where vinyl acetate was  
4 in fact detected, the concentrations were low, typically  
5 300 part per million, or less.

6           While we maintain that there's sufficient  
7 justification for a threshold mode of action for VAM to  
8 assess the CIC, consider whether to designate VAM as a  
9 priority substance, we developed health benchmarks  
10 following OEHHA's default linear multistage methodology  
11 with a ten to the minus fibrous for cancer. Bottom line  
12 is all of those exposures are, at least in the order of  
13 magnitude, below a threshold of concern for vinyl  
14 acetate. I'll be glad to answer any questions.

15           CHAIRPERSON MACK: Okay. Let's recap again.  
16 Jason, where is your categorization?

17           COMMITTEE MEMBER BUSH: Low priority for vinyl  
18 acetate.

19           CHAIRPERSON MACK: David.

20           COMMITTEE MEMBER EASTMOND: I actually gave this  
21 a higher priority simply because there's a lot of  
22 consistency in the animal bioassays in the same types of  
23 tumors types were showing up in rather -- basically,  
24 within the site of exposure. I do recognize that for  
25 comments, apparently, there's been a lot of work

1 mechanistically that suggests this is a high-dose  
2 phenomenon, but that can be worked out at a later date,  
3 that sort of the risk assessment part of this process.

4 CHAIRPERSON MACK: You are calling it medium?

5 COMMITTEE MEMBER EASTMOND: I was going with  
6 high.

7 CHAIRPERSON MACK: You're calling it high.

8 COMMITTEE MEMBER EASTMOND: Or medium high, but  
9 I'm at high.

10 CHAIRPERSON MACK: Okay. I was coming down at  
11 medium.

12 COMMITTEE MEMBER DAIRKEE: Low.

13 COMMITTEE MEMBER LANDOLPH: Medium. Lots of  
14 genotoxicities.

15 COMMITTEE MEMBER REYNOLDS: Medium.

16 CHAIRPERSON MACK: Medium, medium, low, medium,  
17 high, low. So we have three mediums, a low, and high.

18 PUBLIC MEMBER: Microphone.

19 CHAIRPERSON MACK: We have three mediums, a low,  
20 and a high -- four mediums, a low, and a high. So it's  
21 going to be medium.

22 Finished with the prioritization. And Gary  
23 Roberts would like to make a brief but very pertinent  
24 comment, no doubt, on prioritization itself.

25 MR. ROBERTS: I have a question. Dr. Dairkee,

1 we were trying to make sure our notes reflected what you  
2 said about your views on the priority of aspartame. Our  
3 notes had that your view was medium with a note that it  
4 was high within the medium group; did we --are our  
5 notes correct?

6 COMMITTEE MEMBER DAIRKEE: Yes.

7 MR. ROBERTS: Thank you.

8 The question was necessary because not everyone  
9 was speaking into the mic during certain parts of the  
10 meeting.

11 CHAIRPERSON MACK: No doubt. I'm not -- in any  
12 way doubt that. Anybody else have any questions? We  
13 have some history here? Carol have anything to say?  
14 You have an update of the section 27000 list.

15 CHIEF COUNSEL MONAHAN CUMMINGS: Okay.

16 CHAIRPERSON MACK: All right. Do I read this?

17 CHIEF COUNSEL MONAHAN CUMMINGS: Not yet

18 ---oOo---

19 CHIEF COUNSEL MONAHAN CUMMINGS: Let me give a  
20 little bit of background here. For this section of the  
21 meeting, as you may recall, the Prop 65 has two  
22 different lists of chemicals, one that you've been  
23 talking about a lot today that are chemicals known in  
24 the state to cause cancer or reproductive toxicity. The  
25 other lists that list are known as this 2700 list, and

1 it's a list of chemicals that need to have certain kinds  
2 of toxicity testing. And the way that we find out  
3 whether or not these chemicals should be on this list is  
4 we annually contact the U.S. EPA and the California  
5 Department of Pesticide Regulation and ask them whether  
6 or not they have any chemicals they want to add to this  
7 list that need to have certain kinds of testing done or  
8 where they have received the testing and the chemical  
9 might --no longer needs to be on this list.

10           So what we wanted to do today is just go slide  
11 by slide, and that would be for this first --for the  
12 first slide these are --this is one chemical where the  
13 Department of Pesticide Regulation is recommending that  
14 we remove sodium fluoride from the list because they've  
15 received these two tests that were required to be done,  
16 and so this chemical no longer needs to be on this  
17 Section 2700 list.

18           So, Dr. Mack, if you'd be able to ask that  
19 question. Basically, what we are asking for --we're  
20 just asking for your concurrence with what the  
21 information is that we received from these other  
22 agencies that --

23           CHAIRPERSON MACK: Okay. I'll read it. Based  
24 on the information that's been provided in the  
25 California Department of Pesticide Regulation, should



1 the chemical sodium fluoride, as identified on slide  
2 one, have endpoints removed from the list of chemicals  
3 required by the state or federal law to be tested or  
4 which have not be adequately tested as required? Would  
5 everybody who votes yes on this question, please raise  
6 your hand.

7 (Hands raised.)

8 CHIEF COUNSEL MONAHAN CUMMINGS: Is that five?

9 COMMITTEE MEMBER EASTMOND: If I can clarify,  
10 Carol. What you are saying is Department of Pesticide  
11 Regulation has informed you that they have received  
12 these tests, and so there's -- they don't think they  
13 should continue to be listed as being required because  
14 they currently have them?

15 CHIEF COUNSEL MONAHAN CUMMINGS: That's correct.

16 COMMITTEE MEMBER EASTMOND: Okay. So we are  
17 accepting that they have received these, and so we  
18 believe they are not needed?

19 CHIEF COUNSEL MONAHAN CUMMINGS: That's correct.

20 COMMITTEE MEMBER LANDOLPH: If my memory serves  
21 me right, the first carcinogenicity study on sodium  
22 fluoride was reported in an abstract from NIEHS, and it  
23 was positive. Whoever did the second one, wasn't too  
24 smart. They used different doses, which is the worst  
25 thing to do. So I don't think that first one, which was

1 positive, was ever replicated. In my mind it's not a  
2 settled issue.

3 CHIEF COUNSEL MONAHAN CUMMINGS: And just to  
4 clarify, this is not a question of whether or not these  
5 chemicals may or may not cause cancer. The question is  
6 whether or not the Department of Pesticide Regulation  
7 has received the testing that they had requested. So  
8 this list doesn't have anything to do with whether or  
9 not the chemical causes cancer. It's a list of  
10 chemicals where DPH or U.S. EPA has requested testing  
11 and has or hasn't received those tests. So DPH has told  
12 us that they received these two tests. They haven't  
13 necessarily evaluated them or made any determination  
14 based on that, but for this particular list, we just  
15 want you to concur with what DPR says in that they  
16 received these tests.

17 COMMITTEE MEMBER LANDOLPH: I don't see that I  
18 should have anything to say about that. If they say  
19 they received it --

20 CHIEF COUNSEL MONAHAN CUMMINGS: Well, I  
21 understand that but --

22 COMMITTEE MEMBER LANDOLPH: -- they received it.  
23 I'm not finished yet.

24 CHIEF COUNSEL MONAHAN CUMMINGS: Okay.

25 COMMITTEE MEMBER LANDOLPH: If they said they

1 didn't receive it, they didn't receive it. I would  
2 assume they act with integrity, so that's not really my  
3 business I don't think.

4 CHIEF COUNSEL MONAHAN CUMMINGS: Well, I  
5 understand that. The problem is that the way the  
6 statute is written, we have to ask the State's Qualified  
7 Experts before we can take these off the lists, even  
8 though the agencies that report the information to us,  
9 we assume it's accurate. There's no reason for us not  
10 to believe that, but we can't do it without you  
11 concurring. So that's the only reason we bring it to  
12 you. It's an anomaly of the law.

13 COMMITTEE MEMBER LANDOLPH: It's absolutely  
14 bizarre.

15 CHIEF COUNSEL MONAHAN CUMMINGS: Yes. And every  
16 time we do this, it becomes even more anomalous, but  
17 it's just the way it is. In order to take this out of  
18 the statute, we'd have to have a two-thirds majority of  
19 the legislature take it out and find that there's a  
20 compelling reason under Prop 65 and just -- we just have  
21 to do it this way, sorry.

22 CHAIRPERSON MACK: -- admit I'm a little  
23 uncomfortable because there's not enough information  
24 here. We are unqualified experts without knowing a  
25 little bit more, and it isn't your fault --

1 CHIEF COUNSEL MONAHAN CUMMINGS: I'm sorry, but  
2 I can't even hear you.

3 CHAIRPERSON MACK: I said we are unqualified  
4 experts because the information given us about this  
5 specific chemicals is not enough. We have to take it on  
6 faith.

7 CHIEF COUNSEL MONAHAN CUMMINGS: Exactly.

8 CHAIRPERSON MACK: If it were written with a  
9 page describing this in a little more detail next time,  
10 that would help.

11 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. But what  
12 we provided you in the materials before the meeting is a  
13 letter from me explaining the process that each of the  
14 letters from the U.S. EPA and DPR that gives us this  
15 information, and I know that it's a -- it's an odd  
16 thing, but the -- but that's all the information we  
17 have. And so what we try to do here is just a summary  
18 slide that says, based on the information we've already  
19 provided you, which is -- was in your packet, DPR says  
20 they received these two studies, and we want to take  
21 this chemical off the list for those two studies.

22 CHAIRPERSON MACK: Then I would have appreciated  
23 if you'd specify which page, which letter we should have  
24 been looking at, because when we look at this, it's --  
25 and so I don't know what I should have been qualified to

1 read. Next time, please try and do it -- I mean, I'd be  
2 happy to vote on it now, because I trust the Department  
3 of Pesticide Regulation, whether I should or not.

4 CHIEF COUNSEL MONAHAN CUMMINGS: All right. So  
5 if you want to look at the materials that you have in  
6 your -- in your folder, the information is in there.  
7 We'll see if we can't get it in more detail next time.

8 What we tried to do is make this a very short part of  
9 the agenda because there isn't a lot to it, but --

10 CHAIRPERSON MACK: If you'd just itemize the  
11 letter that we are supposed to have read, because  
12 realize --

13 CHIEF COUNSEL MONAHAN CUMMINGS: Certainly. And  
14 it was sent separately to you so that you wouldn't get  
15 it too much mixed up with the other materials. It's the  
16 only one you received directly from me.

17 Yes, Dr. Landolph -- I mean Eastmond.

18 COMMITTEE MEMBER EASTMOND: This is kind of a  
19 follow-up. For me, I would feel more confident if you  
20 had said the Department of Pesticide Regulation received  
21 this study title on this date, and then we would know  
22 this is study that was received on that date, and so  
23 then you can say, okay, they received it. As it is,  
24 it's, you know, it really is going forward on faith.

25 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. So your

1 preference would be to know the name of the study that  
2 they received?

3 COMMITTEE MEMBER EASTMOND: Yeah, just -- and  
4 when they received it.

5 CHIEF COUNSEL MONAHAN CUMMINGS: The date and  
6 the name of the study? Okay we can do that.

7 COMMITTEE MEMBER EASTMOND: Basically.

8 CHIEF COUNSEL MONAHAN CUMMINGS: Sure. I'm not  
9 sure that's part of our request, but we will make it  
10 that in the future.

11 CHAIRPERSON MACK: Okay. Now, let's go on  
12 faith, and I'll read it again. We'll take the vote.

13 CHIEF COUNSEL MONAHAN CUMMINGS: Thank you.

14 CHAIRPERSON MACK: Based on the information that  
15 has been provided from the California Department of  
16 Pesticide Regulation, should the chemical sodium  
17 fluoride, an as identified on slide one, have endpoints  
18 removed from list of chemicals required by state or  
19 federal law to be tested, but which have not been  
20 adequately tested as required? People who will agree  
21 that that should happen, please raise their hand.

22 (Hands Raised.)

23 CHAIRPERSON MACK: Unanimous.

24 Next question. Based upon the information which  
25 you have been provided from the California Department of

1 Pesticide Regulation, should the three chemicals  
2 identified on slide two have endpoints added to the list  
3 of chemicals required by state or federal law to be  
4 tested, but which have not be adequately tested as  
5 required? The people who assent to that proposition,  
6 please raise their hands.

7 (Hands raised.)

8 CHAIRPERSON MACK: Unanimous again.

9 COMMITTEE MEMBER EASTMOND: Could I just  
10 clarify. So in this case, we are saying these tests are  
11 missing?

12 CHIEF COUNSEL MONAHAN CUMMINGS: That's correct.

13 COMMITTEE MEMBER EASTMOND: Okay.

14 CHAIRPERSON MACK: Third one. Based on the  
15 information have been provided from the U.S. EPA, should  
16 the three chemicals identified on slide 3 be removed  
17 from the list of chemicals required by state or federal  
18 law to be tested, in which have not be adequately tested  
19 as required? People who assent to that proposition,  
20 please raise their hands.

21 (Hands raised.)

22 CHAIRPERSON MACK: All right. We are unanimous  
23 again.

24 CHIEF COUNSEL MONAHAN CUMMINGS: Thank you.

25 CHAIRPERSON MACK: So we are finished with that.





1 right column indicates the date of notice of intent to  
2 list. There is one chemical under consideration for  
3 listing as causing cancer, that is glyphosate. Four  
4 chemicals are under consideration for listing as causing  
5 reproductive toxicity. That is perfluorooctanoic acid,  
6 PFOA; perfluorooctane sulfonate, PFOS; pertuzumab, and  
7 vismodegib.

8           Since your last meeting, one safe harbor level  
9 has been adopted in regulation, effective October 1,  
10 2016. The safe harbor is a maximum allowable dose level  
11 for bisphenol A, dermal exposure from solid materials.

12           And on this slide here, as you can see, we also  
13 proposed safe harbor levels for eight chemicals. A no  
14 significant risk level has been proposed for styrene,  
15 and maximum allowable dose levels have been proposed for  
16 ethylene glycol (ingested), and for oral exposures to  
17 each of the six triazine compounds.

18           And now I'll turn things back over to Carol.

19 Thank you.

20           CHAIRPERSON MACK: Thank you, Michelle.

21                           ---oOo---

22           CHIEF COUNSEL MONAHAN CUMMINGS: Okay. So -- so  
23 the update for litigation. Our current case load for  
24 Prop 65 is eight cases. We have nine cases total. That  
25 have been filing against the office. It's an

1 unfortunate new record number of cases, but the good  
2 news is that we have been successful in defending four  
3 of those that are now in the court of appeals, so we  
4 were able to get at least trial court wins in four of  
5 the cases since, I think, our last meeting. The ones  
6 that would be of most interest to you, I think, are in  
7 the trial court, we have a case involving the no  
8 significant risk level for the chemical chlorothalonil.  
9 There's a case filed by Syngenta Crop Protection, and we  
10 are still in the negotiation stages on that. We haven't  
11 got a trial date yet. May be able to resolve it without  
12 a hearing.

13           In the other trial court case that affects a  
14 car -- potential carcinogen is glyphosate is in  
15 litigation right now. We were sued by Monsanto  
16 Corporation, and that case is pending in the Fresno  
17 Superior Court. There's a motion pending on December  
18 the 9th. It may resolve the case. It's a  
19 constitutional challenge to the labor code listing  
20 process, and if we are successful in that motion, the  
21 matter will probably go up on appeal.

22           In the court of appeals, the case -- the only  
23 one dealing with a carcinogen at this point is the  
24 Committee listing of the chemical DINP, is -- we were  
25 successful in defending that at the trial court level,

1 and that's currently on appeal. It's been fully  
2 briefed, and we are just waiting for the Court to set a  
3 hearing date on that.

4 So I don't have other updates at this time,  
5 unless you have questions. The rest of the cases all  
6 deal with reproductive toxins. Thank you.

7 ---oOo---

8 ACTING DIRECTOR ZEISE: This is Lauren Zeise. I  
9 will summarize the Committee's actions for this meeting.  
10 The Committee deliberated on nitrite in combination with  
11 amines or amides, and decided that nitrite in  
12 combination with amines or amides had not been clearly  
13 shown through scientifically valid testing according to  
14 generally accepted principles to cause cancer. The  
15 decision was unanimous. The Committee also made  
16 recommendations to OEHHA to follow up with them on  
17 potential subgroups or chemicals within that overall  
18 classification, so we heard that the focus should be on  
19 those chemicals for which there's human exposures and  
20 for which there's positive animal studies. We heard  
21 that the nitrosourea -- sorry, not the -- yeah, the  
22 nitrosoureas are not -- potentially some of them would  
23 be more interesting than others. We also heard about  
24 morpholine and aminopyrine, but in general, that we  
25 should be looking at those chemicals for which we have

1 positive results in animals. And Dave Eastmond, do you  
2 want to add to that?

3 COMMITTEE MEMBER EASTMOND: I think, just to  
4 clarify, we are talking about the small molecule  
5 ureas --

6 ACTING DIRECTOR ZEISE: The small -- yes.

7 COMMITTEE MEMBER EASTMOND: Not nitro ureas.

8 ACTING DIRECTOR ZEISE: Oh, yes, sorry. Okay.  
9 Thanks for the clarification. I have nitroso on the  
10 brain.

11 The Committee also reviewed prioritization for  
12 five different groups of chemicals. It ranked aspartame  
13 as high priority. As medium priority, it rank asphalt  
14 and asphalt emissions from roofing, methyl chloride,  
15 type-I pyrethroids and vinyl acetate. For the type-I  
16 pyrethroids, it expressed a interest in if they come  
17 back to them to see them individually as individual  
18 compounds.

19 Did you have something, Gary, that you wanted to  
20 say?

21 MR. ROBERTS: Yes, Dr. Zeise. We disagree with  
22 your summary of aspartame. We believe the transcript  
23 will not support your summary, and so we want to make  
24 sure that our position in understanding is clear for the  
25 record.

1           ACTING DIRECTOR ZEISE: Okay. And my summary  
2 was that the Committee ranked it as high priority.

3           MR. ROBERTS: We disagree. We do not believe  
4 the transcript will support that. Our notes do not  
5 support that.

6           ACTING DIRECTOR ZEISE: Okay. So I wonder if we  
7 take a pause here and -- Carol?

8           CHIEF COUNSEL MONAHAN CUMMINGS: I'm going to  
9 look at my notes.

10          ACTING DIRECTOR ZEISE: One possibility is to go  
11 back to the Committee. I think we -- that might be  
12 faster, to just ask the Committee once again for  
13 aspartame. I believe I --

14          DR. SANDY: I have notes. We can ask the  
15 Committee again, but my notes were that Dr. Mack ranked  
16 it as high, Dr. Reynolds as high, Dr. Landolph as high,  
17 Dr. Dairkee as medium high, Dr. Eastmond as medium high,  
18 and Jason as medium; and then they talked about it more  
19 after the discussion and came down with a high. That's  
20 my notes, but we can --

21          CHIEF COUNSEL MONAHAN CUMMINGS: I have it as  
22 high also.

23          ACTING DIRECTOR ZEISE: So does anyone on the  
24 Committee disagree with this characterization? Martha  
25 could you read them again.

1 DR. SANDY: I think you -- yeah. Took a pole  
2 before we started and then after, so I have Dr. Bush,  
3 medium. David Eastmond, medium high. Dr. Dairkee  
4 medium to high. Joe Landolph, high. Dr. Reynolds,  
5 high. Dr. Mack, high. And then a discussion again, and  
6 it was high, and a low high.

7 ACTING DIRECTOR ZEISE: Coming out of the  
8 discussion after that initial and what we had was some  
9 discussion of the panel, and then we had comment, public  
10 comment, and then after the public comment is what we  
11 have. So we'll just turn to the panel and ask again.

12 CHAIRPERSON MACK: The problem is the  
13 interpretation of the phrase medium high. If medium  
14 high means somewhere between medium and high, that would  
15 make problems. What it's intended to mean, I think, is  
16 medium in the middle of the high category. At least  
17 that's my guess.

18 MR. ROBERTS: My notes reflect that Dr. Eastmond  
19 said medium with flexibility, that Dr. Dairkee said  
20 medium -- high within the medium. I confirmed that with  
21 her.

22 COMMITTEE MEMBER DAIRKEE: That is true.

23 MR. ROBERTS: It is my concern, Dr. Mack, that  
24 your impromptu summary at the conclusion of the six  
25 votes did not capture what really was a tie, and it is

1 my further concern that without four votes, it is not  
2 accurate under the regulations to identify the outcome  
3 as high. Again, I believe the transcript will support  
4 this, but since the --since the --there is this  
5 summary process, I felt it important to share what I  
6 heard and what is reflected in my notes.

7 CHIEF COUNSEL MONAHAN CUMMINGS: Let me just  
8 clarify one thing --well, a couple of things. First  
9 off, there is no regulation that has to do with  
10 prioritization. There is a prioritization procedure  
11 that we use --an OEHHA procedure, but it's not a  
12 regulation. What we are doing when you are doing  
13 prioritization is you are giving advice to OEHHA, and  
14 it's still our decision what chemicals come before the  
15 Committee in what order, so you are giving advice --  
16 whether or not there needs to be a --a majority vote to  
17 give advice, is an open question, so I don't think that  
18 four votes are --votes have required for advice.

19 In any event, what may be better to do is just  
20 to pole the Committee again, Dr. Mack, and clarify what  
21 their position was, and we can clarify the record and  
22 just move on from there.

23 CHAIRPERSON MACK: Certainly. But I appreciate  
24 you bringing up the issue, Gary. But I also think that  
25 that's my recollection also. So let's go through again

1 and provide our summary.

2 COMMITTEE MEMBER BUSH: My recommendation was  
3 medium priority.

4 COMMITTEE MEMBER EASTMOND: Mine was medium,  
5 with flexibility if it needed to move to high.

6 CHAIRPERSON MACK: Mine was high with  
7 flexibility toward medium.

8 COMMITTEE MEMBER DAIRKEE: Mine was medium with  
9 flexibility toward high.

10 COMMITTEE MEMBER LANDOLPH: Mine is high.

11 COMMITTEE MEMBER REYNOLDS: Mine is high.

12 CHAIRPERSON MACK: There's at least three highs  
13 and three mediums; is that right? So we --

14 CHIEF COUNSEL MONAHAN CUMMINGS: Mic. Mic,  
15 please.

16 COMMITTEE MEMBER EASTMOND: So they just want  
17 you to repeat that.

18 CHAIRPERSON MACK: So I agree it was half and  
19 half, three mediums and three highs. So the question  
20 is, how to resolve that, and we go to the lawyer and ask  
21 her what we should do now.

22 CHIEF COUNSEL MONAHAN CUMMINGS: I don't think  
23 there needs to be a resolution. It's just advice to  
24 the --

25 CHAIRPERSON MACK: Okay. We provided advice to



1 the staff.

2 CHIEF COUNSEL MONAHAN CUMMINGS: Thank you.

3 CHAIRPERSON MACK: And, again, thank you, Gary,  
4 for pointing out the problem.

5 ACTING DIRECTOR ZEISE: Okay. Thank you. So we  
6 are one remaining categorization. That's asphalt and  
7 asphalt emissions from road paving, which received a low  
8 priority.

9 Okay. So with that, I'd really like to thank  
10 the Committee for all the effort and the time it takes  
11 to go through the studies, to come to the meeting.  
12 Everyone is also so well prepared, and we really  
13 appreciate all of your efforts. And I'd like to thank  
14 the members of the public and those participating on the  
15 web and in the room, and also our staff for all the  
16 excellent work that they've done. You can see the  
17 documents, and --

18 PUBLIC MEMBER: I'm so sorry. But, Lauren, you  
19 stopped halfway through the prioritization list.

20 CHIEF COUNSEL MONAHAN CUMMINGS: No, she didn't.

21 PUBLIC MEMBER: She went all the way through?

22 CHIEF COUNSEL MONAHAN CUMMINGS: No she  
23 summarized all of them. We need to stop interrupting,  
24 please.

25 PUBLIC MEMBER: Yeah, I will do that. I

1 apologize.

2           ACTING DIRECTOR ZEISE: Okay. So with that, I'd  
3 like to thank everyone in the audience and thank our  
4 staff, both scientific staff and legal staff, and the  
5 implementation staff for all the hard work.

6           CHAIRPERSON MACK: There's one person that I  
7 didn't thank during this meeting and probably couldn't  
8 have been down without, and that's Helen. She did a  
9 good job. This was a hard meeting.

10           ACTING DIRECTOR ZEISE: Okay. Great.  
11           Okay. So with that, I guess we shall be  
12 adjourned. Thank you.

13           (The Carcinogen Identification Committee  
14 adjourned at 3:34 p.m.)

15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25


C E R T I F I C A T E   O F   R E P O R T E R

I, JESSICA SOTELO, a certified shorthand reporter of the State of California, do hereby certify:

That I am a disinterested person herein; that the foregoing California Office of Environmental Health Hazard Assessment, Carcinogen Identification Committee was reported by me, and thereafter transcribed under my direction, by computer-aided transcription;

I further certify that I am not of counsel or attorney for any of the parties to said workshop nor in any way interested in the outcome of said workshop.

IN WITNESS THEREOF, I have hereunto set my hand the 2nd day of December 2016.

  
\_\_\_\_\_  
JESSICA SOTELO  
Certified Shorthand Reporter  
License Number 13679