

---o0o---

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT

---o0o---

ORIGINAL

PUBLIC FORUM

MEETING OF THE SCIENCE ADVISORY BOARD'S
CARCINOGEN IDENTIFICATION COMMITTEE (CIC)

---o0o---

THURSDAY, OCTOBER 7, 1999

---o0o---

HELD AT:

1515 CLAY STREET- ELIHU HARRIS STATE BUILDING
OAKLAND, CA

---o0o---

OFFICE OF ENVIRONMENTAL HEALTH
HAZARD ASSESSMENT
Received

NOV 22 1999

Sacramento

REPORTED BY:

SAHAR DEMOS

PORTALE & ASSOCIATES (209) 462-3377

1 APPEARANCES

2
3 ---o0o---

4 Scientific Advisory Board:

5
6 DR. THOMAS MACK, CHAIRMAN
7 DR. DAVID EASTMOND
8 DR. JAMES FELTON
9 DR. JOHN FROINES
10 DR. JOSEPH LANDOLPH
11 DR. JOHN PETERS
12 DR. WILLIAM SPANGLER

13 Office of Environmental Health Hazard
14 Assessment:

15 DR. JOAN DENTON, Director

16 VAL SIEBEL, Chief Deputy Director
17 DR. George Alexeeff, Deputy Director of
18 Scientific Affairs

19 DR. LAUREN ZEISE, Reproductive and Cancer
20 Hazard Assessment (RCHAS)

21 DR. MARTHA SANDY, Chief, RCHAS, Cancer
22 Toxicology Unit

23 COLLEEN HECK, Chief Counsel

24 ED WEIL, Deputy Attorney General, Office of
25 Attorney General

DR. JOHN FAUST
DR. GAIL KROWECH
DR. TOM MCDONALD
DR. PAGE PAINTER
DR. JEAN RABOVSKY
DR. ANDY SALMON

CYNTHIA OSHITA, Proposition 65 Implementation

1 AGENDA

2 ---o0o---

3 ITEM	PAGE
4 I. Introduction and Opening Remarks	
5 By Dr. Joan Denton	6
6 By Dr. John Froines	6
7 II. Consideration of Chemicals Known To Cause Cancer	
8 A. 1-Chloro-4-nitrobenzene	8
9 Staff Presenter: Dr. Page Painter	
10 Public Comments None	
11 B. Estragole	30
12 Staff Presenter: Dr. Tom McDonald	
13 Public Comments Jay Murray	53
15 C. Trichloroacetic acid	71
16 Staff Presenter: Dr. Andy Salmon	
17 Public Comments Dr. Warner North	97
18 III. Consideration of Chemicals, Listed 19 via the Authoritative Bodies 20 Mechanism, For Removal From the List	
21 A. Chlorodibromomethane	118
22 Staff Presenter: Dr. Gail Krowech	
23 Public Comments Dr. James Coughlin	134
24 Dr. Warner North	136
25 II. Consideration of Chemicals Known to Cause Cancer (Continued)	

1
2
3 AGENDA, CONT'D
4

5	ITEM	PAGE
6	D. Bis (2-chloro-1-methylethyl) ether	144
7	Staff Presenter: Dr. John Faust	
8	Public Comments None	
9		
10	III. Consideration of Chemicals, Listed Via The Authoritative Bodies Mechanism, For Removal From The List (Continued)	
11		
12	B. 1,1-Dichloroethane	160
13	Staff Presenter: Dr. Tom McDonald	
14	Public Comments Dr. James Coughlin	169
15	C. Allyl Chloride	174
16	Staff Presenter: Dr. Jean Rabovsky	
17	Public Comments By Dr. James Coughlin	187
18		
19	D. P-Toluidine	194
20	Staff Presenter: Dr. John Faust	
21	Public Comments By Dr. James Coughlin	202
22	E. Zineb	203
23	Staff Presenter: Dr. John Faust	
24	Public Comments None	
25		

1
3
4
5
6
7
8
9
10
11
12
13
15
16
17
18
19
20
21
22
23
24
25

AGENDA, CONT'D

ITEM		PAGE
IV.	CRITERIA FOR IDENTIFYING CHEMICALS FOR LISTING AS "KNOWN TO THE STATE TO CAUSE CANCER"	
	A. Chairman's Remarks	217
	B. Public Comment	
	By Gene Livingston	233
	By Dr. Gary Williams	236
	By Jay Murray	241
	By Patrick Beatty	246
	By Dr. Warner North	250
V.	CIC'S PROPOSAL TO GIVE CHEMICALS A LOWER PRIORITY FOR COMMITTEE EVALUATION IF THEY ARE PRESCRIPTION DRUGS	
	A. Committee Presentation	
	By Dr. James Felton	255
	B. Public Comments	
	By Gary Roberts	273

1
OAKLAND, CALIFORNIA.

3 THURSDAY, OCTOBER 7, 1999

4 DR. FROINES: I'd like to call the
5 meeting to order. Since I know at least half
6 if not more of the people in the room right
7 now, many of you will recognize that I'm not
8 Tom Mack. Tom is on his way. So I'm the
9 stand-in chair for the moment. So why don't
10 we just get started.

11 And the first item on the agenda is --

12 Oh, for the record, I'm John Froines.
13 Why don't we get started. And our first item
14 is, Dr. Joan Denton will provide us with some
15 opening remarks.

16 DR. DENTON: Thank you, Dr. Froines. I
17 want to take the opportunity to welcome all of
18 you here today to the meeting of the
19 Carcinogen Identification Committee. And it's
20 my pleasure to introduce the individuals who
21 are seated up here as well as the staff
22 members.

23 Dr. Bill Spangler, Dr. Joe Landolph are
24 here on my right, and on my left is
25 Dr. Felton, Dr. Eastmond, and Dr. Peters. I'd

1 also like to welcome Dr. Eastmond to the
2 Committee. He's a newly-appointed Committee
3 member of the Carcinogen Committee. And
4 Dr. Eastmond comes from UC Riverside, where
5 he's an Associate Professor.

6 At the staff table, Val Siebal,
7 Martha Sandy, George Alexeeff, Ed Weil,
8 Colleen Heck, and Cindy Oshita. Then we also
9 have some additional Staff members who will
10 introduce themselves as they come up to the
11 table to make presentations on the various
12 items.

13 We have a very full agenda today. And in
14 Dr. Mack's absence, we're going to not take up
15 the criteria discussion and then move on to
16 the listing -- the hazards identification
17 documents discussion.

18 As you -- all of you who attend these
19 meetings frequently know that the way we
20 handle the public discussion portion of each
21 item is for you to fill out cards, which Cindy
22 has. And just -- basically has your name and
23 the discussion item that you wish to address.
24 And then you will be called up by the Chair at
25 that time.

1 I think with that, then, Dr. Froines,
I'll turn it back to you.

3 DR. FROINES: The one prerogative of the
4 Chair is you get to make some of the early
5 decisions. And so I decided to put myself
6 last in the consideration of chemicals known
7 to cause cancer. So we are going to start
8 with 1-chloro-4-nitrobenzene. And
9 Page Painter will be the presenter at the
10 outset. And I believe the lead person on this
11 is Dr. James Felton.

12 DR. PAINTER: 1-chloro-4-nitrobenzene is
13 an industrial chemical, with a structure shown
14 in the first slide. It is used as an
15 intermediate in the synthesis of certain
16 drugs, dyes, pesticides and other substances
17 in commerce, and is not known to occur
18 naturally.

19 Administration of 1-chloro-4-nitrobenzene
20 in feed to rats did not produce tumors, but as
21 shown in the next slide, administration in
22 feed to mice produced vascular tumors
23 (hemangiomas and hemangiosarcomas) in both
24 male and female mice. It also produced
25 hepatocellular tumors in male mice at the low

1 dose, but not at the high dose.

2 As shown in the next slide, it produced
3 mutation in some but not the majority of tests
4 using the Salmonella mutagenesis assay. In
5 mammalian cells, it produced DNA strand breaks
6 *in vitro* and *in vivo* and produced sister
7 chromatid exchanges and chromosomal
8 aberrations *in vitro*. One of the metabolites
9 in 1-chloro-4-nitrobenzene in rabbits, rats,
10 and humans is the known carcinogen,
11 4-chloroaniline.

12 Next slide.

13 In summary, there is evidence for the
14 carcinogenicity of 1-chloro-4-nitrobenzene
15 based on observation of vascular tumors in
16 male and female mice, and on observation of
17 liver tumors at the lower of two doses in male
18 mice. Further evidence of carcinogenic
19 potential is provided by observation of
20 genotoxic effects in mammalian cells, both *in*
21 *vitro* and *in vivo*, and by metabolism to a
22 known carcinogen.

23 DR. FROINES: Before we ask Jim to
24 comment, I just want to ask the entire panel
25 if anybody has any questions for the

1 presenter?

2 DR. SPANGLER: Do you have a breakdown on
3 the vascular tumors, how many were hemangiomas
4 and how many were hemangiosarcomas?

5 DR. PAINTER: No. That's not in the
6 study. We attempted to get further
7 information by corresponding with
8 Dr. John Weisberger, the author, and spoke to
9 him on the telephone. And he said that
10 information is not available. However, I want
11 to add that NTP does not currently break down
12 vascular tumors in mice in terms of
13 distinguishing between hemangiomas and
14 hemangiosarcomas. They consider them a
15 spectrum.

16 DR. LANDOLPH: My understanding is these
17 are very rare tumors, the hemangiomas and
18 hemangiosarcomas. Is that your understanding?
19 In humans, they're rare.

20 DR. PAINTER: They are quite rare.

21 DR. LANDOLPH: But they're not so rare in
22 mice.

23 DR. PAINTER: In mice, the frequency
24 depends on the strain. We don't consider them
25 greater than -- it's rarer than 1 percent, is

1 the usual definition. In some strains,
2 they're up to about 5 percent. The background
3 incidence is historically around 5 percent.

4 DR. LANDOLPH: So they're rare in humans,
5 a little more common in mice.

6 DR. PAINTER: I would consider them
7 unusual, but not rare.

8 DR. LANDOLPH: And then the other
9 question was, in the human study that was
10 alluded to here with this compound is
11 metabolized to 1-chloro-4-aniline, is that
12 thought to be by bacteria containing nitro
13 reductases in the gut?

14 DR. PAINTER: There's no information on
15 whether this is biliary excretion and
16 re-uptake of bacterial metabolites. Humans do
17 have nitro reductase activity to some extent.
18 I simply don't know.

19 DR. LANDOLPH: Thank you.

20 DR. FROINES: Is this an important
21 metabolite?

22 DR. PAINTER: Oh. In humans? From the
23 acetylated products context, in humans, it is
24 roughly 30 percent of the metabolized
25 fraction. Now, these are human studies where

1 an accidental industrial exposure was the
2 source, and there's no way of knowing what the
3 initial dose is. We can only look at the
4 urinary metabolites, which is about
5 30 percent.

6 DR. EASTMOND: Could you please describe
7 the type of tumors produced by chloroaniline
8 in rodents?

9 DR. PAINTER: Oh. By chloroaniline,
10 they're remarkably similar. It produces
11 hemangiosarcomas in mice, both males and
12 females. It also produced liver tumors in
13 male mice in one study. And I noted in my
14 preparation for this presentation that the
15 levels were somewhat higher than what I would
16 estimate the metabolized fraction in the
17 Wiseberger study.

18 Also, I noted that other chloroanilines
19 such as chloro-o-toluidine is a very potent
20 producer of vascular tumors in mice. And
21 another chloroaniline, which is 2, 4, 6
22 tri-chloroaniline, is a very potent and
23 conducive to these vascular tumors in mice.

24 DR. LANDOLPH: I enjoyed reading your
25 documents very thoroughly. One question is, I

1 noted you indicate that the IARC said this was
2 unclassifiable; and from your mention of the
3 human accident, is there any data of tumor
4 induction in humans at all, or is there
5 ambiguous data? What is the exact situation?

6 DR. PAINTER: We could not find any
7 epidemiological study on
8 1-chloro-4-nitrobenzene, nor could we find any
9 case reports associated with cancer with
10 exposure.

11 DR. LANDOLPH: Thank you.

12 DR. FROINES: Further questions? No?
13 Why don't we ask Jim to give us his
14 comments.

15 DR. FELTON: Sure, I'd be glad to.

16 Well, you heard pretty much all the data
17 there is. There's rat data that the State's
18 reported on. It was not significant, but it
19 had a significant trend in that there were
20 interstitial testicular tumors at
21 significantly lower doses than gave the tumors
22 we were just discussing in the mouse.

23 These were not statistically significant.
24 One more tumor, I think, would have taken it
25 over the edge. So we're one rat shy of being

1 significant, but the trend was significant.

2 The doses that were used in the rat were
3 hundreds-fold lower than gave these tumors in
4 the mice, if my calculations were right. The
5 data was in p.p.m, and I converted it into
6 milligrams per kilogram. And its looks like
7 it's about -- it's over a thousand milligrams
8 per kilogram with these high doses in the
9 mouse that gave the tumors we were just
10 discussing, where the rat studies could only
11 get up into a maximum dose of about 5
12 milligrams per kilogram. So it looks like the
13 rat just can't take the doses that the mouse
14 can. And, well, that's the way it goes.

15 So the bottom line is, the mouse is one
16 species, both sexes, two types of tumors in
17 the mouse in this compound; no tumors that are
18 significant in the rat. There's genotoxic
19 evidence both base substitution data in
20 Salmonella, but not frame shift, and then a
21 number of different types of cytogenetic
22 damage; SCE's, single-strand breaks, both *in*
23 *vivo* and *in vitro*.

24 So the question is, what do you do with
25 this compound? And then on top of that is the

1 metabolism data you heard, where you actually
2 have a known carcinogen as a metabolite of
3 this compound, both in humans and in rodents.

4 So the question is, what do you do with
5 that data and is it -- are you convinced that
6 it's a carcinogen or not. And it's one of
7 these that's right on the edge, you know, one
8 species. But we've talked about this before.
9 And we've discussed the mechanistic data
10 versus no mechanistic data.

11 In my opinion, when you put it all
12 together, and it's right on the edge, I'd --
13 if I had to choose yes or no, I'd choose yes,
14 just because of the mechanistic data coupled
15 with the metabolite that's the potent
16 carcinogen in the human. And I think that's
17 what it comes down to.

18 DR. LANDOLPH: Can I ask Jim a question?
19 Mr. Chair?

20 DR. FROINES: Sure. You're breaking up
21 my morning nap. Sorry. My fault. Sure.

22 DR. LANDOLPH: Jim, I found that data
23 with the rat interesting because it looked
24 like it was dose dependent -- 1, 4, 5 -- so
25 the numbers went up. So it looks like an

1 unusual situation where there's a dose
dependence.

3 DR. FELTON: Yeah. Well, according to --
4 maybe the State wants to comment on this -- I
5 suppose they could do to a trend analysis on
6 the significant slope for that data, but it
7 isn't high enough for any individual animal to
8 say it's a significant increase in background.

9 DR. PAINTER: It's significant by trend,
10 clearly significant. And at the high dose, I
11 think it's right at $p=.04$ or $.05$, around
12 there.

13 DR. FELTON: I think you said by 5.7 or
something.

15 DR. SANDY: That's correct.

16 DR. FELTON: That's pretty close.

17 DR. SANDY: 0.57.

18 DR. PAINTER: It's very close, but not at
19 the threshold.

20 DR. LANDOLPH: Like you, I respect the
21 dose response. I was curious about this.

22 DR. SANDY: I think the significance by
23 trend is $.001$ -- I'm sorry, $.01$.

24 DR. FELTON: I think this is one of these
25 cases, one more tumor, and we wouldn't be

1 discussing this compound. That's how close it
2 is, being two species, etc. So I think you
3 just have to weigh in the other data. And
4 when I do that, with the trend and everything
5 else, I think you have to consider this a
6 carcinogen.

7 DR. SPANGLER: I'll have to disagree on
8 the basis that we're talking about compounds
9 that are clearly shown to cause cancer. And I
10 think this is a good example of a compound
11 that's not clearly shown. If certain criteria
12 were met, we might have to say that this did
13 meet the criteria for a compound that caused
14 cancer. Testicular tumors in rats are not a
15 compelling, biological event, in my
16 perspective, at least, as are liver tumors in
17 mice.

18 And so we've got a compound here that may
19 produce testicular tumors in rats. If we had
20 one more tumor, it would be significant. But,
21 you know, if we had one more tumor, it would
22 just take missing one so that it wouldn't be
23 significant. And so I just don't find this
24 compound a compelling compound to list.

25 I agree with everybody that this is one

1 that's right on the edge, but I think that's
2 what we do, is take those on the edge and say,
3 well, is this clearly shown to cause cancer or
4 is it not clearly shown to cause cancer. And
5 I'll have to come down on the "this is not
6 clearly shown".

7 DR. LANDOLPH: Now, I was looking at the
8 vascular tumor data. In Table 1, for both
9 males and females, it's dose-dependent. So
10 both those inductions are dose-dependent in
11 males and females.

12 The backgrounds are a little high, I
13 agree. And the hepatocellular carcinomas was
14 positive at one point, but not dose-dependent.
15 But it was a four-fold increase over
16 background. So there is positive data here.

17 DR. SPANGLER: I think my point is,
18 there's positive data. I think there's
19 positive data in all these studies, but this
20 is not compelling.

21 DR. FELTON: I think -- Can I comment?

22 DR. FROINES: Go ahead.

23 DR. FELTON: I mean, the one thing we've
24 struggled over in the last few years; if it's
25 two species, dose-dependent, etc., then

1 everybody's happy and convinced. When it's on
2 the line, like this one -- we had a number of
3 these -- we looked at some of them where we
4 looked at the genotoxic activity. And I
5 remember a few years ago, we discussed one of
6 the compounds I think Joe was dealing with.
7 It was really a strong genotox. And was it
8 positive in one species? And we said yes,
9 carcinogen.

10 Again, I think this one may not be as
11 strong a genotox as that one, but it's not
12 just positive in one test. It's positive in a
13 number of genotox tests. And with
14 4-chloroaniline being a metabolite, it puts a
15 big flag up for me, because that's a really
16 potent carcinogen. And if you're making that
17 compound from this one, then it's something to
18 worry about.

19 So that's sort of the rationale you've
20 got to use if you call it positive.

21 DR. FROINES: Dave? I thought you
22 wanted to ask --

23 DR. EASTMOND: No. I don't have a
24 question; rather, a comment. My opinion.

25 I largely agree with Jim in that this

1 is -- I think that there's clearly a dose
2 response seen in both males and females in
3 vascular tumors. And it is substantially over
4 historical controlling instances, it seems.
5 So you have a real definite positive in this
6 animal bioassay.

7 If you look to supplemental supportive
8 evidence, you have lots of positives in
9 genotox assays. But you also have
10 chloroaniline as a metabolite, and it gives
11 the same type of tumors that are seen with
12 this compound. And I think that combination
13 of evidence, although this is clearly one that
14 we consider a difficult decision, but it seems
15 to lean in the -- I would lean towards the
16 listing of it as being an animal carcinogen.

17 DR. FROINES: I want to make a comment
18 that -- well, since I will chair for a few
19 more minutes, and then I'll retire, I want to
20 make it now.

21 I would appreciate it if the panel would
22 try and talk to other members of the panel. I
23 think that this panel should be set up so that
24 we're facing each other, because I think it's
25 not entirely appropriate for the panel to be

1 addressing the audience when we're trying to
2 decide what we think about the chemical.

3 And in the future -- I've made this point
4 in the past -- I would prefer a U-shape, so
5 we're not talking to an audience. I think
6 this panel has to deliberate amongst itself.
7 And it should. And so I want to emphasize
8 that. I feel very strongly about it. On the
9 Scientific Review Panel, I always insist on
10 that kind of framework. So Dave is talking to
11 Jim, and Bill's comments not of somebody --
12 all due respect to the people who are out
13 there.

14 DR..DENTON: We could change it now.

15 DR. FROINES: No. No. No. It's okay.
16 This will do for the moment.

17 I want to make a comment that's generic.
18 I think this is actually a very important
19 chemical, because I think it's indicative of
20 the work that this panel is going to be asked
21 to do now and in the future.

22 We have all gotten used to relying to
23 some extent on the National Toxicology Program
24 bioassays that were conducted in the 70s and
25 80s. And they -- I don't remember the exact

1 numbers, but they're 400, 500, 600, but there
2 was a large number. And then with studies
3 that were done by industry and other academic
4 institutions, we had a fairly sizeable
5 database on animal carcinogenicity.

6 What's happened is, the compounds that
7 were the low- hanging fruit, where we had rats
8 and mice data, have been designated by IARC or
9 other bodies. And so they come in as
10 authoritative body findings. So in a sense
11 they become issues that this panel doesn't
12 necessarily address.

13 This panel is actually addressing the
14 very high fruit in that respect, insofar as
15 we're now looking at compounds which by and
16 large may have one NTP bioassay, may have one
17 study done by others, but the actual number of
18 bioassays in the animal carcinogen sense is
19 extremely limited. And we have to recognize
20 that that's going to be the nature of the data
21 that comes before this Committee for the
22 future.

23 Therefore, it seems to me -- and this
24 partially relates to the criteria issue -- it
25 seems to me that one of the things that the

1 panel really has to ask itself is, to what
2 degree do we take seriously the role of
3 mechanistic considerations in making our
4 decision.

5 Because I think what we're going to see
6 is, we're going to see some animal data.
7 We're going to see no human data, for the most
8 part, limited animal data, and then we're
9 going to have genotoxicity and other
10 mechanistic, for example, toxicogenetic,
11 considerations.

12 So this is the kind of thing that we're
13 going to have as the rule, not the exception.
14 And I think, in my point of view, then, I
15 would weigh mechanistic data very heavily,
16 because I think that's what we have to use to
17 make these decisions. I think we have to
18 realize that the amount of animal data is not
19 as great as one would like and in fact, given
20 the fact that NTP is doing only 5 or 6, if
21 that, bioassays per year, the actual database
22 is going to shrink. At least the database of
23 the compounds coming before us is going to
24 shrink.

25 So I say all that as a kind of general

1 background to this issue, because I think it
2 means that we have to ask ourselves, do we
3 take toxicokinetic information, do we take
4 genotoxicity -- and other structure activity,
5 I think, becomes, very important -- and so
6 forth. So those considerations, I think,
7 become central themes within the context of
8 this decision-making process. And I think
9 this is one example of that.

10 Joe?

11 DR. LANDOLPH: I am, at this point, am a
12 little bit split, so I side with Dr. Felton
13 and Dr. Eastmond's comments. I view this as a
14 procarcinogen for tri-chloroaniline. And
15 there is evidence for metabolism of that in
16 humans. I respect the genotoxicity data in
17 bacteria and the chromosomal breakage data in
18 mammalian cells. And I do see tumors here.

19 I would be delighted if I could ask my
20 good friend, Bill, to the right, here, to
21 instruct me a little bit more on these
22 vascular tumors and the worries that one would
23 have about them, because I'm not that familiar
24 with them. So if you could help educate
25 myself a little bit more about that.

1 DR. SPANGLER: Of all of the
2 considerations for this particular compound, I
3 think the vascular tumors in mice are the most
4 worrisome, as far as a legitimate reason to
5 think that this might be a carcinogen based on
6 the information that we have here.

7 Vascular tumors occur in mice -- I would
8 consider vascular tumors to occur commonly.
9 If you had a list of tumors that you expected
10 to see in old mice, and you will see a lot of
11 tumors in aging, old mice, vascular tumors are
12 going to be very high on that list of tumors.
13 So for the most part, they're going to be
14 hemangiomas, benign tumors that really don't
15 progress or don't do anything. There will be
16 some of the malignant variety, however. So I
17 find that the most, troublesome.

18 I have no problem with liver tumors in
19 mice. Liver tumors occur in mice. They seem
20 to occur very commonly with almost any
21 compound. I'm not convinced that there aren't
22 a variety of physical occurrences that
23 precipitate liver tumors in mice as well.

24 So I think my point is that this
25 information is not compelling. If there were

1 an unusual tumor in one species one mouse
2 study, if it was a highly unusual tumor and
3 they were occurring in large numbers, then I
4 would say that was compelling evidence that
5 this compound caused cancer.

6 In this case, we've got very low numbers,
7 fairly low numbers. We've got a compound that
8 is not producing tumors in rats. I personally
9 consider mechanistic data, and I consider
10 mutagenesis data, that sort of thing. But I
11 have to consider it with a very light hand,
12 because we are here to tell the Governor that
13 these things clearly cause cancer.

14 And to me, based on the information that
15 we have here, I have a real difficult time
16 telling anybody that this clearly results in
17 cancer. It does. I accept the information
18 we've got here. But for me to say that this
19 clearly causes cancer, with a name like
20 1-chloro-4-nitrobenzene, I think most
21 everybody is going to stay as far away from
22 this as they possibly can get, anyway -- and
23 cancer maybe one of the lesser evils in this
24 compound.

25 DR. PETERS: I would just say that I'm

1 not an expert except on animal carcinogenesis,
2 but I can't think of anything more compelling
3 than having a compound metabolize 30 percent
4 to a known carcinogen. I find that
5 compelling.

6 DR. FELTON: I think the one thing you
7 have to look at in the mouse tumor data is the
8 species of the strain that was used. This
9 HAM/ICR strain has quite a bit lower levels of
10 these vascular tumors than some of the other
11 strains. And actually, they list it in the
12 report. I mean, there is two different
13 controls that were used. There was a pool
14 control group and a specific environmental
15 control.

16 I mean, one was 0 percent in both
17 species, and the other showed up to 9 percent
18 in the female. But the highest dose in the
19 female gave 39 percent. So that's quite a
20 bit of difference. This isn't even close in
21 my opinion.

22 For this particular strain, there's
23 really a large significant increase in tumors
24 that these different doses -- and in both
25 sexes. So I don't think there's any question

1 about the mouse data.

2 I have to disagree with the use of this
3 compound. I mean, this is a precursor for a
4 number of chemicals, including Tylenol and
5 drugs that all of us use. So that if the
6 manufacturing is going on in your community,
7 this is a significant risk to somebody that
8 handles this compound if you consider it a
9 carcinogen.

10 So yes, this isn't something you buy in
11 the grocery store. But it's definitely
12 something you find in the manufacture of a
13 whole series of products.

14 DR. EASTMOND: I requested from the Staff
15 some information on the background instances
16 of tumors in this particular strain of mouse,
17 actually during this period of time in the
18 70s. And there are two articles that were
19 faxed to me from the *Journal of National*
20 *Cancer Institute*. And generally, the
21 frequency of these vascular tumors tends to be
22 lower somewhat, maybe 3 at the high, but some
23 are down to 0.5 percent for the thousand
24 animals that they looked at. So this is not a
25 real high-frequency tumor, as I read this

1 information.

2 And so the increases that are seen by
3 this chemical are really fairly substantial in
4 light of the background of historical tumor
5 incidences.

6 DR. FROINES: Do we have comments? We
7 have no blue cards. Cynthia?

8 DR. DENTON: Cindy, do we have any public
9 comments on this compound?

10 MS. OSHITA: No public comments.

11 DR. FROINES: Is there further
12 discussion? Any comments from the Staff?
13 Then, John.

14 DR. PETERS: I'd like to move that this
15 compound be listed.

16 DR. FROINES: Please indicate by a show
17 of hands if in your opinion
18 chloro-nitrobenzene has been clearly shown
19 through scientifically valid testing according
20 to generally accepted principles to cause
21 cancer.

22 5 votes.

23 Please indicate by a show of hands if in
24 your opinion chloro-nitrobenzene has not been
25 clearly shown through scientifically valid

1 testing according to generally accepted
2 principles to cause cancer.

3 So the vote carries 5 to 1.

4 The second compound is -- how do you
5 pronounce this compound? Estragole.

6 DR. MCDONALD: Well, Good morning. I'm
7 Tom McDonald. I will present a brief summary
8 of the evidence of the carcinogenicity of
9 estragole. This summary will be prefaced with
10 a slide describing the use, production, and
11 occurrence of estragole.

12 Next slide.

13 Estragole is used for its flavor and
14 fragrant properties in many products,
15 including foods, beverages, soaps, perfumes,
16 and cosmetics.

17 Next slide, please.

18 Production estimates in the United States
19 exceeded one million pounds per year in 1990.
20 Recently, I obtained additional information
21 from U.S. EPA, as part of the TSCA Inventory
22 Update Rule, which indicated that in the last
23 two reporting years, 1994 and 1998, estragole
24 was also produced in exceedence of one million
25 pounds per year in the United States.

1 Outside the U.S., the Organization of
2 Economic Cooperation and Development listed
3 estragole as a high production volume
4 chemical; that is, chemicals that are produced
5 or imported at levels greater than two million
6 pounds per year in at least one Member
7 country.

8 The Flavor and Extract Manufacturer's
9 Association (FEMA) reported that an estimated
10 1,234 pounds of estragole was added to foods
11 as a flavorant in 1995 in the U.S. Thus, the
12 production estimates exceeding one million
13 pounds of this agent may point to significant
14 occupational or non-food exposures to
15 estragole.

16 Estragole is the major component of the
17 volatile oils of anise, bay, tarragon, basil,
18 and other herbs. Indeed the synonym for
19 tarragon is estragon. Estragole is a minor
20 component of the oil of fennel, marjoram,
21 chervil, and oil of turpentine. It is also a
22 minor component of tobacco smoke.

23 Next slide.

24 With respect to the carcinogenicity of
25 estragole, no cancer studies in humans exposed

1 to estragole were located. In animal studies,
2 there are 8 cancer bioassays in mice reported
3 among 3 publications: *Drinkwater et al.*,
4 *1976, Miller et al., 1983, and Wiseman et al.,*
5 *1987.* These studies involved three different
6 strains of mice and covered three different
7 routes of administration.

8 Next slide, please.

9 This slide provides a summary of the 8
10 cancer bioassays of estragole in mice. For
11 the sake of brevity, I'm not going to present
12 slides that show the incidence data. However,
13 I have slides prepared, should the Committee
14 want to discuss the details of each of these
15 studies.

16 Three of the cancer bioassays involved
17 oral exposures. Male newborn CD-1 mice were
18 administered gavage doses of estragole, twice
19 per week for 5 weeks, for a total of 10
20 doses. The mice were sacrificed at 14 months.

21 Increased incidence of hepatocellular
22 carcinoma relative to vehicle controls were
23 reported. Female newborn CD-1 mice similarly
24 administered 10 gavage doses and sacrificed at
25 14 months also showed a slight increase in

1 tumors, but was not statistically significant
2 relative to controls. That p-value is .16.

3 Adult female CD-1 mice were administered
4 2 doses of estragole via the diet for 12
5 months and observed until 20 months. Survival
6 to 10 months was high; 96 to 98% for the high
7 and low-dose groups, respectively.

8 Statistically, significant increases in
9 hepatocellular carcinoma relative to vehicle
10 controls was observed in both dose groups.
11 And a dose-related increase in the incidences
12 was observed over the two dose groups.

13 Male CD-1 mice and B6C3F1 mice were given
14 4 intraperitoneal injections of estragole, 1
15 dose on days 1,8,15, and 22 of life. CD-1
16 mice were sacrificed at 12 months, and the
17 B6C3F1 at 18 months. In both cases, increases
18 of tumors, $p < 0.001$ were observed compared to
19 vehicle controls.

20 In a separate bioassay, male newborn
21 B6C3F1 mice were given a single injection of
22 estragole at 111 milligrams per kilogram body
23 weight on day 12 of life, resulting in nearly
24 100% incidence of liver tumor incidence by 12
25 months. Female A/J mice, a strain sensitive

1 to lung tumor formation, were give estragole 2
2 days per week for 12 weeks. No increases in
3 lung tumors we observed by 8 months.

4 Additionally, two groups of male newborn
5 CD-1 mice were given 4 subcutaneous injections
6 of estragole. Mice were sacrificed at 15
7 months. There was no indication that the MTD
8 was exceeded since survival to 12 months was
9 high, and survival in the high dose group was
10 actually better than that of the controls.

11 A dose-response increase in the
12 incidences of hepatocellular carcinoma was
13 observed over the control low and high-dose
14 groups. In pairwise comparisons, however,
15 only the high-dose group reached statistical
16 significance.

17 Next slide, please.

18 Also reported among those three
19 publications were cancer bioassays of 1-prime
20 hydroxyestragole, the putative toxic
21 metabolite of estragole. 1-prime
22 hydroxyestragole induced high incidences of
23 hepatocellular carcinomas in several studies.
24 These studies included administration of
25 estragole via the diet to female CD-1 mice by

1 i.p. injection to newborn male mice (strains
2 CD-1, B6C3F1, CeH/HeJ, and C57BL/6J), and via
3 subcutaneous injection to newborn male CD-1
4 mice.

5 It should be noted that no increases in
6 liver tumors were observed in male rats given
7 20 subcutaneous injections of estragole, or in
8 female newborn mice given 4 intraperitoneal
9 injections.

10 Next slide.

11 The carcinogenic mode of action for
12 estragole in mice has been well characterized
13 and proceeds through a genotoxic mechanism.
14 Estragole is metabolized to 1-prime
15 hydroxyestragole, which is further conjugated
16 to a sulfate group leading to a sulfuric acid
17 ester. The sulfate group readily leaves,
18 leaving a reactive carbonium ion, which
19 readily binds with DNA, leading to liver
20 tumors.

21 The mechanism of action is the same as
22 for safrole, a Proposition 65 listed chemical.
23 Six DNA adducts have been characterized for
24 estragole. Six equivalent DNA adducts are
25 seen for safrole. Studies of estragole,

1 safrole, and related derivatives in which the
2 sulfation step was inhibited, resulted in
3 reduced DNA adduct formation and prevention of
4 liver tumor formation in mice.

5 As depicted in Figure 2, page 31 of the
6 draft HID, the metabolism of estragole to
7 1-prime hydroxyestragole appears to be
8 quantitatively consistent between humans and
9 rodents.

10 Next slide.

11 Other relevant data include genotoxicity
12 data. Estragole and 1-prime hydroxyestragole
13 had mixed results in standard bacterial
14 mutation assays. When the sulfation cofactor
15 PAPs was added to the test system, we saw
16 increases in mutations in Salmonella strain
17 1535 in the presence of activation enzymes.

18 In rat hepatocytes and in human cell
19 lines, estragole and 1-prime hydroxyestragole
20 induced unscheduled DNA synthesis. As I
21 mentioned, liver DNA adducts have been
22 observed. The levels of DNA adduct formation
23 after exposure in mice to estragole, safrole,
24 and other alkenylbenzene compounds were found
25 to correlate well with the observed liver

1 tumor incidences in mice dosed in the same
2 manner and observed for over a year.

3 Similarly, the ability of different
4 alkenylbenzene compounds to induce unscheduled
5 DNA synthesis in rat hepatocytes also
6 correlates well with their ability to induce
7 liver tumors in mice.

8 Next slide, please.

9 Other relevant data includes
10 structure-activity relationships. Strong
11 supporting evidence of estragole's
12 carcinogenic potential comes from structurally
13 similar compounds, especially safrole and
14 methyleugenol. Safrole has been shown to
15 produce hepatocellular carcinomas in rats and
16 mice.

17 1-prime hydroxysafrole, like 1-prime
18 hydroxyestragole, produced high incidences of
19 liver tumors in mice. Estragole and safrole,
20 as I mentioned before appear to function
21 through equivalent mechanisms. Methyleugenol
22 or 1-prime hydroxymethylgenol also induced
23 high incidences of liver tumors in mice
24 exposed as newborns.

25 Methyleugenol was recently tested in

1 gavage studies conducted by the National
2 Toxicology Program. Methyleugenol induced
3 clear evidence of carcinogenicity in male
4 rats, female rats, male mice, and female mice.
5 One should note that the doses used in the
6 methyleugenol NTP bioassay were comparable to
7 the doses used in the studies I described for
8 estragole earlier.

9 Also, several other alkenylbenzene
10 compounds were shown to cause liver tumors in
11 mice.

12 Next slide, please.

13 In summary, there is evidence from
14 carcinogenicity studies that estragole induces
15 cancers in mice. Estragole induced liver
16 cancers in multiple strains and both sexes of
17 mice exposed by several different routes of
18 administration. Estragole has not been
19 adequately tested in the rat, although two
20 closely-related compounds, safrole and
21 methyleugenol, both caused cancer in rats.

22 Further evidence of estragole's
23 carcinogenic potential includes observations
24 in genotoxicity in several short-term tests,
25 DNA adduct formation *in vivo* and *in vitro*,

1 chemical-structural analogies with recognized
2 carcinogens, and a relatively clear
3 understanding of the carcinogenic mode of
4 action.

5 Thank you.

6 DR. FROINES: Could we have the lights?

7 Jim?

8 DR. FELTON: Can you summarize the
9 authoritative body findings on this compound
10 for us? What does the IARC say and --

11 DR. MCDONALD: To my knowledge, this has
12 not been looked at by an authoritative body.

13 DR. FELTON: So we'll be the first to
14 make that decision?

15 DR. MCDONALD: Right.

16 DR. EASTMOND: Tom, in the mouse dietary
17 exposure you reported, *Miller, 1983*, it's my
18 impression in looking at this, and actually, I
19 did some analysis on it, that in addition to
20 significant increase in hepatocellular
21 carcinomas, there was also an increase in the
22 vascular tumors in rats. You think they were
23 studied as well?

24 DR. MCDONALD: Only for the 1-prime
25 hydroxyestragole, is my understanding, not of

1 estragole itself.

 DR. EASTMOND: Well.

3 DR. MCDONALD: I'd have to go back and
4 look at the data.

5 DR. EASTMOND: Well.

6 DR. MCDONALD: I'd have to go back and
7 look at the data, but that's my understanding.

8 DR. EASTMOND: Well, what it comes down
9 to is, the control is zero, the low dose is
10 zero, and the high dose is 4. And actually,
11 that does come up with a sample size they used
12 in a trend test. It does give you a trend.
13 And I believe the high dose is even marginally
14 increased above background because of the
15 control frequency being zero.

16 DR. FROINES: Are there further
17 questions? The lead on this is
18 David Eastmond, so why don't we turn it over
19 to him.

20 DR. EASTMOND: Can I ask one more
21 question.

22 DR. FROINES: Sure. Why don't you just
23 go ahead, then.

24 DR. EASTMOND: Well, I've started
25 talking.

1 Can you hear me okay? Speaking like
2 this, I prefer not to lean over, if I can help
3 it.

4 This is, as indicated by Tom -- I
5 appreciate your presentation and document that
6 you provided as well.

7 In some respects, this is a compound in
8 which we have a lot of data. A lot of studies
9 have been done. Not only do we have *in vivo*
10 carcinogenesis bioassay data, we have genotox
11 data, we have metabolism work, we have DNA
12 adducts, and we have structure-activity
13 relationship data. So on one hand, it's a
14 very nice package. There are a few challenges
15 in these studies, and I thought I'd highlight
16 a couple of these.

17 These studies were done, reported in
18 three separate articles, primarily on
19 estragole itself. And these were studies
20 conducted by one research group, a very well
21 respected group, Miller and Miller out of
22 University of Wisconsin. And their focus was
23 to investigate the mechanism of
24 carcinogenesis. And it was not set up as a
25 standard testing sort of experiment.

1 And so they were trying to use
2 procedures which would give them a very rapid
3 turnaround. And so they were using
4 injections, either intraperitoneal or
5 subcutaneously into newborn mice. And while
6 that is used by a number of different
7 investigators, it's not the common sort of
8 approach. And In some cases, they were
9 injecting these mice as early as one day of
10 age, which in some ways is a challenge --

11 DR. FROINES: I would imagine.

12 DR. EASTMOND: -- technically. But what
13 is striking about this -- the other aspect
14 about this study is the doses were fairly
15 high.

16 Tom, do you have any feel, like in terms
17 of -- on how the doses relate to toxic effects
18 seen in short-term sorts of bioassays?

19 DR. MCDONALD: Well, the doses, if you
20 want to think of them in terms of milligram
21 per kilogram body weight; in the adult female
22 CD-1 bioassay by the diet, those are
23 equivalent to approximately 300 and 600
24 milligrams per kilogram per day, although
25 there's some loss due to volatilization, so

1 that those numbers are a little bit high.

2 The gavage studies for the 10 gavage
3 doses are 371 milligrams per kilogram per day,
4 although the i.p. injection is hard to -- it's
5 hard to estimate exactly what was given in
6 those, because the animal weights were not
7 recorded. But they did report in a metabolism
8 study the weight of a 21-day-old mouse as 16
9 grams.

10 So if we use that as the basis, we can
11 calculate. For example, on the day
12 twenty-second, the last dose, they're on the
13 order of say, in one case, about 47 milligrams
14 per kilogram or 30 milligrams per kilogram.
15 And in that one single dose, in other words,
16 the single-dose study, that was 111 milligrams
17 per kilogram body weight.

18 So, and the subcutaneous injection on the
19 fourth dose, that's approximately 26
20 milligrams. So those are much lower doses
21 given by i.p. and subcutaneous versus the
22 oral.

23 DR. EASTMOND: Do you have any
24 information on what sort of acute toxic
25 effects -- where you start seeing acute

1 toxicity with this?

2 DR. MCDONALD: No. I can only point you
3 to what they observed for -- well, they did
4 report in the *Miller et al, 1983*, that in the
5 newborn mouse studies, they did make a
6 statement. That is, "In the case of the mice
7 that were treated prior to weaning, the tumors
8 developed in livers that were otherwise
9 normal". And that's about all we have to go
10 on.

11 If we look at the methyleugenol NTP
12 bioassay, they used doses of zero,
13 thirty-seven, seventy-five, and a hundred and
14 fifty milligrams per kilogram per day, and
15 they didn't see really morbid animals until
16 about a thousand milligrams per kilogram.

17 DR. EASTMOND: Well, I look at this from
18 simply, what do we see in an acute LD-50. And
19 those values tend to be about 1,100 to 1,200
20 in both mice and rats. And IPC's were very
21 similar in oral exposure. So, in this case,
22 the bioassays are being conducted at levels
23 which range from probably one-third of the
24 LD-50 down to maybe one-tenth of LD-50. So
25 fairly high doses. We don't know what the

1 slope is on that line, but relatively high
2 doses.

3 And another thing to keep in mind, the
4 B6C3F1 mice has a much higher background
5 incidence of liver tumors. But never --
6 certainly reviewing the NTP data, you never
7 see these sorts of frequencies approaching 95
8 percent in one case. And certainly, within
9 this short period of time, most of these
10 studies were conducted within 10 to 12 months.
11 So in many respects, it's compelling that
12 there's tumors seen.

13 The study that I think is the most
14 consistent of the traditional sort of bioassay
15 is the one study which is a dietary study
16 conducted in female CD-1 mice. And in that
17 case, there was a strong increase that was
18 seen.

19 This was administered for, I believe, 10
20 to 12 months -- a 12-month study. And they
21 started when the animals were 8 weeks of age.
22 So it's unusual. And in this one, there was a
23 clear and significant dose-related increase in
24 hepatocellular tumors and this apparent,
25 marginal, you might call, increase in

1 angiosarcomas.

2 The reason I point this out is, the
3 primary metabolite, which is considered to be
4 on a pathway to exert genotoxic effects gives
5 a much higher frequency of these
6 angiosarcomas, as did the structural analogs
7 for safrole. So it's consistent with it
8 having a different sort of, a second tumor
9 site in this particular study.

10 Just to go on briefly, there were a lot
11 of studies on metabolites conducted.
12 Generally, the 1-prime hydroxylated estragole
13 is quite consistent and reactive and more
14 active than the parent compound, and seems to
15 feed into the mechanism that Tom alluded to.

16 There's also been -- the genotoxicity
17 data, in my opinion is more mixed largely --
18 the *in vitro* studies for certain bacteria are
19 largely negative, with the exception of one
20 where they added in the PAPS cofactor. That's
21 in some ways to be expected, because you have
22 a multiple step stage of metabolic activation,
23 and it's not likely that all these steps would
24 be existing in the particular cell line.

25 When you use cell lengths which would be

1 more competent such as liver cells, it was
2 seen in numerous kinds of unscheduled DNA
3 synthesis that would indicate DNA damage.
4 There are DNA adducts which have been observed
5 in these mice that have been characterized in
6 a series of these adducts. In addition, there
7 are really some striking similarities between
8 the effects seen with this compound and
9 safrole, which is structurally very similar to
10 methyleugenol.

11 So my evaluation of looking over the
12 data, kind of summary overview is, I think I
13 would lean -- again, this is one that has some
14 judgement required -- the consistent increase
15 of hepatocellular carcinomas up to very high
16 frequencies seen, which are much higher than
17 seen in historical controls, with the addition
18 of the genotoxicity information and the
19 mechanistic structure-activity relationship
20 really has me lean towards giving a clear --

21 DR. FROINES: Jim?

22 DR. FELTON: Dave, in the newborn mouse
23 studies, it looks like the female was
24 resistant to the tumors. Is there an
25 explanation for this? And why, when they did

1 the feeding studies starting at, you said, 8
2 weeks, it looked like the female was positive.
3 But as a newborn, it looks like it's pretty
4 consistent.

5 DR. EASTMOND: I'm not aware of any
6 explanation. That was something unusual in
7 the first study. It was reported in *Miller et*
8 *al, 1983*. There was no effect seen in the
9 female mice. However, I think they
10 followed-up in the Geizer study because of
11 that. But I don't know why.

12 DR. FROINES: The sacrifices in the
13 female newborns are at (inaudible) 14 months.

14 DR. EASTMOND: One of the arguments is
15 that they didn't save them all.

16 DR. FROINES: Right. We have two studies
17 at 14 months in the CD-1 mice.

18 DR. EASTMOND: Joe?

19 DR. LANDOLPH: I was very impressed
20 looking at the structures which were lined up
21 so nicely in this document between estragole
22 and safrole in a hydroxylation at that one
23 prime position. And then a sulfation of that
24 and the release of that would generate
25 carbonium ion, which could be resonant

1 stabilized, both by the benzene ring and the
2 allylic double bonds. So those compounds look
3 like they would be metabolized very similarly.

4 And the fact that the estragole is
5 metabolized in the rat and the mouse and the
6 human to 1-prime hydroxyestragole, that
7 condition further convinces me that there's a
8 chemical similarity between these two
9 compounds in terms of carbonium ion generation
10 and adduct formation.

11 So that, with all the other data that was
12 listed, pushes me further in a direction of
13 listing, particularly because safrole is
14 listed already. And these compounds are so
15 similar.

16 DR. FROINES: I think you'd like for me
17 to make a comment about that.

18 You have an epoxy group in a
19 para-position as well, which is going to
20 donate electrons to further stabilize that
21 carbonium ion. So that I think you're point
22 is well taken.

23 DR. SPANGLER: I think that this is all
24 real interesting science, but I'll have to
25 dissent again, and say that, you know, there's

1 a massive amount of data here, and I think
2 it's all really interesting. But for me to
3 say that this compound has been clearly shown
4 to cause cancer when it is only causing tumors
5 or an increase of common tumors in one
6 species, and in some cases, one sex, I think
7 this just does not rise to the occasion to be
8 classified as to be clearly shown. I can't in
9 good conscience go and say this compound has
10 been clearly shown to cause cancer.

11 I'm thinking not in mice, I mean this
12 compound has been clearly shown to cause
13 cancer in mice, but we're here to try to, to
14 try to make some judgement about whether this
15 is going to be reasonably expected to cause
16 cancer in people. Because this is what it's
17 all about.

18 This compound has caused tumors in mice
19 only. It was given to rats. And there
20 weren't as many studies. You wouldn't imagine
21 there would be as many studies. It doesn't
22 take but one or two negative studies and
23 people are saying, "We're not going to spend
24 our money shooting this stuff into rats,
25 because it doesn't cause cancer".

1 The sensitivity of these assays have just
2 been increased out of proportion to anything
3 that we're used to dealing with. And if we
4 accept these kinds of assays as evidence that
5 this material causes cancer, is apt to cause
6 cancer in people, then I think we've
7 re-defined the interpretation of bioassays,
8 here. So I just can't in good conscience say
9 that I think that there's sufficient evidence
10 to say that this has been clearly shown to
11 cause cancer in the context of what we're here
12 to do.

13 DR. EASTMOND: It's my knowledge that
14 estragole itself has not been tested in rats.
15 Some of the metabolites were tested, but
16 estragole itself has been tested.

17 DR. FROINES: And the findings --

18 DR. EASTMOND: But the metabolites were
19 negative. And no increase was seen, using the
20 same *Miller et al*, 1983 data. There were no
21 significant increases in tumors seen during
22 the period that followed that.

23 DR. FROINES: Does the panel have further
24 comments?

25 DR. FELTON: I'd just like to reiterate

1 what Joe said. The structure activity stuff
2 here is about as strong as it gets. I mean,
3 it's going through the same metabolic steps
4 forming the adducts. And the safrole we've
5 already been convinced is a carcinogen. There
6 seems to be just such close similarities to
7 this compound and everything about it, that it
8 seems pretty hard to believe that this isn't
9 going to give you the same results as the
10 safrole, although as we know, safrole, if I
11 remember, is positive in rat tumor study. The
12 question is why isn't this one. I don't know
13 the answer.

14 But from all the mechanistic data and the
15 structure activity data, it looks like it's
16 the same basic pathways and the same result in
17 that you get DNA adducts.

18 DR. FROINES: Joe?

19 DR. LANDOLPH: It is a problem. I agree
20 with Bill to a certain extent. This data
21 wasn't set up, as Dave pointed out, through
22 bioassays. It was a mechanistic study by
23 Jim Miller's group on an NIH grant, which I'm
24 sure were funds limited, and they were under
25 pressure to get results.

1 I was a little bit bothered that a lot of
2 the studies were i.p. injection. I agree that
3 that is not the best way to test the stuff. I
4 did notice in that Table 2 that that was a
5 feeding study. And it was pretty positive, up
6 to 56 percent of the mice, and 71 percent of
7 mice get hepatocellular carcinomas. That's
8 the age-old controversy about that endpoint
9 being all too frequent in mice. But I guess
10 that's what you're going to -- you just have
11 to try and integrate that data and make a
12 decision as best you can.

13 I certainly see a lot of positives here.
14 So I don't see zeros in tables. So that's
15 adding to the weight of evidence, in my
16 opinion, with the qualifications Bill
17 mentioned.

18 DR. FROINES: I think there's some
19 comments.

20 Jay Murray.

21 DR. MURRAY: Thank you. I'm Jay Murray.
22 I'm here on behalf of the Flavor and Extract
23 Manufacturer's Association, or FEMA, which is
24 the U.S. Trade Association of the flavor
25 industry.

1 Most of you have weighed in on this
2 substance already. So I hope you keep an open
3 mind as you listen to what I have to say on
4 this. You should have also received written
5 comments from me earlier on this subject. And
6 I'm going to try not to repeat things that
7 you've already heard. I think Dr. McDonald
8 did a fine job of describing the data to you.

9 He did describe estragole's uses. It is
10 a flavoring substance that occurs naturally in
11 foods and spices. There are a few that he
12 left out, so I'll read my list: Anise, basil,
13 fennel, licorice, nutmeg, oregano, rosemary,
14 sage, and tarragon. Sounds like an old Simon
15 and Garfunkel song.

16 Estragole is not an unwanted contaminant
17 in these foods. Estragole is what gives a
18 number of these spices their characteristic
19 taste. So, you know, probably chemically,
20 there's a way to remove estragole from basil,
21 but it isn't going to taste like basil
22 anymore.

23 People all over the world consume large
24 quantities of estragole in foods and spices
25 with no known or suspected carcinogenic

1 effect. Many Italian foods contain relatively
2 high amounts of estragole; for example, pesto,
3 which is ground-up basil, pizza, which is
4 seasoned with a number of spices like oregano,
5 which contain estragole.

6 Interestingly, 99 percent of human
7 exposure to estragole is exempt under
8 Proposition 65 because it is naturally
9 occurring in a food. It's only 1 percent of
10 human exposure to estragole which is
11 attributed to its use as a direct flavor
12 ingredient which is not exempt under
13 Proposition 65.

14 You already have discussed the animal
15 evidence. You know it's limited to an
16 increase in tumors in one species, the mouse,
17 in studies from one laboratory. You've
18 already commented on the fact that all of the
19 studies come from a single laboratory. It's
20 McArdle. The work was done by the Millers,
21 well respected. But your proposed criteria
22 also underscores the importance of having data
23 in studies from more than one laboratory.

24 More importantly, and certainly, you've
25 already mentioned this, these studies were of

1 an unconventional design, and I would contend
2 don't represent scientifically valid testing,
3 which is part of your criteria in determining
4 whether you recommend this for listing.

5 I have detailed a lot of the problems
6 with these studies in my written submission.
7 I'm not going to go back through all that.
8 Some of them, a number of you have already
9 mentioned here -- let me just highlight a few
10 just by touching on them:

11 Massive doses by intraperitoneal or
12 subcutaneous injection, no attempt to define a
13 MTD, in many of these studies, only a single
14 dose level used.

15 There was an apparent tolerability
16 problem, which caused them to have to re-up
17 the dose as they were doing it. Someone
18 mentioned the dosing at post-natal day one,
19 intraperitoneally. If you've ever tried to
20 dose a one-day-old mouse intraperitoneally,
21 it's not easy. And regulatory agencies do not
22 recommend that kind of design. For early
23 studies, they'll recommend starting dosing in
24 weanlings, which is usually around day 21.

25 No reporting of standardized survival

1 rates, no historical controls, no consistent
2 classification of tumor types: For example,
3 in one of the studies, it's unclear whether
4 these were benign or malignant tumors. They
5 were described as hepatomas types A and B.
6 The only clear statement about the basis for
7 classification of hepatomas in that study is
8 that they must be at least two millimeters in
9 diameter.

10 There are many more weaknesses in these
11 studies that lead me to consider that it's not
12 scientifically valid testing.

13 One piece of information which
14 Dr. McDonald did not include, which I think is
15 important for you to know -- and I apologize,
16 Tom, if you covered it and I missed it -- NTP
17 is planning to do a bioassay on estragole.
18 Because of the limitations in the existing
19 studies, NTP recently decided to conduct a
20 state-of-the-art carcinogenicity study on this
21 compound. And according to NTP's Management
22 Status Report, which you can read on their web
23 site, it is currently in a group of chemicals
24 designated as chemicals with project leader
25 assigned study in design.

1 So the study hasn't started. I was told
2 by a top-level scientist at NTP that the
3 existing studies are considered inadequate for
4 any regulatory agency to take action. It's my
5 understanding that NTP believes the dose
6 levels were too high in the old Miller
7 studies. And that's one of the reasons why
8 they want to do a bioassay.

9 Also, prior to conducting a bioassay, NTP
10 plans to conduct a 90-day study to select
11 proper dose levels for the bioassay. So the
12 thing you need to ask yourselves is, if
13 estragole has been clearly shown to cause
14 cancer or if it has been adequately tested by
15 current standards, does it make sense that NTP
16 would be putting this on the list of
17 substances to perform a bioassay?

18 And I agree with something that
19 Dr. Froines said earlier. He talked about the
20 low-hanging fruit. And you're going to see
21 fewer and fewer studies coming on these
22 agendas where you have an NTP study. This is
23 one of the exceptions. This is one where you
24 are going to have an NTP study.

25 I would encourage you to think about

1 waiting for that NTP study. Another question
2 that Dr. Felton asked was had other scientific
3 or regulatory agencies weighed in on this. No
4 scientific or regulatory agencies ever
5 classified this compound as a carcinogen. It
6 has not been classified by IARC, EPA, NTP,
7 NIOSH. FDA has it on its GRAS list of food
8 additives.

9 So if you were to determine that
10 estragole is clearly shown to cause cancer,
11 this would be the first time that estragole
12 was classified and regulated as a carcinogen,
13 to the best of my knowledge, anywhere in the
14 world.

15 So my conclusion is that I recommend you
16 postpone consideration of estragole until the
17 results of the NTP bioassay are available so
18 that you can consider them. You know you will
19 have scientifically valid testing when NTP
20 gets done with this thing.

21 The current evidence is limited to
22 studies in one species, one lab. It's not
23 scientifically valid testing by today's
24 standards. You know that the public health
25 consequences of not listing it would be

1 insignificant because 99 percent of human
2 exposure is exempt anyway, because it's
3 naturally occurring in foods. So the public
4 health consequences of waiting until a
5 scientifically valid test is available from
6 NTP are virtually nil.

7 So unless you're certain that estragole
8 has been clearly shown through scientifically
9 valid testing according to generally accepted
10 principles to cause cancer, you should wait.
11 And you should wait for the NTP study.

12 Thank you.

13 DR. LANDOLPH: You know, Jay, I read your
14 report. It's a very nice summation. It
15 struck me very interesting, because I think,
16 you know, this opens the flood gates. This
17 obviously -- I think this compound is probably
18 an example of plant/animal warfare. It's a
19 biocide that plants manufacture, most likely
20 that's not even really substantiated. I bet
21 there's a lot more out there. So we should
22 give some thought to this one as well.

23 But I'm struck by how similar this is to
24 safrole, which is a strong carcinogen and has
25 been listed.

1 DR. FELTON: You know, this is an
2 interesting -- I mean, Dr. Miller is the
3 grandfather of all chemical carcinogenesisists
4 in the world. If you look at the people doing
5 the work, they all turn to this man.

6 On the other hand, though, I have to
7 agree that these studies were not done as
8 standard carcinogen testing protocol. These
9 were done to look at mechanisms of the action
10 of these chemicals, as was said. And the
11 evidence -- since this is a dietary
12 carcinogen, you want to see good dietary
13 studies.

14 And newborn mouse experiments are really
15 great when you're compound limited and you've
16 just synthesized it in your lab, and you don't
17 want to waste it, etc.

18 So the amount of evidence here in the
19 mouse is not that much. If you really look,
20 it's just the feeding study. Unless it's done
21 under standard protocol, and it -- this is a
22 tough call. But, you know, Dr. Miller's lab
23 is as good as they get, back when he did these
24 experiments. But, as we said, it's not done
25 as a standard cancer assay.

1 DR. FROINES: The irony, however, of
2 course, is that the studies we do now are 52
3 weeks, at least. And we would be very
4 critical if somebody walked in here and said,
5 "We've just done a study and sacrificed the
6 animals at 14 months and found negative
7 results". We would end up saying the negative
8 results may have occurred because of the
9 short-term sacrifice.

10 So we may look at this data and say it's
11 limited. It's hard to say that the Millers
12 did anything that was limited. But I think
13 it's a good point. And I think that the -- we
14 should also ask ourselves, how do we consider
15 the findings we have here in terms of the
16 other data that we're comparing it to; for
17 example, the DNA adducts and the genotoxicity
18 and the structure activity.

19 I think that the important thing that we
20 have to get ourselves into is looking at the
21 whole picture, not pieces of the picture. I
22 think we have to be careful not to get put in
23 little boxes and little cubby holes. And we
24 have to look at this compound and all the
25 compounds in terms of the totality of the data

1 that we can draw a decision from.

Dave?

3 DR. EASTMOND: I thought that Jay had
4 some very good points. I mean, as I reviewed
5 through this, you know, you have to deal with
6 this and say what is a valid sort of study?
7 Do you consider this adequate?

8 My focus came down to saying that eight
9 weeks -- this dietary study in the female mice
10 was the one that seemed to be the most
11 standard. And the others were kind of add-on
12 evidence on that. And that's really what I
13 have focused on.

14 But he's correct when you look and say
15 these are quite high doses. We don't know
16 exactly how high, because there wasn't
17 information prepared. But it does appear that
18 they're well within, you know, certainly
19 within an order of magnitude, or probably much
20 closer to that of the LD-50 values.

21 So you're pushing acute toxicity and
22 saying this is a chronic study. The wording
23 is somewhat difficult to figure out exactly
24 how many animals were actually started and,
25 you know, how many survived to 10 months or 12

1 months.

2 But when I looked at it and thought -- if
3 you put this context -- what I'm saying --
4 here is a situation where you have, certainly,
5 dose-related increases in one tumor type,
6 possibly a second. You have all these other
7 studies which are supportive. You have
8 structure-activity relationship information
9 from very similar compounds. You have DNA
10 adduct information. You certainly get the
11 whole picture, for me, that this, you know,
12 shifted the weight in one direction for me.

13 That's not to say there is some -- I
14 mean, I do look forward to hearing more about
15 the NTP bioassay that goes forward.

16 Apparently it is moving forward. One
17 difficulty we'll face is the NTP is under
18 increasing pressure to cut costs and reducing
19 chemicals and trying to move to transgenic
20 animal bioassays. And they will be a real
21 challenge for this committee, as much as any
22 of these older studies as well.

23 DR. FROINES: Going back to the Staff,
24 Dave, the argument about high doses -- the
25 disadvantage of growing older is that you

1 begin to hear the same arguments every time
2 you hear about a chemical. And the high dose
3 argument is one we have all heard with every
4 chemical from the time we started in this
5 field.

6 So, sometimes it's valid, and sometimes
7 it's not. And we all have to try and make
8 judgements about the high-dose argument. And
9 so my question is, what do we know about
10 survivability at those high doses? What do we
11 know about toxicity at those doses? What is
12 the actual evidence beyond the ideological
13 point about the high-dose issues?

14 I'm asking the State, Jim.

15 DR. MCDONALD: Yeah. The evidence that
16 we do have -- let's focus on the dietary study
17 at 10 months. Excuse me. It goes for 12
18 months. But they reported evidence of
19 survival to 10 months.

20 In the low dose and high-dose groups it
21 was 98 and 96 percent of the animals were
22 still alive at 10 months, almost completing
23 the entire dosing cycle. That's one line of
24 evidence that the MTD may not have been
25 exceeded.

1 On the other hand, we have body weight
information that the body weights were reduced
3 in these doses.

4 DR. FROINES: Do you happen to know what
5 percentage?

6 DR. MCDONALD: From memory, I think it's
7 about 50 percent reduction at the 10-month
8 timeframe.

9 DR. FROINES: 50 percent reduction? I
10 don't think so. They would sacrifice animals
11 if that was the case.

12 DR. FELTON: I don't remember for sure,
13 but I think 20 to 30.

14 DR. MCDONALD: 20 to 30 percent?

15 DR. FROINES: At UCLA, if we have a 20
16 percent drop in rate, we euthanize the
17 animals. We don't get to go below 20 percent.
18 In a mouse that's 50 grams, that's -- 20
19 percent of 50 grams is a pretty significant
20 reduction. So that I think this is a point
21 that needs -- it would be better if we had
22 better data on it.

23 Jim, you think it was 20 to 30?

24 DR. FELTON: Yeah. But I'm not positive.

25 DR. FROINES: We'll hold you to it.

1 Joe?

2 DR. LANDOLPH: Yeah. I was looking at
3 Table 2, and one thing listed was those
4 feeding studies. And the question I was
5 interested in was, how potent is estragole
6 compared to safrole? And there's no dose
7 response group here. I wish there was.

8 DR. FROINES: What table are you on?

9 DR. LANDOLPH: It's called Table 2 on
10 page 7. And the two doses of estragole that
11 are tested are very close to the doses of
12 safrole that are tested. And you're getting
13 between 56 and 71 percent tumors for estragole
14 and 72 and 80 percent tumors for safrole. So
15 they're comparable. And we know safrole is a
16 pretty strong carcinogen.

17 And then the other thing is that
18 1-hydroxyestragole, which is the hydroxylated
19 form, is also giving about 56 percent tumors.
20 So A, those are strong responses, and B, the
21 safrole and the estragole are comparable. But
22 it's only one point. We don't have a dose
23 response. That's the way it is.

24 And the other one called Table 1 on page
25 6, if you look in the males for estragole and

1 safrole, you're getting similar numbers in
2 tumors; 36 for estragole and 30 for safrole in
3 males, and 4 for estragole and 6 for safrole
4 in the females. So that's both sexes. And
5 you're getting comparable numbers. So it's
6 certainly not weak compared to safrole. It
7 says it comparable, approximately.

8 DR. MCDONALD: Just to clarify; it's not
9 total weight. It's 50 percent reduction in 8
10 months in weight gain. So I don't have the
11 absolute weights of the animals. And at 4
12 months, it was a slight difference. At 8
13 months, it's about a 50 percent difference in
14 weight gain.

15 DR. SANDY: The controls gained 8.1 grams
16 per mouse at 8 months. And the highest dose?

17 DR. MCDONALD: The controls at 8 months
18 gained 8.1 grams versus the high-dose
19 estragole gained 8.3 grams at 8 months. So
20 that's the data that we have.

21 DR. FROINES: So there was some sign of
22 toxicity.

23 DR. EASTMOND: Also, there's evidence of
24 toxicity. It talks about it in the pathology.
25 It talks about the chronic damage in the

1 livers. You are seeing liver toxicity in
2 addition to the cancers. That's not
3 particularly surprising. It's mentioned in
4 the pathology description. If you want to
5 make it -- histologically, these livers
6 combine for safrole, 1-prime hydroxyestragole
7 and estragole show various degrees of chronic
8 inflammation, total fibrosis, bile duct
9 proliferation, various (inaudible).

10 DR. MCDONALD: Just to add to that, in
11 the methyleugenol NTP bioassay, in all those,
12 they had similar observations in the livers of
13 those mice.

14 DR. FROINES: Do we know what happened to
15 with safrole?

16 DR. EASTMOND: (Inaudible.)

17 DR. FROINES: So that they're seeing
18 liver toxicity in safrole as well.

19 Does anybody want to make a motion?
20 Well, shall we vote, then? I don't think we
21 need a motion every time we take a vote.

22 DR. LANDOLPH: The primary reviewer is
23 not going to make a motion?

24 DR. EASTMOND: Give me just a second.

25 I move that we list estragole as a

1 Proposition 65 chemical showing clear evidence
of cancer.

3 DR. FROINES: I'm going to follow the
4 language that's been developed that comes out
5 of the statute.

6 Please indicate by a show of hands if in
7 your opinion estragole has been clearly shown
8 through scientifically valid testing according
9 to generally accepted principles to cause
10 cancer.

11 The record will reflect there were -- Oh.
12 I'm going too fast. How many of you raise
13 your hands? 5.

14 Please indicate by a show of hands if in
15 your opinion estragole has not been clearly
16 shown through scientifically valid testing
17 according to generally accepted principles to
18 cause cancer.

19 One.

20 How many abstentions? One?

21 So the vote is 5, 1, 1. 5 In favor, 1
22 against, 1 abstention.

23 And let's take a 10-minute break so we
24 can integrate Tom into this process now that
25 I've done all the hard work.

1 (Whereupon a ten-minute break
was taken.)

3 DR. MACK: All right. Let's go on to the
4 next compound on the list, trichloroacetic
5 acid.

6 Dr. Landolph? Where did he go?

7 DR. LANDOLPH: Over here, on your left.

8 DR. MACK: There he is.

9 Andy, are you ready?

10 DR. SALMON: Okay. Well this is the
11 presentation on Trichloroacetic Acid. It's
12 structure is shown on the first slide, here.
13 If I could have the next slide, please.

14 The -- is that better? Thank you.

15 Trichloroacetic Acid has uses as a
16 synthetic intermediate in the chemical
17 industry, and also minor uses, in quantitative
18 terms, as a medication and a reagent. There
19 was a former use as a selective herbicide;
20 however, this is apparently no longer the
21 case. The most recent registration was
22 cancelled in 1992. However, there is another
23 important source of public exposure to
24 trichloroacetic acid. It's one of the major
25 by-products of water chlorination for

1 disinfection purposes.

2 If I could have the next slide, please.

3 Concentrations of trichloroacetic acid in
4 drinking water have ranged -- quite a
5 considerable range -- in one study, 4 to 103
6 micrograms per liter. It's formed with
7 various other products, including other
8 chloroacetic acids and halomethanes by
9 reaction of chlorine or hypochloride with
10 organic substances in water.

11 In addition to disinfected drinking
12 water, it's also found in other situations --
13 and one, which obviously results in quite an
14 important public exposure -- is the use of
15 chlorine for disinfection of swimming pools.

16 All right. Can I have the next slide,
17 please.

18 The carcinogenicity data that we have to
19 consider -- there are no data for exposure of
20 humans. We could find no epidemiological
21 studies or case reports. However, there are a
22 number of bioassays which are being described.
23 Trichloroacetic acid appears to be a
24 hepatocarcinogen in the mouse and --

25 If I could have -- Could you put this

1 slide aside and go to the next one please.

2 This is a summary of the studies that we
3 have to consider. I will point out that some
4 of these studies were in fact designed not as
5 simple classic bioassays, but rather as
6 studies designed to look at tumor promotion
7 effects as well as the tumor induction
8 effects.

9 This has resulted in some of their study
10 designs being somewhat different and reporting
11 also being somewhat different than what you
12 expect for a standard bioassay. So I'm going
13 to concentrate on describing the tumor
14 induction effects. Also, I do have all the
15 details of the actual individual study
16 results here. But in order to save your time,
17 and obviously, those results appear in the
18 report, I'm just going to talk to this summary
19 for this presentation.

20 We have a group of studies in the B6C3F1
21 mouse. And in general, these have found a
22 dose-dependent induction of hepatocellular
23 adenoma and carcinoma. This has been observed
24 in both male and female mice, and by several
25 different groups of investigators.

1 There's also one study in the Fisher rat
2 in which no increases in tumor incidence were
3 observed. I don't know whether the panel
4 members want to discuss individual studies at
5 this point, or shall I proceed with this and
6 you can call for the details later?

7 Okay.

8 (Panel motioning Dr. Salmon continue.)

9 Could you go to slide No. 12, please,
10 Martha.

11 This is a brief summary of the findings
12 in tumor initiation promotion. The studies
13 include several which were described
14 previously for the tumor induction side of
15 things. But basically, the observation is
16 again, in both male and female mice, there is
17 induction of either hepatocellular tumors or
18 in the case of some of these studies, they
19 were actually looking at foci of altered
20 hepatocytes, particularly ones which are
21 distinguished either by eosinophilic or
22 basophilic staining, or by induction of
23 histochemical markers such as the gamma
24 glutamyl trans peptidase.

25 The other observation which we have here

1 is the study in rats. These were the male
2 Sprague-Dawley rats. There was, actually, a
3 positive finding promotion of the gamma GT
4 positive liver foci.

5 All right. Could I have the next slide,
6 please.

7 To summarize, we have multiple,
8 independent studies in a single strain, which
9 is the B6C3F1 mouse, and the finding is of
10 liver adenoma and carcinoma. Basically, all
11 the studies were positive. There's one
12 marginal result in the female mouse. But the
13 study authors actually think that the reason
14 that they didn't see a significant increase
15 was because they terminated the study early.

16 And so the observation is in both sexes.
17 On the other hand, in rats, there is a single
18 study in which no carcinogenic effect was
19 observed.

20 If I can have the next slide.

21 The genotoxicity, in fact, most of the
22 results which are being reported, are
23 essentially negative. We have negative on
24 bacterial mutagenicity in particular. A
25 couple of positive reports on mammalian cells

1 *in vitro*. One of these was a very weak
2 response, according to the authors.

3 And there is some question as to whether
4 some of the effects which are being observed
5 in this test system were actually an effect of
6 the pH, because they added buffered
7 trichloroacetic acid to the cells in one
8 experiment. And obviously, this is a highly
9 acidic compound. The effect went away when
10 the TCA was buffered back to neutrality.

11 There are some positive reports in
12 mammals *in vivo*, primarily chromosomal
13 effects. However, some of these are rather
14 inconsistent and/or appearing at high dose
15 only.

16 May I have the next slide, please.

17 Considering the genotoxicity, in
18 particular, effects on oncogenes and DNA,
19 there are some indications of DNA strand break
20 induction. And several experiments describe
21 this effect. And it does appear that mice are
22 more sensitive than rats to this effect.

23 There was one report of some oxidative
24 DNA damage occurring, although a subsequent
25 follow-up investigation of this failed to find

1 the effect. So this is an inconsistent
2 finding. Several investigators have looked at
3 the effect on proto-oncogenes, and
4 oncoproteins. There appear to be consistent
5 changes which are characteristic of tumors
6 induced by trichloroacetic acid. And one in
7 particular finding of interest is that
8 dichloroacetic acid, which is also a mouse
9 hepatocarcinogen, appears to produce a
10 different spectrum of proto-oncogene
11 modifications from that seen with TCA.

12 Studies of DNA synthesis have also shown
13 an increase in DNA synthesis.

14 DR. FROINES: Could you say more about
15 that? (Inaudible.)

16 DR. SALMON: Okay. I'll refer to the
17 written report, the full report here. The one
18 study, *Ferreira-Gonzalez and colleagues*,
19 evaluated RAS mutations. And they compared
20 spontaneous hepatocellular tumors and TCA and
21 DCA-treated mice. And they were looking at
22 codon 61 RAS gene mutations. And they
23 occurred similarly in the spontaneous tumors
24 and in the TCA-induced tumors -- or, the
25 DCA-induced tumors. The mutational spectrum

1 was similar. The actual mutations at this
2 codon were similar for spontaneous tumors and
3 TCA-induced tumors, but it was different for
4 DCA-induced tumors.

5 There was also a study of mutations in
6 the c-jun and c-fos oncoproteins. This was
7 actually using an immunochemical assay. And
8 in this case, the DCA-induced liver tumors
9 were immuno-reactive to anti-c-jun and
10 anti-c-fos antibodies. However, the
11 TCA-induced tumors did not show
12 immuno-reactivity to either of those
13 antibodies. And then, there was also a study
14 of TCA-promoted tumors. The initiator here
15 was N-methyl-N-nitrosourea.

16 DR. FROINES: Why don't you go ahead.

17 DR. SALMON: Okay. Yeah. If you want
18 more details, it's in the big script.

19 So, perhaps I could have the next slide.

20 For structure activity comparisons, I've
21 mentioned now, at perhaps more length than I
22 initially expected, the fact that
23 dichloroacetic acid is also a liver
24 tumor-inducing agent in mice. An experiment
25 with monochloroacetic acid was not positive.

1 However, the authors did comment that because
2 of the severe toxicity of this compound, it's
3 possible that this experiment, at least the
4 mouse experiment, wasn't necessarily an
5 adequate test of the possible carcinogenic
6 effect of that acid.

7 Another point which is of interest,
8 perhaps worth noting, is that trichlorethylene
9 and perchlorethylene are both metabolized to
10 various compounds, including trichloroacetic
11 acid. They are both identified as carcinogens
12 for the purposes of Proposition 65. And the
13 tumors which those compounds induce include,
14 although not necessarily are restricted to,
15 the hepatocellular adenomas and carcinomas,
16 which are discussed in the case of TCA.

17 If I can have the next slide.

18 One of the very considerable issues for
19 discussion with this compound has been the
20 mechanism by which the observed tumorigenic
21 response in mice is produced. Obviously, one
22 question is, is this a genotoxic or DNA
23 reactive type of mechanism?

24 And the experimental data for this
25 proposal include the observation of some

1 clastogenic effects in the mammals *in vivo*,
2 and also the observations of DNA strand
3 breakage. And if you believe the oxidative
4 damage finding, then that would be in this
5 category too.

6 Against this proposal, most of the
7 genotoxicity results, including the sorts of
8 classical studies, which are easier to
9 interpret, are negative. And the few positive
10 findings, by and large, are somewhat equivocal
11 or inconsistent. There's no reason, looking
12 at the chemistry of trichloroacetic acid, to
13 think that it would be intrinsically reactive
14 to DNA, nor is there any evidence of
15 metabolism to a reactive intermediate. So I
16 think the consensus in the scientific
17 literature appears to be that whatever the
18 mechanism is, it probably does not involve
19 direct reactivity to DNA.

20 If I could I have the next slide, please.

21 So considering the options for a
22 so-called non-genotoxic mechanism, I have to
23 put that in inverted commas, because of
24 course, we've already discussed the fact that
25 there are genetic changes in tumors induced by

1 TCA. But nonetheless, this is the popular
2 terminology for a mechanism which doesn't
3 involve a direct modification of DNA by the
4 compound or its metabolites.

5 Peroxisome proliferation has been
6 observed in rodents exposed to TCA and DCA.
7 It's considerably more marked in mice than in
8 rats. On the other hand, even in mice, the
9 effect observed has not been a large one, even
10 in comparison -- well, particularly in
11 comparison, with the things clofibrate and
12 wyeth, whatever the number is, the classic
13 hyperlipidemic drugs, which are well known as
14 rodent carcinogens and peroxisome
15 proliferation inducers.

16 Although, clearly, you know, this is a
17 phenomenon which is observed, I think there
18 are some significant questions as to how
19 significant it is as explanation of the
20 observation of tumor induction. In
21 particular, we are seeing peroxisome
22 proliferation, but the tumorigenic effects are
23 different between DCA and TCA. So obviously,
24 there is some other factor involved besides
25 this process, which is resulting in

1 substantially different effects at the
2 oncogene level.

3 Also, the reports of DNA oxidative
4 damage, which would be one mechanism which
5 implicates the peroxisome proliferation
6 process, are in fact not substantiated. I
7 think the conclusion here is that clearly,
8 peroxisome proliferation does occur, but that
9 its actual role in TCA carcinogenesis is not
10 established. And whether that's a
11 contributory role or a primary role is simply
12 unknown. But it doesn't look as if it's by
13 any means the only process that needs to occur
14 in order to produce the observed result.

15 If I can see the next slide, please.

16 Further proposed mechanisms typically
17 have involved the observation of enhanced cell
18 proliferation. It's been suggested that this
19 may be simply a result of cytotoxicity,
20 whereas there is cytotoxicity in the liver
21 observed particularly in the highest doses
22 used in the bioassays.

23 And there is observation of enhanced cell
24 proliferation. The extent of that enhancement
25 of proliferation is probably not sufficient

1 alone to explain the tumor formation as a
2 result of amplification of background mutation
3 rates. And I think there's also a material
4 question as to whether this is a cause or an
5 effect, if we're discussing the causation of
6 the tumorigenic response.

7 So if that proliferation enhancement
8 isn't a primary explanation, then we're
9 basically left with consideration of some
10 other growth regulatory effect on the
11 hepatocytes. This may be a good explanation,
12 except there really isn't enough detail to
13 evaluate any specific proposals in this area.
14 We simply don't know what's going on. So I
15 think our overall conclusion has to be that
16 there's insufficient information to determine
17 and characterize the mechanism of action.

18 If I can have the summary slide, here.

19 So to summarize, there is animal evidence
20 for carcinogenicity, positive in both sexes,
21 one strain of the mouse, multiple experiments;
22 also tumor promotion in both rat and mouse
23 livers, although negative in one study in the
24 rat. So in isolation, the usual criteria that
25 we recommend, this would be considered

1 sufficient evidence of carcinogenicity in
2 animals.

3 However, the other issues for you to
4 consider are the weak evidence for genetic
5 toxicity, much of it being negative or
6 equivocal, and the mechanistic arguments which
7 have being raised against the human relevance
8 of the finding, although there is no clear
9 proof of mechanism. And also, I think, you
10 know, the question which we would be looking
11 to the Committee for direction on is whether
12 this is something that we would be considering
13 at the dose response assessment stage rather
14 than during the identification phase.

15 And this is the end of what I have to
16 say.

17 DR. MACK: Thanks, Andy.

18 You want to go ahead, Joe?

19 DR. LANDOLPH: Yes, please. Could I
20 start by asking Andy a couple of questions?

21 DR. MACK: Yeah, please.

22 DR. LANDOLPH: In each of the
23 experiments, did you look for a dose response,
24 and what were your conclusions on those?

25 DR. SALMON: Well, the experiments --

1 most of the larger experiments -- did include
2 several dose levels. And yes, there is an
3 apparent dose response. It's not one of those
4 things where you have 3 or 4 dose levels, and
5 nothing happens, and then it's just the high
6 dose. That is not the observation.

7 I don't know whether you want the slide
8 up, but we could, for the sake of argument,
9 look at the slide No. 8.

10 DR. LANDOLPH: It would be good to see
11 it.

12 DR. SALMON: If you could just put that
13 up -- this is the DeAngelo and Daniel -- I'm
14 afraid the report here, which is extracted
15 from an internal U.S. EPA report, isn't
16 actually quite as detailed as you might have
17 hoped to see. But they reported percentage
18 increases in tumors. And what I'd like to
19 draw your attention to is in the Experiment 1.

20 We have, in fact, controls in three dose
21 levels of TCA in drinking water. And the
22 control incidence for these male mice was 13
23 percent, which is not particularly unusual for
24 this strain of mouse. There wasn't a
25 significant increase at the lowest dose of

1 TCA. But at .5, we were seeing a 40 percent
2 incidence of the tumors. And at the top dose,
3 5 grams per liter in drinking water, we were
4 seeing 55 percent incidence. So these are
5 substantial in dose-related increases.

6 And the various other experiments are
7 somewhat, you know, where they presented an
8 experimental design that would address that
9 question, they found somewhat similar
10 findings.

11 This is probably -- the work by DeAngelo
12 and Daniel is probably the most comprehensive
13 study from the point of view of a
14 bioassay-type design as opposed to being an
15 initiation promotion study, which happened
16 also to report tumor induction.

17 DR. LANDOLPH: And a question about this
18 data. Certainly the 5 grams of TCA is a high
19 dose for TCA.

20 DR. SALMON: It's a substantial dose,
21 yes.

22 DR. LANDOLPH: And the question was, was
23 there any overt toxicity, liver toxicity?

24 DR. SALMON: At the highest dose, yes.
25 There is histopathological evidence of damage

1 in the liver at that highest dose. But it's
2 obviously reduced or minimal at the lower
3 doses.

4 DR. LANDOLPH: And at the next highest
5 dose, where you also have a significant
6 increase, was there frank toxicity there as
7 well?

8 DR. SALMON: I believe there was some.
9 I'm just -- I think it was -- I think it was
10 less noticeable, certainly. Allow me to -- I
11 was looking to see whether I'd included that
12 in the summary. But my recollection from
13 reading the report is that basically, by the
14 time you get down to the .5 dose, I won't say
15 that there's no toxic effects, but the frank
16 toxicity and the necrosis, which you were
17 observing at the highest dose is not
18 observable to the same extent.

19 DR. LANDOLPH: And in the other -- Geez,
20 there's approximately 8 studies here. In the
21 other 7 studies, were there dose responses in
22 those?

23 DR. SALMON: In the cases where they
24 included multiple treatment dose levels, yes.

25 DR. LANDOLPH: There was a dose response?

1 DR. SALMON: Yes. Yes.

2 DR. LANDOLPH: And then the other
3 question was, Bernie Daniel is an EPA
4 investigator. Has the EPA taken an official
5 position on TCA, the U.S. EPA?

6 DR. SALMON: I don't think that they have
7 actually come out with any pronouncements very
8 recently. The group of studies by Dr. Daniel
9 and his colleagues, this was work which they
10 initiated when they were up at the Cincinnati
11 office. In fact, I think Dr. DeAngelo moved
12 to North Carolina. And he's been the one
13 who's continued the work.

14 I think that was initiated specifically
15 by the EPA, because they wanted a further
16 investigation of what was going on with a
17 variety of chlorinated contaminants in
18 drinking water about which they were
19 concerned. But I don't think they've taken
20 any particular classificatory or regulatory
21 measures as a response to the appearance of
22 these studies at this point.

23 DR. LANDOLPH: So this is an interesting
24 one. I mean, I've read this report six times.
25 I think it's extremely well written. I want

1 to congratulate Dr. Salmon and his colleagues
2 on this. It's a comprehensive and concise
3 summary of the data. It's very fairly
4 analyzed.

5 There are about seven mouse studies done.
6 They all show hepatocellular carcinoma.
7 There's data in male mice. There's data in
8 female mice. I agree the genotoxicity data is
9 either equivocal or negative. So I agree with
10 all your conclusions. I'm worried, because
11 there's not real good mechanistic studies on
12 this. So it doesn't allow us to enhance our
13 confidence.

14 You know what I'd like to do on this one?
15 I'd like to be a real chicken on it and
16 request the Chairman or the State to have a
17 discussion with the EPA officials, because I
18 actually did an internal grant review there
19 one time, and I know they're doing some of the
20 most sophisticated work on mechanistic studies
21 possible.

22 I think if they're not willing to stick
23 their tail on the line, I think we should step
24 back a bit and find out why that's the case
25 before we plunge ahead. So I'm sorry that's a

1 chicken type of decision, but I think it's
fair.

3 DR. MACK: It is a chicken kind of
4 decision, and you're not going to get it done
5 today. So I think you've got to decide what
6 we're going to get done today.

7 DR. LANDOLPH: Can I make a motion to
8 defer?

9 DR. MACK: Well, why don't we hear from
10 the other people on the Committee before you
11 do that. But you can certainly be thinking
12 about what you might do.

13 John, do you have any ideas about this
one?

15 Well, first let me ask Joe. What's
16 your -- you didn't discuss mechanism. Did you
17 agree completely with Andy's summary that
18 basically, it's up for grabs, we really don't
19 know what's going on?

20 DR. LANDOLPH: Yeah. I think we don't
21 understand the mechanism. I think the 8
22 peroxisome studies are intriguing. They could
23 also be non-specific, because of toxicity and
24 oxidative stress generated by non-specific
25 mechanisms. I don't feel comfortable about

1 this mechanism. It's an order of magnitude
2 less than the mechanisms for estragole and
3 safrole. I don't know what this stuff is
4 doing.

5 DR. MACK: But we probably cannot say
6 that it's a mechanism that's irrelevant to
7 humans with any degree of certainty.

8 DR. LANDOLPH: Well, I think there was a
9 very long and appropriate discussion that
10 Dr. Salmon made. And there is some question
11 as to whether peroxisomal proliferation is
12 indeed mechanistically related to
13 carcinogenesis or whether these are parallel
14 processes and not necessarily linked in
15 sequence.

16 So I think we have to back off from that
17 and say we still don't really understand with
18 strong certainty what that mechanism is. I
19 don't think I know what it is. Anyone else
20 that's more well versed should feel free to
21 stand up and say so. But I don't think I know
22 what it is. And I agree with Dr. Salmon's
23 assessment. It's a nebulous area still.

24 DR. MACK: John, do you have any
25 comments?

1 DR. FROINES: I just have one. This is a
2 difficult one, I think. I think that we have
3 to avoid sort of common things that become
4 more than they are. I mean, we talk about
5 proliferation, but there's a lot of debate
6 about proliferation, and we need to think
7 carefully when we use it as not just an
8 excuse, but it really has scientific validity.

9 One of the interesting things that I
10 asked Andy about was this issue of the fact
11 that in the mutational spectra in the ras
12 genes that the TCA and spontaneous tumor
13 spectra were the same, which would indicate to
14 me that the TCA isn't causing that mutational
15 factor. And that would suggest that the
16 tumors that were seen are not necessarily
17 created. And so that ras gene work, I think
18 is important.

19 I think the notion of looking -- we all
20 treat short-term tests like we did in the 70s.
21 You know, people talk about whether it's
22 positive or negative in the Ames tests. We
23 really need to move on to looking at
24 mutational spectra in a molecularbiological
25 context, because it's so much more

1 sophisticated and informative. And the
2 traditional short-term tests, you know,
3 represents another era.

4 I also think, though, just as a policy
5 matter, in a sense, that I think Joe said it
6 right. The weakness in the mechanistic
7 information, you would like to use the
8 mechanistic information to enhance your
9 confidence in your finding. That's the role
10 it plays, it seems to me.

11 I don't agree with the notion that we
12 have to demonstrate human mechanistic
13 relevance as a basis for decision. I strongly
14 disagree with that. But mechanistic
15 information, I think, is extremely important
16 to help us understand more clearly what's
17 going on. And so in that sense, the
18 mechanistic data that we have is very limited,
19 and therefore it's troubling in that respect.

20 DR. LANDOLPH: Yeah. I was really very
21 conflicted, you know, about this one. In
22 Table 1, there are 7 positive mouse studies.
23 So I can't ignore those. They're positive.
24 And Dr. Salmon discussed those in detail.

25 The rat study is negative. And the mode

1 of administration here is relevant to humans;
2 it's drinking water. So there's a relevant
3 mode of administration, and there's a
4 replicability of studies for the mouse. But I
5 have significant concerns as to why EPA hasn't
6 listed this. They've studied it to death.
7 Very qualified investigators have studied it.
8 So there's something funny going on. And I
9 need an answer to that question.

10 DR. MACK: There are a lot of funny
11 things going on at EPA.

12 DR. LANDOLPH: I did not imply that
13 pejoratively with regard to EPA, just that
14 there's something missing in this logic tree
15 for me to make a final decision.

16 DR. EASTMOND: I wanted to comment. It
17 seems to me that the key studies that have
18 been done at EPA have really never been
19 published. I mean, two of them are published
20 abstracts and toxicologists, and the other
21 one's an internal document that has not
22 been -- I assume it has not been released
23 publicly. Do you have any explanation or
24 understanding of why that is the case?

25 DR. SALMON: No, essentially. The rat

1 study, interestingly enough, was published as
2 a full paper. It may have just been a sort of
3 a historical accident, because, you know, the
4 HERL people were moving from Cincinnati to
5 North Carolina at the time. And they may have
6 just had too many damn things on their plate
7 to deal with it properly. I have absolutely
8 no knowledge of why that would be.

9 DR. EASTMOND: My concerns on this is
10 that this is in a strain of -- we're really
11 looking at all the studies in one strain of
12 mouse, which is prone to quite high
13 background spontaneous frequencies of this
14 particular type of tumor. And in fact, if you
15 look at the summary results from, like, the
16 National Toxicology Program, the frequencies
17 seen in these controls are really low compared
18 to what's normal in the animals.

19 In some cases, the positives are about
20 where you -- the TCA-induced frequencies are
21 about where you would expect -- are frequently
22 control frequencies in many of these studies.
23 So that is one concern I have.

24 This idea that we don't have a clear
25 mechanism, and we don't have real obvious

1 genotoxicity information concerns me. Indeed,
2 the mutational spectra data appears that what
3 we may simply have is a compound which is
4 promoting spontaneous tumors. And indeed, so
5 rather than these tumors developing late in
6 life, they're developing a little bit earlier,
7 stimulated by this particular chemical. And
8 it makes me uncomfortable.

9 I'm also uncomfortable that these things
10 haven't been published in a more full respect.
11 I mean, I think that the Staff has done a very
12 good job of pulling information together.
13 They had to take some heroic efforts because
14 of --

15 DR. SALMON: I think the report from
16 Daniel is available through NTIS, you know, if
17 you know it's there. It's not that it's some
18 dark secret. Well, need I say more?

19 DR. MACK: Jim?

20 DR. FELTON: Well, just to summarize what
21 I think I'm hearing, you know, on the previous
22 two, we had mechanistic data. We had gene tox
23 data. We had some plausible mechanism, even
24 though it was positive in one species and not
25 in the rat. Here, we have all the data in one

1 species, one strain, one tissue type. And as
2 Dave said, it's fairly common to see tumors in
3 the -- hepatocellular carcinomas in the mouse.

4 So, you know, here's a case where we have
5 the one species result, but we don't have much
6 else to go on. And since all these seem to be
7 on the edge, on the knife edge, at least I'm
8 leaning to saying I think this one doesn't
9 have the criteria to push it over. So, that's
10 my feeling.

11 DR. MACK: Do you have anything, John?

12 DR. FROINES: No.

13 DR. MACK: Bill?

14 DR. SPANGLER: No, I don't have anything
15 to add.

16 DR. MACK: Are there any -- is there any
17 public comment on this compound?

18 DR. NORTH: (Distributing letter to the
19 panel.) I have a few more copies, but probably
20 not for everybody in the room.

21 My name is Warner North. I am here under
22 the sponsorship of the Chlorine Chemical
23 Council. And I might add, they asked me to do
24 this a relatively short time ago. When I
25 looked through the data, I had the same

1 reaction that many of you did.

2 There is no debate over the fact that TCA
3 causes hepatocellular neoplasms in B6C3F1
4 mice. Clearly, it does. The issue is one of
5 interpretation, particularly questions of
6 mechanisms. I like the way you've put it in
7 your discussion already. We need to
8 understand these mechanisms, and in
9 particular, we need to understand the
10 relevance of the mouse response to human
11 cancer.

12 The usual default assumption we use in
13 assessing carcinogens is that if we have a
14 reaction in a rodent that that applies to
15 humans. The question is, do we know enough to
16 depart from that default assumption.

17 The State has looked at the issues of
18 peroxisome proliferation, and you've just
19 heard their conclusion. I'd like to give you
20 another conclusion from what I regard as a
21 very authoritative source.

22 When I received this assignment, I
23 thought, who is the person I know who knows
24 the most about peroxisome proliferation from
25 the point of view of hands-on lab work,

1 involvement in the EPA Science Advisory Board,
2 I believe involvement as an EPA contractor in
3 that they sponsor his studies or at least give
4 him grants -- in fact I think I can say that
5 for certain -- and furthermore, somebody who
6 has been highly involved in the work groups of
7 IARC, and in particular, the IARC working
8 group on use of mechanistic data and cancer
9 risk assessment in 1991, and from 1997 on, as
10 a member of the IARC working group on the
11 mechanisms of carcinogenesis that may be
12 species specific.

13 So Dr. Swenberg was kind enough, also
14 under the sponsorship of the Chlorine
15 Chemistry Council, to prepare a short letter
16 which I have just handed out to you. And I'd
17 like to go through that rather briefly. I
18 think in the interest of your busy schedule, I
19 shouldn't try to read it all. But I will
20 certainly try to address what I regard as
21 highpoints, and I will do my best to answer
22 any questions you might have on this material.

23 First, "TCA is a potent inducer of
24 peroxisome proliferation in the mouse liver
25 but a very weak inducer of this response in

1 the rat".

2 I'm reading from the bottom of page one
3 on to page two.

4 "Evaluations of the weight of evidence of
5 TCA's genotoxicity have repeatedly concluded
6 that it is not genotoxic. The occasional
7 positive result is totally compatible with the
8 induction of oxidative stress by mechanisms
9 that would not occur under conditions of human
10 exposure. These data strongly support
11 peroxisome proliferation as a key event in the
12 induction of liver tumors to mice exposed to
13 TCA."

14 And then there are several paragraphs
15 about what is known about the mechanism. Much
16 of this information is relatively new. And
17 I'm not sure how much of it has worked its way
18 into the resources or the literature that the
19 State has reviewed in its evaluation.

20 Dr. Swenberg describes, "The peroxisome
21 proliferators act by a common mechanism,
22 activation of a Peroxisome Proliferator
23 Activated Receptor (PPAR). These responses
24 induced by exposure of rats and mice to
25 peroxisome proliferators include both

1 biochemical and morphological changes in the
2 liver." And I'll skip the details on what
3 they are.

4 "The human studies include direct
5 comparisons between human and rodent
6 hepatocytes exposed to chemicals, drugs and
7 their metabolites, as well as epidemiologic
8 studies on human beings treated with
9 hypolipidemic drugs.

10 Human hepatocytes do not exhibit the
11 responses seen in the rodent hepatocytes when
12 exposed to TCA *in vitro*. There was no
13 increase in hepatic cancer or induction of
14 this set of biochemical responses in humans
15 taking pharmacologic doses of hypolipidemic
16 drugs, even though plasma concentrations in
17 humans were equal to those measured in rodents
18 from the carcinogenicity bioassays. There is
19 also no evidence that TCA causes peroxisome
20 proliferation in humans.

21 Recent advances in molecular biology have
22 provided the scientific community with a much
23 greater understanding of the responses to
24 peroxisome proliferators." Again, I'll skip
25 the details and go on to his conclusion.

1 "Differences in expression of PPAR
2 alpha," that's the specific receptor, "appear
3 to be partially responsible for differences in
4 responsiveness between rodents and humans.
5 Although primates and humans have some of the
6 same isoforms of this receptor, current
7 evidence suggests that PPAR alpha is only
8 present at 1-10 percent of that found in
9 rodents.

10 In addition to having low numbers of PPAR
11 alpha receptors, the peroxisome proliferator
12 response element, " and then the technical
13 description is given here, this substance, the
14 Oxidase enzyme, "was unable to activate
15 transcription in 23 out of 23 human samples.
16 In contrast, it was active in all the rodent
17 samples."

18 So here we have very specific comparisons
19 of how the human response occurs compared to
20 the rodent response. Now there's one last
21 step that could be taken further that has on
22 some peroxisome proliferators, and this is a
23 study in a knockout mouse, where this alpha
24 receptor is not present. Now, that hasn't
25 been done with TCA. That's probably the gold

1 standard of proof. But this study could
2 easily be done in a reasonable timeframe.

3 Dr. Swenberg concludes, "It is my
4 professional judgement that TCA should not be
5 listed under Proposition 65 at this time. If
6 new information arises to suggest that the
7 proposed mechanism of action is not correct,
8 these data can be brought forward for future
9 consideration."

10 So it seems to me you have a very strong
11 statement from a very authoritative, in my
12 judgement, expert weighing in on this issue
13 more or less in the opposite conclusion that
14 you just heard from the State with regard to
15 what we know about peroxisome proliferators
16 and their relevance to human cancer.

17 I should give some of my history on this.
18 I was on the EPA Science Advisory Board when
19 it considered peroxisome proliferators. We
20 considered that the research was promising,
21 but there wasn't enough evidence to depart
22 from the default assumption. That was back in
23 1987. This is noted on page 100 of *Science*
24 *and Judgement in Risk Assessment*, a National
25 Academy report that I had the privilege of

1 participating in.

2 And that same conclusion is used as an
3 example of the problem of getting enough
4 information to meet the burden to depart from
5 a default. And we recommended to EPA that it
6 needed to do a better job of establishing
7 criteria for departure from default.

8 I think you have that problem with
9 respect to your criteria development. You
10 have a very specific example on this
11 substance. I've already heard you describe
12 how some of you are troubled by this
13 situation. It troubled me when I was first
14 given this assignment.

15 Why did IARC, EPA, and NTP not reach a
16 conclusion of sufficient evidence, but rather,
17 one of limited evidence. And I think the
18 information on mechanism is probably an
19 excellent explanation. That's certainly
20 Dr. Swenberg's opinion. And I'm glad I asked
21 him to prepare this letter, because it seems
22 to me it's extremely informative.

23 I'd like to conclude with one last
24 thought, and this is my background on decision
25 analysis. I instinctively look at the

1 decision context for scientific issues. Here
2 we are dealing with a substance that results
3 from the chlorination of water, which is done
4 for a variety of purposes; drinking water,
5 swimming pools, beverages, etc.

6 There is a discharge provision under Prop
7 65, which as I understand it, permits no
8 consideration of dose or assessment of risk.
9 Rather, it says, "No. You can't do it." So
10 it seems to me in this context, your decision
11 on the listing of TCA is extremely important
12 for the People of California. I would
13 recommend that you wait for further
14 information, such as a knock-out mouse study,
15 and take the decision not to list it at this
16 time.

17 Thank you.

18 DR. MACK: Thank you, Dr. North. That
19 was very helpful, and the letter is also very
20 helpful.

21 Just to correct one thing: It is not our
22 task to make any risk analysis or any
23 intervention judgements. We only are here to
24 decide whether something causes cancer. So
25 your last remarks were not pertinent to this

1 operation.

DR. NORTH: Well, I --

3 DR. MACK: They may be pertinent to
4 subsequent operations, but not this one. But
5 that's a very minor issue in what you've
6 presented us.

7 DR. NORTH: Let me clarify that my
8 intention in making those remarks was to ask
9 you to take this one particularly seriously,
10 because I think your job is particularly
11 important here.

12 DR. MACK: We really try to take them all
13 seriously. Believe me.

14 Now, do other people have questions for
15 Dr. North?

16 DR. PETERS: I recognize Dr. Swenberg as
17 an expert, but there are a couple of things in
18 the letter that I would like to point out that
19 I might not agree with. And that is, in the
20 last paragraph, he talks about, "There is a
21 very strong database that demonstrates that
22 humans are not at any significant risk for
23 cancer from TCA exposure. This includes the
24 very low exposure to humans from disinfection
25 by-products. This does not necessarily" --

1 it goes back to his very strong database.

2 "And likewise, the epidemiologic evidence of
3 human carcinogenicity being lacking".

4 The studies haven't been done, so
5 obviously, it's lacking. So I think two out
6 of four of his reasons for having a very
7 strong database, demonstrating that humans are
8 not at significant risk are not valid.

9 Would you like to comment on that is the
10 question I have.

11 DR. NORTH: I asked him to write this
12 letter to elucidate what was known about the
13 mechanism of peroxisome proliferation. And
14 that's the part of it that I'd like you to
15 consider seriously. I didn't read those two
16 sentences. And frankly, that was deliberate.

17 DR. MACK: Anybody else have questions
18 for Dr. North?

19 Joe?

20 DR. LANDOLPH: Yes. Thank you for that
21 letter. So, as I understand it, the wyeth
22 compound in the transgenic mice causes no
23 hepatocellular carcinoma and no peroxisomal
24 proliferation?

25 DR. NORTH: Yeah. As I understand those

1 experiments, the receptor for the PPAR alpha
is knocked out of the mouse. It's not there.
3 So you have a direct test, whether you see a
4 carcinogenic response in that altered mouse.
5 And my understanding is, on the experiments
6 that have been done, they show no elevated
7 tumor response.

8 DR. LANDOLPH: And that's been published
9 in the peer review literature?

10 DR. NORTH: I would have to refer you to
11 Dr. Swenberg's articles on this. I have
12 several articles with me. I'd be happy to do
13 that over lunch.

14 DR. LANDOLPH: So a key piece of data
15 that is missing is those same experiments with
16 TCA. We don't have that data.

17 DR. NORTH: Yeah. Those experiments have
18 not been done on TCA.

19 DR. MACK: I think what we might do is
20 ask that either you directly or Staff
21 directly, with Dr. Swenberg, try and get the
22 documentation for these studies and some of
23 the others that he mentions that were
24 unavailable to Staff.

25 DR. NORTH: I'm sure Dr. Swenberg would

1 cooperate fully.

DR. MACK: I'm sure he would too.

3 Whether or not we make a decision pro or con,
4 it would useful to have them in the file and
5 ready for the next consideration.

6 Andy?

7 Thank you, Dr. North.

8 DR. SALMON: Yeah. I was just going to
9 say that we are, of course, familiar with
10 quite a number of the studies which
11 Dr. Swenberg referred to. In fact, we have
12 some of the studies referred to available,
13 because we have been following this issue with
14 great attention for some time.

15 So I think I'm right in saying that some
16 of the work which Dr. Swenberg referred to in
17 his letter may or may not at this instant have
18 appeared in the published literature. So
19 there may be some additional things that we
20 don't have. But I don't exactly recall the
21 details of some of the things he referred to
22 as being in papers that I know we have. So
23 that's something we would have to follow.

24 I think another point that the panel
25 might want to consider in relation to this

1 issue is certainly as regards the studies of
2 PPAR alpha activation by known peroxisome
3 proliferation-inducing agents. These are the
4 cases where, for instance, the knock-out mouse
5 doesn't produce any of the results.

6 I think that there's fairly good evidence
7 for those compounds that there's a link
8 between activation of the PPAR alpha receptor
9 and the carcinogenic response. It's not clear
10 from those experiments, as far as I can see,
11 and I think as far as a number of other people
12 who have examined this literature is
13 concerned, that the link is via the induction
14 of the oxidative enzymes. It would appear, at
15 least it's likely, that in fact what is
16 happening is that the PPAR alpha activation is
17 resulting, well, probably a considerable range
18 of different responses.

19 On the one hand, it may be resulting in a
20 response which causes the appearance of
21 additional oxidative enzymes and proliferation
22 of the peroxisomes and the increased oxidant
23 production. Now, that's an observation which
24 is characteristic of rodents. It may also, in
25 fact, be producing some kind of cell-

1 stimulatory response which is separate and
2 independent of that, and in fact may be the
3 one which is important for the observation of
4 carcinogenesis in those same rodents.

5 So the question of whether or not you
6 observe the oxidant response in human tissues
7 is -- is that relevant or is that not? Well,
8 I mean, I'm not presuming to answer that
9 question. But I'm pointing it out to you as a
10 dilemma in the interpretation of the data.

11 There's also, I think, some debate about
12 this question of, you know, how much of the
13 receptor do humans have. And I think that the
14 theory about how these receptors interact with
15 their response elements in the genome doesn't
16 necessarily assume that a higher copy number
17 implies greater responsiveness. The two
18 aren't necessarily linked. So again, this is
19 a -- I know. You know, is this a key
20 observation or is it a fact on which we are
21 unable to interpret?

22 So I think what I'm saying is, yes, this
23 is fascinating stuff. We all follow it with
24 great attention. I think specifically with
25 regard to TCA, one of the problems is that

1 clearly, we have this observation that it does
2 cause peroxisome proliferation.

3 My concern, which I was presenting to you
4 in the analysis is, even given that, you know,
5 obviously, you know, we agree with that, it's
6 an observation, we are unclear whether that
7 has any bearing or not on the observation of
8 tumor induction by TCA. We're not necessarily
9 disagreeing with what happens with clofibrate
10 or --

11 DR. MACK: I think you've made that --
12 you're making that very clear. Thanks, Andy.

13 Does anybody else --

14 DR. FROINES: I strongly agree with what
15 he just said. I think it's very important
16 that the traditional linkages for peroxisome
17 proliferators can be seen as an
18 oversimplification of a complex process.

19 DR. MACK: Are we ready to call the
20 question? Does anybody have anything else to
21 add?

22 All right. Let's have a show of hands
23 from those people in whose opinion
24 trichloroacetic acid has been clearly shown
25 through scientifically valid testing according

1 to generally accepted principles to cause
2 cancer.

3 Well, my goodness. Let the record show
4 that there were no votes to list the chemical.

5 Please indicate by a show of hands if in
6 your opinion trichloroacetic acid has not been
7 clearly shown through scientifically valid
8 testing according to generally accepted
9 principles to cause cancer.

10 1, 2, 3, 4, 5, 6.

11 DR. FROINES: I think we have an
12 abstainer.

13 DR. MACK: I think we have an abstainer.

14 DR. LANDOLPH: Yeah, that's me. I want
15 some more information from the EPA.

16 DR. MACK: All right. So the record
17 shows that there were 6 votes not to list the
18 chemical; none to list it. And we will
19 therefore not list it. And at Joe's request,
20 we will try to find out from EPA by one means
21 or another, Warner, what went on. Okay?

22 Now, it is quarter to one. Should we
23 break for lunch, or should we go to the last
24 one?

25 What's the pleasure of the Committee?

1 What's the grumbling of the Committee?

2 Okay. Let's go through the last one.

3 Does everybody agree with that?

4 DR. PETERS: No.

5 DR. MACK: John has a veto.

6 DR. FROINES: What did John say?

7 DR. MACK: He says, "Let's eat".

8 You look poised. Are you poised?

9 DR. FROINES: As the lead person on this
10 chemical, I will defer to the body at large.

11 DR. MACK: Okay. Let's eat. And let's
12 get back again at -- how much time should we
13 give?

14 DR. DENTON: A half an hour?

15 DR. MACK: That's pretty fast. You don't
16 know how John eats.

17 Let's make it 1:30. Let's reconvene at
18 1:30.

19 (Lunch recess was taken from
20 12:45 to 1:38 p.m.)

21 DR. MACK: In the absence of Froines,
22 let's jump to the delisting and go to No. 2,
23 where Dr. William Spangler is going as to tell
24 us about the glories of chlorodibromomethane.
25 I'm sorry. A Staff person first.

1 DR. DENTON: Dr. Martha Sandy is going
to address the Committee.

3 DR. MACK: All right. When Martha Sandy
4 gets her act together.

5 DR. SANDY: We wanted to say a few words
6 about this, since you haven't ever considered
7 a chemical for delisting before. And both I
8 and Ms. Heck will be addressing you.

9 Just for some history, at the CIC,
10 September 25th, 1997 meeting, OEHHA reported
11 to you on the results of the systematic review
12 of chemicals listed as causing cancer via the
13 authoritative body's mechanism. At that time,
14 OEHHA had identified five chemicals, namely,
15 allyl chloride, chlorodibromomethane, 1,1-
16 dichloroethane, para-toluidine, and zineb,
17 which appeared to be no longer formally
18 identified as causing cancer by the
19 authoritative body which served as the basis
20 for the listing. In each of these cases, the
21 authoritative body was the U.S. EPA.

22 As Ms. Heck will explain in more detail,
23 if the lead agency finds that a chemical is no
24 longer identified by the authoritative body as
25 causing cancer, the listing under the

1 proposition can be re-considered. These five
2 chemicals have been referred to the CIC as the
3 State's qualified experts for carcinogenicity
4 determinations under Proposition 65, so that
5 the Committee may make a recommendation as to
6 whether the chemical should remain on the
7 list.

8 Consideration of three of these chemicals
9 was originally scheduled for the December
10 10th, 1998 meeting. At that meeting,
11 consideration was deferred in order that
12 assignments for lead reviewers for each
13 chemical could be made. At last year's
14 meeting, the CIC also asked that OEHHA provide
15 information on specific use and exposure to
16 each of these chemicals in California.

17 Such information has been provided, when
18 available, in a summary document you have
19 before you today for each of the five
20 chemicals. This document was released on
21 August 27th, for a 30-day public comment
22 period. We received one comment on
23 chlorodibromomethane, which has been forwarded
24 to the Committee members.

25 And now Miss Heck will add a little more.

1 MS. HECK: Thank you, Martha.

2 I just wanted to briefly touch upon the
3 regulatory status of the delisting process,
4 and that is that the relevant regulation
5 requires that when the lead agency, OEHHA,
6 determines that the underlying authoritative
7 body whose work originated the listing no
8 longer considers or no longer identifies the
9 agent, it should be considered as to whether
10 or not it should remain on the list.

11 The regulations calls for the Committee
12 to determine whether or not it should remain
13 on the list or be removed from the list. And
14 reading together the statutes and the
15 regulations as to the standard that guides
16 your judgement, it is the same standard as you
17 would use in determining whether or not to
18 list the chemical, that is, whether or not it
19 has been clearly shown through scientifically
20 valid testing according to generally accepted
21 principles to cause cancer.

22 If you make that finding, your vote would
23 then be to have the chemical remain on the
24 list, despite the change of status, vis a vis
25 the authoritative body. If you make the

1 opposite finding, your vote would be to remove
2 the chemical from the list.

3 Thank you.

4 DR. MACK: Now, is somebody going to
5 address the specifics of chlorodibromomethane?

6 DR. SANDY: Yes. It will be Dr. Gail
7 Krowech.

8 DR. KROWECH: This first slide shows the
9 structure of chlorodibromomethane, or CDBM

10 CDBM is a volatile organic compound and
11 is one of several trihalomethanes which are
12 formed as by-products of the water
13 chlorination process. In California, CDBM has
14 been detected in runoff from agricultural peat
15 soils and in drinking water sources.

16 CDBM was listed as causing cancer under
17 Proposition 65 in January 1990 based on a U.S.
18 EPA evaluation which classified the compound
19 in Group B2. In a subsequent evaluation, CDBM
20 was reclassified as a Group C carcinogen. The
21 reasoning for the reclassification is unclear,
22 but it does not appear to be based on
23 significant new information.

24 Next slide.

25 CDBM has been reviewed by two other

1 authoritative bodies. In 1991, IARC
2 classified CDBM as a Group 3 carcinogen, based
3 on the absence of evidence in humans and
4 limited evidence in experimental animals.

5 NTP, based on its 2-year bioassays,
6 concluded that there was some evidence for the
7 carcinogenicity of CDBM in female mice and
8 equivocal evidence in male mice. NTP found no
9 evidence in male or female rats.

10 There are no epidemiological studies on
11 the carcinogenicity of CDBM alone. Several
12 studies have suggested a positive correlation
13 between drinking chlorinated water and the
14 incidences of several human cancers;
15 particularly bladder, rectal, and colon
16 cancer.

17 The data on the carcinogenicity in
18 experimental animals is mainly that reported
19 by the NTP. In the NTP studies, there was a
20 statistically significant increase in the
21 incidence of hepatocellular adenoma or
22 carcinoma combined in high-dose female mice.
23 In male mice, the incidence of hepatocellular
24 carcinoma was significantly increased in the
25 high-dose group, but the combined incidence of

1 adenoma and carcinoma was not.

2 A long-term drinking water study in mice
3 by Veronin et al reported no increases in
4 tumor incidence. However, it is not clear
5 whether necessary precautions were taken to
6 minimize volatilization of CDBM from drinking
7 water. IARC also commented on the incomplete
8 reporting of this study.

9 In the NTP rat studies, no
10 treatment-related increases in tumor incidence
11 were observed.

12 US EPA also reported on the preliminary
13 results of an unpublished 2-year study by
14 Tobe, in which no increased tumor incidence
15 was reported. However, only a small number of
16 rats at each dose group were examined after 18
17 or 24 months of exposure.

18 Next slide, please.

19 In the NTP mouse studies, groups of 50
20 male and female B6C3F1 mice were given 0, 50,
21 or 100 milligrams per kilogram of CDBM in corn
22 oil by gavage for five days a week for 105
23 weeks. In female mice, the incidence of
24 combined adenomas and carcinomas in high-dose
25 animals was significantly greater than in the

1 control group. In male mice, the incidence of
2 carcinomas was significantly greater in the
3 high-dose group compared to the control. As
4 noted earlier, the incidence of combined
5 adenomas and carcinomas was not significantly
6 increased.

7 In male mice, the incidence at the low
8 dose was not appropriate for statistical
9 analysis, as 35 low dose males died from an
10 accidental overdose in week 58. Also, nine
11 high-dose male mice died in week 82 of the
12 study. There was no explanation for these
13 deaths provided.

14 Next slide.

15 Other relevant data concerning the
16 carcinogenicity of CDBM include mostly
17 positive genotoxicity studies. Results in
18 Salmonella were mixed, but CDBM was generally
19 positive when tests were conducted in closed
20 containers. CDBM was positive for gene
21 conversion in Saccharomyces but negative in a
22 reverse mutation assay.

23 CDBM increased the frequency of sister
24 chromatid exchanges in human lymphocytes, rat
25 leukemia cells, and mouse bone-marrow cells *in*

1 vivo. Increases in sister chromatid exchanges
2 have also been demonstrated with other
3 trihalomethanes.

4 CDBM increased chromosomal aberrations in
5 mouse lymphoma cells, Chinese hamster cells,
6 and rat bone-marrow cells *in vivo*. An *in-*
7 *vivo* study in mouse bone-marrow cells was
8 negative. CDBM was negative in a mouse
9 bone-marrow micronucleus test.

10 CDBM did not cause unscheduled DNA
11 synthesis in rat liver and did not produce DNA
12 strand breaks in rat kidney cells *in vivo*.
13 Other trihalomethanes were also tested in
14 these two latter studies, and also gave
15 negative results.

16 Next slide.

17 The other trihalomethanes are chloroform,
18 dichlorobromomethane, and bromoform. They are
19 all classified by U.S. EPA as B2 carcinogens.
20 Chloroform, dichlorobromomethane, and CDBM all
21 cause liver tumors in mice, but not in rats.
22 The dose-response relationship for the
23 induction of liver tumors is similar for these
24 three trihalomethanes as will be shown in the
25 next slide.

1 As mentioned earlier, trihalomethanes
2 have given similar results in several
3 gentoxicity studies. The mutagenicities of
4 the brominated trihalomethanes (CDBM,
5 dichlorobromomethane, and bromoform) have been
6 shown to be mediated by theta-class
7 glutathione S-transferase in the Salmonella
8 strain RSJ100. These trihalomethanes also
9 produced nearly identical mutation spectra at
10 predominantly a single site, suggesting the
11 involvement of a common reactive intermediate
12 or class of intermediates.

13 In the delisting document for CDBM,
14 chloroform was mistakenly included with these
15 trihalomethanes. The summary document should
16 have cited methylene chloride instead as the
17 fourth halomethane.

18 The dose-response relationship for the
19 induction of liver tumors in female B6C3F1
20 mice exposed to the trihalomethanes (CDBM,
21 chloroform, and dichlorobromomethane) is shown
22 here and was adapted from *Dunnick and Melnick*.
23 The tumor incidence shown is the combined
24 incidence of adenoma or carcinoma except for
25 chloroform, where the tumor incidence is the

1 incidence of carcinoma.

2 These three trihalomethanes show similar
3 potencies in inducing liver tumors in female
4 B6C3F1 mice. The doses in the CDBM study were
5 the lowest of the three trihalomethanes.

6 There is one overlapping data point here at
7 the tumor incidence of .4, and that is at the
8 high dose of CDBM and the low dose of
9 bromodichloromethane. The tumor incidence at
10 this dose is the same for both chemicals.

11 In summary, the evidence of
12 carcinogenicity is a significant increase in
13 combined adenomas and carcinomas in high-dose
14 female mice. The incidence of carcinomas was
15 also significantly increased in high-dose male
16 mice. However, the combined incidence of
17 adenoma and carcinoma was not.

18 There were problems with this study, as
19 mentioned earlier. An accidental overdose
20 caused the death of 35 low-dose males. And 9
21 high-dose males died during one week of the
22 study with no explanation of these deaths
23 provided.

24 Other relevant data include mostly
25 positive genotoxicity data and structural

1 similarities with other carcinogenic
2 trihalomethanes.

3 DR. MACK: Okay.

4 Bill?

5 DR. SPANGLER: Well, you can probably --
6 based on my performance this morning, you can
7 probably anticipate how I feel about this
8 compound.

9 I just wanted to ask a question: It is
10 part of the law that these things have to be
11 brought to the CIC or Scientific Advisory
12 Board?

13 MS. HECK: Yes. If the lead agency,
14 OEHHA, finds that the authoritative body no
15 longer identifies the agent as causing cancer,
16 there is a provision in the regulations that
17 requires its referral to the appropriate
18 committee.

19 DR. SPANGLER: That's thinking ahead.

20 DR. MACK: Does that make you feel a lot
21 better?

22 DR. SPANGLER: No. I would say, if you
23 live by the sword, you die by the sword. And
24 if you were listed by that mechanism, then you
25 could be delisted by that mechanism.

1 But, again, I found no compelling
2 evidence in the data that was presented that
3 this material is -- that this is something
4 that I could say clearly caused cancer.
5 Again, we have a situation where we have --
6 the compound produces neoplasia in mice. And
7 it takes a lot of hepatocellular carcinomas to
8 convince me that there's a real risk to the
9 population, in addition to the fact that it's
10 negative in other rodent species. So I would
11 support the delisting of this compound.

12 DR. MACK: Okay. Who else would like to
13 speak to this issue?

14 David, you're furrowing your brow.

15 DR. EASTMOND: Give me a minute. I'm
16 formulating my question.

17 DR. MACK: Let me just ask Bill: What do
18 you think about the analogy with the other
19 trichloromethanes? That graph she showed
20 looks like it's going to do something bad if
21 you get enough of it.

22 DR. SPANGLER: That may be the case. You
23 know, I just have to stick with what I know,
24 pretty much, and that is animal pathology and
25 how that relates to this whole field.

1 We're considering this particular
2 compound. Even though it may be valid to
3 compare it against other similar compounds,
4 still, it's what this compound does and what
5 we know it does, and.

6 not what something else does and we think
7 this might mimic. That's my feeling.

8 DR. MACK: Are you ready, David?

9 DR. EASTMOND: I am not ready.

10 DR. MACK: Jim?

11 DR. FELTON: Well, I just wanted to --
12 the mistake that was made on that NTP study,
13 was that the only significant dose response,
14 then, that we had, or did the other studies
15 show some dose response? We're discounting --
16 I'm a little confused.

17 DR. KROWECH: Well, it was the low-dose
18 animals. And actually, it was the females and
19 the males. They received, I think it was
20 seven times the dose that they should have had
21 at week 50, or at some week. And they died
22 shortly thereafter. So it wasn't the
23 high-dose animals that received that.

24 DR. FELTON: Okay. So without that, we
25 have no dose response in the NTP studies,

1 because it was just the two doses and the
control?

3 DR. KROWECH: Right.

4 DR. FELTON: So we had the high dose with
5 the response, but we had no dose response.

6 DR. KROWECH: There was an increase in
7 the females. Although they were overdosed,
8 these deaths did not occur. And there was an
9 increase in tumors but not significant
10 compared to the controls.

11 DR. FELTON: The other thing I was going
12 to ask you is, this one that's significant,
13 the .03, of course, depended on that
particular control group. But the other
15 control group had a higher level. So, can you
16 explain that?

17 DR. KROWECH: Oh. Oh. Okay. Below --
18 Under males, there's the hepatocellular
19 carcinoma and then the hepatocellular adenoma
20 and carcinomas. So there were many more
21 adenomas in the controls.

22 DR. FELTON: So it's the same carcinomas,
23 and then they added on the adenomas.

24 DR. KROWECH: Right.

25 DR. FELTON: Okay. All right.

1 DR. MACK: How does that help you, James?

2 DR. FELTON: Not much. I was trying to
3 figure it out.

4 DR. MACK: John? You have joined us. Do
5 you have anything to say?

6 DR. FROINES: (Shaking his head.)

7 DR. LANDOLPH: Yeah. I'm a little bit
8 puzzled. I wonder if you could help me. Why
9 is dichlorobromomethane remaining as a B2
10 carcinogen for the EPA, and CDBM --

11 DR. MACK: Joe, you've got to have a
12 microphone. Otherwise, I'll ignore you.

13 DR. LANDOLPH: That's okay. You do that
14 anyway.

15 Why is dichlorobromomethane still
16 remaining on the EPA list as a B2 human
17 carcinogen, CDBM is proposed to be taken off,
18 and all these seem to fit a similar dose
19 response curve for animal carcinogenicity. Do
20 you have any insight into that you can help us
21 with? I don't mean to put you on the spot.
22 It's just, it's puzzling me.

23 DR. KROWECH: I don't know. I suspect
24 that the animal data is stronger for the
25 dichlorobromomethane. But I don't know.

1 DR. MACK: David?

2 DR. EASTMOND: I am very confused. I
3 came in here late. Things are bouncing
4 around, and I'm not sure I'm even working on
5 the right tables.

6 DR. MACK: So why should you be any
7 different than the rest of us?

8 DR. EASTMOND: Well, it's somewhat
9 embarrassing. Can you review exactly where
10 we're at in this process?

11 DR. MACK: Yes. We are -- we went to the
12 delisting, because John Froines was not
13 present to go ahead with the last one.

14 DR. EASTMOND: Okay.

15 DR. MACK: And we are dealing with the
16 second delisting, chlorodibromomethane. And
17 we have just heard a summary of that, and a
18 general denial by Dr. Spangler that any of
19 this is worthwhile.

20 DR. EASTMOND: That's kind of where I
21 thought we were at. But I wanted to make sure
22 before I really opened my mouth and
23 embarrassed myself.

24 I thought about these -- just as far as
25 comments -- it's more of a philosophical

1 thing. If the listing by the EPA was the
2 basis for -- if the classification by EPA was
3 basis for the listing, and the EPA decides
4 based on their evaluation that the evidence is
5 no longer sufficient in their minds, from my
6 point of view, it really makes perfect sense
7 that we would delist it.

8 And it may be that we would want to
9 re-examine that later. But in essence, we
10 don't have a full evaluation. These are not
11 nearly as complete evaluations as for the
12 other chemicals.

13 DR. MACK: Let's just ask if that's true.
14 I don't think that's true. I think this is
15 all the data we have available. In other
16 words, it is just as complete as the other
17 chemicals; is that not true?

18 DR. KROWECH: We have not been as
19 verbose, perhaps, but we've looked for all the
20 available data on these chemicals and
21 presented it to you.

22 DR. MACK: Okay. So it may not be true.
23 Okay. But it's a much more synthesized
24 presentation, from my reading of it.

25 DR. FROINES: I would like to comment on

1 that. I understand the logic, and there's a
2 part of me that would like to agree with it.
3 But we've all had experience with EPA over our
4 lifetimes. And some of us have had multiple
5 lifetimes. And therefore, we've had multiple
6 experiences.

7 Quite frankly, in my committee, the
8 S-Scientific Review Panel, AB-1807, we
9 actually look hard at what the EPA's done,
10 because we often disagree with it. And in
11 fact, on some compounds, most notably
12 perchloroethylene, for example, we think EPA
13 was definitely wrong in their evaluation.

14 So, yes, it seems to me that our
15 knee-jerk reaction might be to just follow
16 what they do, but I think it's still
17 worthwhile for us to do as thorough a review
18 as we can to make sure we're comfortable
19 within any decision that we make.

20 DR. MACK: But beyond that, we have the
21 legal mandate to do that. I mean, it isn't a
22 matter of us just -- it's like, Bill would
23 have said the same thing, "If they delisted
24 it, let's forget it". But as Colleen told us,
25 we have a legal mandate to evaluate it just

1 like the others.

Joe?

3 DR. LANDOLPH: Tom, I was looking at this
4 very nice paragraph that was written in the
5 State's document. And it indicates that the
6 other trihalomethanes are also genotoxic, and
7 the mutagenicities of all of them are mediated
8 by theta class glutathione transferase, and
9 mutational spectra produced by each of the
10 trihalomethanes is nearly identical,
11 suggesting a common intermediate or class of
12 intermediates.

13 So this actually enhances a prior
14 impression I had that there's a commonality.
15 And it would almost be an inconsistency if we
16 delisted the one but not the others. And
17 we're stuck, because the EPA hasn't delisted
18 the others. It's that logical flaw that I'm
19 being reinforced on.

20 DR. MACK: That helps a lot. Have we
21 said everything we have to say? Shall we take
22 a vote?

23 DR. FROINES: Public comment?

24 DR. MACK: Oh. My God. All right.

25 Jim?

1 DR. COUGHLIN: Thanks, Dr. Mack.

2 DR. MACK: I'm sorry. This is
3 James Coughlin.

4 DR. COUGHLIN: Jim Coughlin, toxicology
5 consultant. And I've got a general comment,
6 because I'm going to be addressing four of the
7 separate delisting chemicals, a very brief
8 general comment.

9 About 450 carcinogens have been listed by
10 Prop 65. And a third of them, about 150, have
11 been listed by the authoritative body
12 mechanism. So there's a very important
13 mechanism that's been used to list a third of
14 the chemicals. So I think it's important to
15 look at what the other authoritative bodies
16 and the same authoritative body looked at in
17 determining why the chemical came on the list.
18 I think you should look at all the bodies, to
19 take a look at them when looking at taking a
20 chemical off the list.

21 I just have one overhead.

22 Dr. Krowech had most of this information
23 up there correct, but I want to correct one
24 thing. EPA's IRIS, the Integrated Risk
25 Information System database, revised this

1 chemical to Group C, down to possible human
2 carcinogen from a B2 probable on November 1st,
3 1990. And what you've cited in the backup
4 document is, you looked at the 1997 IRIS, the
5 date on the IRIS document itself, but when you
6 actually dig into the document, they verified
7 and changed their decision back in
8 November 1st, '90.

9 They originally had listed it as a B2 in
10 their HEAST document, which is their Health
11 Effects Assessment Summary Table, in '89.
12 IRIS notes that this document, the HEAST,
13 really showed, "inadequate human data and
14 limited evidence of carcinogenicity in
15 animals". Not sufficient evidence; that's why
16 it fell back to a Group C.

17 IARC looked at it in 1991, called it a
18 Group 3, not classifiable. There was no human
19 data, and the animal evidence was determined
20 there to be limited. The NTP bioassay
21 referred to was perfectly described; no
22 evidence, equivocal evidence, and some
23 evidence. It's the female mouse liver.

24 I think -- it was the precursor to
25 OEHHA -- DHS, the Department of Health

1 Services, acted -- and you've heard me
2 complain about these kinds of things before,
3 acting on draft documents that aren't final --
4 they acted on the EPA's HEAST summary table,
5 which was just a list of chemicals with
6 alphabetical entries, A's, B's, C's, and D's.

7 If they had waited just eleven months for
8 EPA to come up with the final IRIS document;
9 it was already verified, and it just hadn't
10 been loaded up on the computer -- was verified
11 in 1989 -- this could not have been used as
12 the basis for authoritative body listing, as
13 there wasn't sufficient evidence. So EPA
14 changed their mind, and the other bodies don't
15 have sufficient evidence.

16 DR. MACK: That's true, but it was, and
17 we're here.

18 DR. COUGHLIN: Yes, sir. And that's why
19 I'm here.

20 DR. MACK: Thank you, Jim.

21 Do you have a question for Jim?

22 Go ahead, Dr. North.

23 DR. NORTH: Thank you. Warner North.

24 I'll be brief in my comments, here. I would
25 like to pick up on Dr. Froines' point about

1 experience with EPA.

2 When I was on the Scientific Advisory
3 Panel, along with Dr. Spangler and Jay Murray
4 back around 1989, we had quite a lot of
5 controversy about authoritative bodies. Some
6 of us were very concerned about who speaks for
7 EPA. And this, I think, is an example, where
8 the State picked up one table from EPA, and
9 there were some problems with it. This was
10 not unusual.

11 In *Science and Judgement in Risk*
12 *Assessment*, page 265, we recommended
13 specifically efforts to clean up the data in
14 the IRIS database. There were a lot of
15 problems like this. This isn't a unique
16 situation.

17 And I think to EPA's credit, the
18 Carcinogen Assessment Group, CAG, was asked to
19 review the evidence on CDBM. And on
20 September 7th, 1989, this was reclassified as
21 Category C, possible human carcinogen, limited
22 evidence in animals. So I'm correcting the
23 previous speaker, that it was actually earlier
24 than that. And as far as I can see, this was
25 simply an administrative problem of not

1 picking up the right who speaks for EPA.

2 I think it should be a concern to the
3 State that it's taken nearly ten years to
4 bring this before the Committee so that a
5 delisting decision can be made. I would hope
6 that you could go through the file in such
7 situations -- and I suspect there are a number
8 of others -- and bring these issues quickly to
9 the CIC so that the listing decisions can be
10 made with all due speed.

11 Now, I think others of you have
12 summarized the information on the animal
13 studies; gavage studies, one strain of mouse.
14 I think an important point is, there appears
15 to be no new information on this chemical
16 beside that which has been considered by EPA
17 and the other authoritative bodies. So I
18 would hope your decision to go with the
19 delisting is reasonably clear.

20 Thank you.

21 DR. MACK: Thank you, Dr. North. You
22 must remember that it may -- these issues may
23 be brought before the CIC, but if we don't get
24 through the day, we don't have time to
25 consider them.

1 David, did you want to make a comment
now?

3 DR. EASTMOND: I wanted to ask a
4 question. Slide No. 9, the composite of the
5 three trihalomethanes, when was this devised,
6 who devised it, and when?

7 DR. KROWECH: This was from a paper by
8 *Dunnick and Melnick, May, 1993*, published May
9 of 1993.

10 DR. MACK: Is that Ron Melnick?

11 DR. KROWECH: Yes.

12 DR. EASTMOND: Thank you.

13 DR. MACK: Okay. If there are no more
14 comments --

15 DR. FROINES: I do.

16 DR. MACK: Okay. I can always count on
17 you.

18 DR. FROINES: I haven't said a thing on
19 this topic yet.

20 DR. MACK: I know. And you said you
21 weren't going to say anything.

22 DR. FROINES: I lied.

23 I just want to point out an interesting
24 thing. Unless I'm mistaken, and somebody can
25 correct me, but the issue that got us to this

1 place is that there's quite a bit of evidence
2 on bladder cancer associated with chlorinated
3 hydrocarbon trihalomethanes associated with
4 drinking water. So that the target tissue
5 seems to be the bladder cancer in humans. Am
6 I right on that? That's been the matter of
7 concern with chlorinated water.

8 Now, the interesting thing is, when you
9 look at all these compounds, whether it be
10 chloroform or dichlorobromomethane, we don't
11 find any bladder cancers. So we have the
12 animals operating differently, at least
13 according to the data that we have in front of
14 us, than what apparently happens in humans.

15 And so we have a number of problems, it
16 seems to me, because it does seem to me that
17 we have to find out why people are developing
18 cancer from drinking chlorinated water. That
19 seems to me to be the issue. And when we bite
20 off each little compound so we can pick at it
21 in its narrow context, it seems to me that we
22 start to lose the forest for the trees.

23 I think we have a problem at this point
24 in delisting, when we really are dealing with
25 a deck that is very partial in nature. And I

1 think the evidence tends to make us move in
2 that direction, but I find the whole thing to
3 be dissatisfying given the available
4 information we have to make a decision.

5 DR. MACK: That seems to be a general
6 feeling.

7 Is there anybody else who wants to
8 express their impression?

9 DR. FROINES: That makes me worry about
10 delisting --

11 DR. MACK: Yes. I know. I understand.

12 Of course, just to comment on the animal
13 versus man problem, there's lots and lots of
14 reasons why one might not find bladder cancer.
15 I mean, presumably, it has to do with the
16 long-term exposure to contaminated urine in
17 the bladder. And the actual mechanics and
18 duration of exposure may make a big
19 difference. I have no idea how frequently and
20 how completely mice pee, which may be a
21 pertinent issue.

22 DR. FROINES: I have 400 animals on tests
23 right as we speak. And they're peeing every
24 day.

25 DR. MACK: The question is not whether

1 they're peeing. The question is, how long
2 does a given drop of water stay in the
3 bladder. And I'll bet you don't know that.

4 DR. FROINES: But I'll bet you we're
5 having a lot of hyperplasia in these animals
6 that are peeing and drinking every day.

7 DR. MACK: Okay. Are we to the -- Oops.
8 Yes, ma'am.

9 DR. SANDY: I'd just like to clarify,
10 there is at least one piece of new information
11 since EPA made its decision to classify this
12 as a "C", and that's the glutathione
13 transferase beta gene mutational spectrum
14 story, which came out in '97. That's where
15 you see similar mutational spectra between --

16 DR. FROINES: Oh. Yeah. That's a very
17 important finding, I think.

18 DR. MACK: Is that --

19 DR. SANDY: The author is --

20 DR. EASTMOND: Oh. It's David DeMarini,
21 I believe.

22 DR. SANDY: Yeah.

23 DR. MACK: All right. Are we ready to
24 vote? Let me get my little statement, here.

25 Everybody indicate by a show of hands, if

1 in your opinion chlorodibromomethane has been
2 clearly shown through scientifically valid
3 testing according to generally accepted
4 principles to cause cancer, which would
5 indicate that it will not be delisted.

6 I'm ready for a show of hands. The show
7 of hands is for those people who think that
8 this is a carcinogen, who want to keep it
9 listed.

10 We have 1, 2.

11 How many people believe that it is -- I'm
12 sorry. I have to read the whole thing.

13 Please indicate by a show of hands if in
14 your opinion chlorodibromomethane has not
15 been clearly shown through scientifically
16 valid testing according to generally accepted
17 principles to cause cancer and therefore
18 should be delisted.

19 4 to 2, and 1 abstention.

20 All right. I think we should go on to
21 the other in this category just because it
22 might be more efficient to do that rather than
23 go back to the big one.

24 DR. FROINES: Why don't we get mine over
25 with, go back to the first one.

1 DR. MACK: You want to go back to the
first one?

3 DR. FROINES: I don't think it will take
4 long.

5 DR. MACK: All right.

6 DR. FROINES: It doesn't matter to me.

7 DR. MACK: Well, it obviously does, or
8 you wouldn't have said it.

9 Okay. We'll go to bis
10 (2-chloro-1-methylethyl) ether. We just want
11 to keep the Staff on their toes.

12 DR. FAUST: Good afternoon. I'm
13 John Faust. The next agent under
14 consideration is technical grade bis
15 (2-chloro-1-methylethyl) ether, hereafter
16 referred to as BCMEE. The structure of the
17 primary component of BCMEE is presented here
18 along with its molecular weight.

19 Could I have the next slide, please.

20 The components of technical grade BCMEE
21 are shown on this slide. They are the
22 structural isomers bis
23 (2-chloro-1-methylethyl) ether at
24 approximately 69-71 percent,
25 2-chloro-1-methylethyl (2-chloropropyl) ether,

1 also known as the "mixed" isomer, at
2 approximately 26-29 percent, and bis
3 (2-chloro-n-propyl) ether at approximately 2-3
4 percent.

5 Next slide, please.

6 BCMEE is a beta-haloether. The primary
7 occurrence of this compound is as a by-product
8 of the manufacture of propylene glycol and
9 propylene oxide. This occurrence has been
10 shown to produce measurable amounts of BCMEE
11 in effluents from facilities where such
12 manufacturing occurs. U.S. EPA's 1996 Toxic
13 Release Inventory estimated that approximately
14 4,100 pounds of BCMEE were released primarily
15 as stack air emissions.

16 BCMEE itself has also been used as a
17 component of paint and varnish removers, as an
18 intermediate in dye synthesis, and as the
19 active ingredient of a pesticide used in
20 Japan.

21 With respect to authoritative body
22 evaluations, in 1999, IARC published an
23 evaluation of this compound and placed it in
24 Group 3, unclassifiable as to its
25 carcinogenicity, based on inadequate evidence

1 in humans and limited evidence in animals.

2 Next overhead, please.

3 This overhead summarizes the major
4 carcinogenicity data available from humans and
5 experimental animals. No data are available
6 regarding the carcinogenicity of BCMEE in
7 humans. The major studies available from
8 experimental animals are mouse bioassays
9 published by the National Toxicology Program
10 in 1982, rat bioassays by the National Cancer
11 Institute in 1979, and mouse bioassays by
12 *Mitsumori and others* in 1979.

13 In the NTP bioassay in mice, the primary
14 findings were an increase in liver tumors in
15 male mice and increases in lung tumors in both
16 male and female mice. A small number of
17 forestomach tumors were also observed in both
18 male and female mice.

19 To briefly summarize the study, male and
20 female B6C3F1 mice, 50 per group, were treated
21 for 103 weeks by oral gavage with 0, 100, or
22 200 milligrams per kilogram body weight,
23 technical grade BCMEE dissolved in corn oil.

24 This table summarizes the primary
25 incidence data for tumors in the male mice.

1 Significant increases in lung adenomas and
2 combined adenomas and carcinomas were observed
3 in the low-dose group. Significant increases
4 in liver carcinomas and combined liver
5 adenomas and carcinomas were observed in both
6 the low and high-dose groups. A single
7 forestomach squamous cell papilloma was
8 observed in each of the BCMEE treated groups
9 as well.

10 Next overhead, please.

11 The second table summarizes the primary
12 incidence data for tumors in the female mice.
13 Among animals in the high-dose group, a
14 significant increase in both lung adenomas and
15 combined adenomas and carcinomas was observed.
16 One squamous cell carcinoma and two squamous
17 cell papillomas of the forestomach were
18 observed in female mice in the high-dose
19 group. NTP's conclusions were that BCMEE was
20 carcinogenic for B6C3F1 mice.

21 Okay. Next overhead, please.

22 Among non-positive findings, the National
23 Cancer Institute also published the results of
24 bioassays in male and female Fisher F344 rats.
25 The treatment protocol is similar to that

1 described previously in the NTP bioassays.
2 However, in this bioassay, body weight and
3 survival were significantly affected by BCMEE
4 treatment such that almost no animals survived
5 to the end of the study.

6 Inadequate numbers of animals were
7 considered to survive for the observation of
8 late-appearing tumors. Among the animals
9 examined, no increases in tumor incidence were
10 observed. NCI concluded that "under the
11 conditions of this bioassay, the technical
12 grade test material was not carcinogenic for
13 F344 rats of either sex."

14 *Mitsumori and others --*

15 DR. FROINES: Did they discuss that? I
16 mean, do you have any idea how you can have a
17 study in which they acknowledge that there's
18 insufficient numbers of animals to make a
19 finding and they make a finding? There's a
20 contradiction there.

21 DR. FAUST: Yeah. These are the
22 statements that were made in the report. They
23 didn't go into further detail about what they
24 considered adequate for making that
25 conclusion.

1 DR. FROINES: It's just so -- this
2 particular study, the contradictions are so
3 glaring, that to have drawn a conclusion one
4 way or the other seems to me to be
5 problematic.

6 DR. FAUST: All right.

7 *Mitsumori and others* also published the
8 results of a bioassay in ICR mice fed diet
9 containing BCMEE. In this case, the test
10 compound was stated to be 98.5 percent pure.
11 In this bioassay, the study design limited the
12 number of animals surviving to the end of the
13 experiment at 104 weeks. No significant
14 increases in tumor incidence were reported in
15 the BCMEE exposed groups.

16 Next overhead.

17 BCMEE has also been tested in numerous
18 bacterial and mammalian assays for
19 genotoxicity. BCMEE has produced mixed
20 findings in Salmonella reverse mutation
21 assays, with some positive findings with and
22 without metabolic activation. A reverse
23 mutation assay in E. coli was not positive.

24 Positive findings in mammalian cell
25 assays, primarily using test material in the

1 NTP chemical repository, include the mouse
2 lymphoma forward mutation assay, a test for
3 chromosomal aberrations and sister chromatid
4 exchange in Chinese hamster ovary cells, and
5 an induction of S-phase DNA synthesis in mouse
6 hepatocytes.

7 Next overhead.

8 BCMEE also has structural similarity to
9 several other carcinogenic haloethers,
10 including compounds which cause tumors at the
11 same sites as BCMEE.

12 Bis chloroethyl ether is a beta haloether
13 which has been shown to induce liver tumors in
14 two strains of mice and is a direct-acting
15 mutagen. Bis chloromethyl ether is an alpha
16 haloether and a potent lung carcinogen in
17 mice, rats, and humans. Technical grade
18 chloromethyl methylether, which contains bis
19 chloromethyl ether, has also been associated
20 with lung cancer in humans. These three
21 compounds are on the Proposition 65 list of
22 chemicals known to cause cancer.

23 Next overhead, please.

24 To summarize, the evidence on the
25 carcinogenicity of BCMEE, it includes the

1 induction of lung tumors in male and female
2 mice and the induction of liver tumors in male
3 mice. A small number of rare forestomach
4 tumors in both male and female mice is
5 suggestive of a compound-related effect.
6 Other relevant data include evidence of
7 genotoxicity and the structural similarity of
8 the compound to other chemicals, particularly
9 haloethers, known to cause cancer.

10 DR. MACK: Thanks.

11 Okay, John.

12 DR. FROINES: Well, I should start out by
13 saying that I have a bias on this one, because
14 the most of us who got into this field in the
15 early 70's were aware of what happened with
16 BCMEE at the Rhom and Hass Plant in
17 Pennsylvania. And many of us have read the
18 book, "54 Who Died".

19 BCMEE is clearly a very potent
20 carcinogen. It's produced in non-smokers. It
21 was quite a scandal for a period of time. And
22 so, one of the things that's clear, both from
23 the epidemiology and from the animal studies,
24 is that BCMEE was a compound of great
25 significance, unfortunately. So, we start out

1 with that knowledge, and then we start looking
2 at this particular information.

3 I wanted to show you an overhead.

4 Martha, can you --

5 *I want to show you how I can turn this*
6 *compound into BCMEE. And I think it's*
7 *relatively easy to do that.*

8 If you'll notice, that's the compound of
9 question at the top. But if you lose the
10 chlorine and form a carbonium ion -- everybody
11 in here who's a chemist knows that primary
12 carbonium ions are not very stable, and so
13 don't like to sit around. And so that methyl
14 group is truly going to rearrange the bond
15 with that methylene group there, giving you
16 the compound you see below, where you have
17 formed a secondary carbonium group. And that
18 compound can undergo alkylation and other
19 kinds of reactions.

20 As you look, that compound there, then,
21 has the same resonance stabilization that you
22 would get with BCMEE. It's in fact identical.
23 So that in a sense, by a molecular
24 rearrangement, which is likely to happen under
25 certain circumstances, you will end up with

1 something that looks like BCMEE.

2 I'm not arguing that that actually
3 happens. What I'm arguing is that this
4 compound does have similarities to BCMEE, and
5 that it is at the outset a very worrisome
6 compound in that regard.

7 Now, the second thing that I'm not sure
8 was mentioned is that -- go up to the top,
9 raise the bottom. Show the propylene oxide.
10 Of course, the people who did the metabolism
11 work wrote somewhat extensively about the
12 importance of the oxygen group there, knocking
13 out that chlorine and forming the propylene
14 oxide.

15 I'm not sure whether the oxygen group
16 forms the epoxide first or whether you get
17 cleavage of the ether. But either way, you
18 end up as a metabolic product of propylene
19 oxide, which is a carcinogen, and it's
20 designated as such.

21 So that pathway that formed that
22 intermediate, which is one of the metabolites
23 of the compound under question, is another
24 example of a compound that would raise your
25 sense of awareness.

1 I think what we have here is, then --
2 what we're dealing with is three things, as
3 far as I'm concerned. What we're dealing with
4 is a good NTP bioassay, a solid NTP bioassay,
5 which is positive for lung, liver, and some
6 indication of forestomach cancers. So we have
7 relevant cancer endpoints, I think, and a
8 well-conducted study. The other two studies
9 obviously had limitations.

10 We have multiple target sites, multiple
11 sexes, but only one species. And that
12 obviously is the limiting factor that has
13 caused the concern. We certainly have
14 significant evidence for genotoxicity.

15 And so as far as I'm concerned, when you
16 consider the structure-activity relationships
17 that we've just gone through here -- and one
18 can do much more than I've done -- when you
19 take structure activity, when you take
20 genotoxicity, when you take the
21 metabolism-producing propylene oxide, and when
22 you take the NTP bioassay, I think that taking
23 all that together, I would argue that the
24 compound should be designated for listing.

25 DR. MACK: Thank you.

1 Other members of the Committee want to
2 weigh in? Bill, does this one convince you?

3 DR. SPANGLER: No. I'm just not going to
4 be convinced based on the presence of tumors
5 in mice. You know that, by itself,
6 admittedly along with the rest of the stuff,
7 forms a story. I can't bring myself to say
8 that this is clearly shown to cause cancer. I
9 mean, it's clearly shown to cause cancer in
10 mice, but that doesn't quite go as far as we
11 need to go, I think. So that's, you know,
12 that's my opinion.

13 DR. MACK: Joe?

14 DR. FROINES: I think -- Can I just
15 comment on that?

16 DR. MACK: Yeah.

17 DR. FROINES: I think that it's one thing
18 to say that you don't like liver cancers in
19 B6C3F1 mice. I think it's another thing to
20 say you don't want to count forestomach
21 cancers or lung cancers in particular as
22 relevant. I think lung cancers are highly
23 relevant in this particular circumstance. And
24 I think that one would have to demonstrate why
25 the lung cancers aren't relevant under these

1 circumstances.

2 DR. SPANGLER: My -- I'm not sure how
3 many, what the background of cancer was in
4 this study.

5 DR. FAUST: In the control animals or
6 among the historical controls?

7 DR. SPANGLER: Um-hm. Yes.

8 DR. FAUST: I think there were one each.

9 DR. SPANGLER: Okay. So these are
10 carcinomas, 1, 2, and 2 -- no this is the
11 female.

12 Okay. In males, carcinomas -- just in my
13 experience in looking at mice bioassays, you
14 know, adenomas of the lung in mice are
15 something that you run into. This data
16 suggests that there are more of them in the
17 treated groups, but it doesn't look like you
18 have a good dose response.

19 DR. MACK: Are you saying that about the
20 four carcinomas?

21 DR. SPANGLER: Adenomas.

22 DR. MACK: No. There are four
23 carcinomas.

24 DR. SPANGLER: Oh. Four carcinomas.

25 DR. MACK: Four carcinomas in the treated

1 group, and one in --

2 DR. SPANGLER: That's -- the carcinomas,
3 I just don't find that compelling, the
4 carcinoma data compelling.

5 DR. MACK: Okay.

6 Joe?

7 DR. LANDOLPH: I agree with John on the
8 structural similarities of these compounds.
9 BCMEE is a defined, strong, human lung
10 carcinogen. There's no question about that.
11 This compound is so structurally similar, it's
12 almost impossible to ignore. The extra
13 presence of the methyl will stabilize the
14 carbonium ion further. So I would predict
15 from an organic principles basis this would be
16 at least as bad, if not worse.

17 Bill has good comments here. I mean, you
18 know, it's one strain of mice. We're going to
19 go through that forever. But there's lung,
20 there's liver, and an odd forestomach tumor.
21 And there's males and females. So this is a
22 lot of data. In addition to that, there's a
23 lot of genotoxicity data.

24 So it's just my own personal opinion. I
25 appreciate everybody's comments and respect

1 all the comments, but I'm weighing in
2 positive.

3 DR. PETERS: I think I have nothing to
4 add. But I agree with John and Joe.

5 DR. MACK: Jim?

6 DR. FELTON: I have to agree with Joe. I
7 mean, we're trying to be consistent here in
8 how we're doing this. We've been analyzing
9 all these different chemicals that are
10 positive in one species. But again, here we
11 have all the additional mechanistic,
12 structural analogies, and gene tox data which
13 supports it. So again, this one looks like
14 the others.

15 DR. MACK: David?

16 DR. EASTMOND: The additional question
17 which I might ask John is, it's my
18 understanding that BCMEE, when tested in mice,
19 also causes tumors in the respiratory tract.
20 Are they the same type? Are they both
21 adenomas and carcinomas? Do you know?

22 DR. FAUST: The bioassays results for
23 BCMEE? I could not tell you.

24 DR. EASTMOND: Okay. Because it does say
25 in the document that you do see respiratory

1 tract tumors with BCMEE, which is for me,
2 another evidence, because you see the same
3 tumor types in the bioassays. But I wasn't
4 sure about --

5 DR. FROINES: Well, I mean, the obvious
6 is, you see the lung cancers in humans. So
7 there is that comparison as well.

8 DR. MACK: All right. I gather there are
9 no public comments?

10 Are we ready to take a vote?

11 Please indicate by a show of hands if in
12 your opinion BCMEE has been clearly shown
13 through scientifically valid testing according
14 to generally accepted principles to cause
15 cancer.

16 A show of hands for those who believe it
17 does. 1, 2, 3, 4, 5, 6.

18 And a show of hands if in your opinion
19 BCMEE has not been clearly shown through
20 scientifically valid testing (to cause
21 cancer).

22 Bill, your hand is up, I presume?

23 Okay. 6 to 1.

24 All right. Let's go on to the next
25 delisting chemical, which would be allyl

1 chloride. John is up.

2 What do you want to do?

3 DR. FROINES: Could you go to the next
4 one and come back to me?

5 DR. MACK: All right. John wants a
6 break. Okay. We'll go to the third one,
7 then. I understand. Just like your mice.

8 1,1-Dichlorethane. We're doing Joe
9 Landolph's 1,1-Dichloroethane.

10 DR. MCDONALD: Greetings, everyone. I'm
11 Tom McDonald again. I'll be briefly
12 describing the listing history and
13 carcinogenicity evidence of
14 1,1-Dichloroethane, which will be abbreviated
15 as 1,1-DCA.

16 1,1-DCA is used as a solvent for
17 plastics, oils, and fats, as a cleaning
18 agent/degreaser, as an extraction solvent, and
19 as a chemical intermediate. Reporting of
20 1,1-DCA to the Toxic Release Inventory has
21 been required since 1994. No company has
22 filed a use report for 1,1-DCA in California
23 from 1994 to 1998.

24 According to the California Air Resources
25 Board, total emissions of 1,1-DCA, as reported

1 under the Hot Spots Program, were less than 30
2 pounds per year. There have been reports of
3 1,1-DCA-contaminated groundwater near
4 aerospace manufacturing facilities in
5 California. Additionally, some consumer
6 products, such as lubricating oils and
7 specialty cleaning products, may contain
8 1,1-DCA.

9 Next slide, please.

10 1,1-DCA was listed on the Proposition 65
11 list in 1990. This listing was based on a
12 classification of B2 by U.S. EPA in 1989, in
13 its Health Effects Summary Tables. The
14 listing was based on findings in the NCI 1978
15 bioassay.

16 In 1990, U.S. EPA revised its
17 classification of 1,1-DCA, as posted on IRIS,
18 to Group C. The Group C classification was
19 based on lack of evidence in humans and
20 limited evidence in rats and mice. Although
21 the reasons for the change in the
22 classification were not described in the IRIS
23 file, subsequent discussion with U.S. EPA
24 scientists have indicated that the change was
25 made on professional judgement in the weight

1 of the evidence, since no new information had
2 been published on this chemical.

3 Next slide, please.

4 The carcinogenicity studies of 1,1-DCA
5 are shown in this slide. No human studies are
6 available. In animals, there is only one
7 series of studies conducted by the National
8 Cancer Institute in 1978. These included
9 gavage studies in male and female B6C3F1 mice
10 for 78 weeks followed by a 13-week observation
11 period, and gavage studies in male and female
12 Osborne-Mendel rats for 78 weeks followed by a
13 33-week observation period.

14 Next slide, please.

15 Before describing the tumor findings, I
16 would like to note that there were significant
17 concerns about study quality with respect to
18 dosing and survival. As often occurred in
19 early NCI studies, an irregular dosing pattern
20 was employed in which doses were either
21 increased or decreased based on observed
22 tolerances of the compound.

23 Doses of 1,1-DCA used in this study were
24 high and were, on average, roughly 1500 to
25 3000 milligrams per kilogram body weight in

1 low and high-dose groups in the rats and
2 roughly 400 to 1000 milligrams per kilogram
3 per day in the mice respectively.

4 As you can see from this slide, the
5 percentage of the animals surviving to the end
6 of the study was low. Tumors appeared
7 relatively late in the experiment, thus early
8 mortality was not due to cancer. In the male
9 and female rats, survival was particularly
10 low, which NCI attributed to widespread
11 infection, that is, pneumonia in the animals.

12 Next slide, please.

13 This slide shows the tumor incidence
14 observed in mice. NCI observed an increased
15 incidence of hepatocellular carcinoma in the
16 high-dose male mice for those surviving past
17 52 weeks. The liver tumors were also
18 significant by trend test. In the high-dose
19 female mice, an increased incidence was
20 observed for endometrial stromal polyps of the
21 uterus, which is a benign tumor. Results were
22 also significant by trend test.

23 It should be noted that Dr. Louis Gold
24 and colleagues conducted a Cox-type survival
25 analysis on the individual animal data and

1 found an even stronger association.

2 Next slide, please.

3 DR. MACK: Lois Gold.

4 DR. MCDONALD: I'm sorry. Lois Gold.

5 Here, we have the observed tumor
6 incidences in rats. In male rats, no
7 treatment-related tumors were observed. In
8 female rats, however, an increased incidence
9 was observed for hemangiosarcomas of the
10 circulatory system, an uncommon tumor, which
11 was statistically significant only by trend
12 test. An increased incidence of mammary gland
13 adenocarcinoma was reported but was not
14 significant by pairwise. Survival analysis
15 conducted by *Gold et al* found a significant
16 association for both of these endpoints in the
17 female rat.

18 Next slide, please.

19 With respect to other relevant data, in
20 tumor-promotion studies, 1,1-DCA did not
21 exhibit initiating potential. However,
22 1,1-DCA was positive for tumor promotion in
23 two reports and was inconclusive in another.

24 In DNA binding studies, 1,1-DCA
25 administered *in vivo* to rats or mice bound

1 covalently to DNA and other cellular
2 macromolecules.

3 Next slide.

4 1,1-DCA generally exhibited positive
5 genotoxicity. 1,1-DCA was negative in
6 Salmonella reverse mutation employing
7 standard, open test systems, but was positive
8 in closed systems. Positive findings were
9 reported for various short-term assays shown
10 here in Aspergillus, rat and mouse
11 hepatocytes, and hamster embryo cells. In an
12 *in vivo* mouse study, 1,1-DCA was negative for
13 alkaline DNA unwinding.

14 Next slide, please.

15 Structure-activity comparisons: It is
16 interesting to compare the results of the NCI
17 gavage study of 1,2-DCA to those obtained from
18 the NCI gavage study of 1,1-DCA. As you can
19 see from this slide, 1,2-DCA exhibited many of
20 the same tumors at the same species as
21 1,1-DCA. 1,2-DCA is on the Prop 65 list and
22 is considered a Group B2 carcinogen by U.S.
23 EPA.

24 It should be stated, however, that
25 1,2-DCA was found to be non-positive for

1 carcinogenicity in two long-term inhalation
2 studies and in a one-year drinking water
3 study.

4 Next slide, please.

5 To summarize, (there were) observations
6 of increased tumor incidences in the liver of
7 male mice, the uterus of female mice, and the
8 circulatory system and mammary gland of female
9 rats. However, there were problems with study
10 quality, particularly with the use of high
11 dose and with low survival.

12 Other relevant data include generally
13 positive findings of genotoxicity, and some
14 indications of chemical structural analogies,
15 and tumor-promoting activity.

16 DR. MACK: Thank you, Tom.

17 Joe?

18 DR. LANDOLPH: I'm a little bit bothered
19 that the EPA listed it, and then based on
20 "professional judgement" with no extra data,
21 they delisted it. So that bothers me. And I
22 don't know what that means.

23 There is some evidence for tumorigenicity
24 in that very nice summary that was presented.
25 It seems like you have to confine this

1 material to get genotoxicity from it. And it
2 looks like there may be N-stage P450
3 activation to an aldehydic metabolite, which
4 may be responsible for it's genotoxicity. But
5 that's not even clear.

6 So we have a paucity of mechanistic
7 information. We do know it's metabolized to
8 acetic acid and chloroacetic aldehyde. But
9 there's no real good genetox data. And this
10 is the case with volatiles, you often have to
11 go through hoops to get them to show gene
12 toxicity. Unless you confine them in a closed
13 vessel, you don't get many results. So if you
14 do that, you do get some genotoxicity.

15 But I'm worried about the EPA's
16 reassessment. I think this is what I was
17 worried about in the beginning, that these
18 things are not well thought out. And I hate
19 to see this continual flip-flop. So, I'm a
20 little bit ambivalent about this. The
21 structural analogy certainly is clear, but
22 there are holes in this database. Certainly,
23 one would like to see more data.

24 DR. MACK: John, do you have any comments
25 on this one?

1 DR. FROINES: No.

2 DR. MACK: Jim?

3 DR. FELTON: (Shaking head.)

4 DR. MACK: David?

5 DR. EASTMOND: The key point to me in the
6 document is that much of the driving force
7 behind classification is based on this study
8 by NCI, which is the mouse and the rat. And
9 the conclusions of the study say that they
10 didn't find any evidence.

11 As it describes here in the conditions,
12 there was no conclusive evidence for
13 carcinogenicity in either the rat strain or
14 the mouse strain. And for me, that's really a
15 very key point. In a re-analysis done by Gold
16 and Zeiger, you know, they did pick up some
17 trends. And there may be some positive
18 things. But for me, it's certainly not a
19 clear-cut increase in tumor incidence.

20 DR. MACK: Let me ask our dyspeptic
21 pathologist about hemangiosarcomas. How often
22 do you see those in rodents?

23 DR. SPANGLER: Well, in rats, I don't
24 think as often as you do in mice.

25 DR. MACK: But you see them fairly often

1 in mice?

2 DR. SPANGLER: In these studies, they say
3 they occurred in rats. These are studies in
4 which they concluded that there wasn't any
5 evidence.

6 DR. MACK: Yeah. I know. But that's --
7 we're sort of looking at it from the outside,
8 though. And we can't necessarily rely upon
9 their judgement, I think. I mean, that's the
10 one thing that bothers me about this
11 particular compound. Because these are
12 unusual tumors. That bothers me.

13 DR. SPANGLER: Biologically, these kinds
14 of tumors bother me. But statistically --

15 DR. MACK: Okay. Anybody else have
16 anything to say? Do we have any comments from
17 the -- Jim?

18 DR. COUGHLIN: Dr. Jim Coughlin, Coughlin
19 and Associates. We recognize the format. It
20 was the same five authoritative bodies. Tom
21 had everything right. All the authoritative
22 bodies did what you said they did. It did
23 happen about 10 months after the listing. The
24 IRIS thing was finalized on October 1st, 1990.

25 Based on that same HEAST table, which, I

1 don't know how that gets made -- but to
2 address Dr. Landolph, this IRIS process, the
3 RFD/RFC working group, was an EPA process.
4 It's now been disbanded. But it acted for 10
5 or 12 years. 20 people, 25 people got
6 together in a room and studied the heck out of
7 this. And then they verified the
8 carcinogenicity and put it up on the IRIS.

9 So it's not a whimsical change, I don't
10 think, in the EPA's mind. I think it was a
11 temporary thing that was sitting on the HEAST
12 table, and then they really looked at it. And
13 then October 1st, 1990, they said it's Group
14 C.

15 Let me jump you down to the last point.
16 OEHHA, on its web page, where it addresses
17 public health goals for drinking water --
18 there's a law that requires them to look at
19 California public health goals -- has a Table
20 2. And the key thing on this table -- Table 1
21 has chemicals that already have public health
22 goals. Table 2 is chemicals -- can everybody
23 see that -- Table 2 in this June '98 document
24 lists a whole bunch of chemicals that go on
25 for ten pages -- that don't have a public

1 health goal. And there's columns that
2 describe what kind of public endpoint it is.

3 A lot of the chemicals in those columns
4 have cancer endpoints. And there's MCLG's,
5 Maximum Contaminant Level Goals, set by EPA,
6 of zero. There's also calculated cancer risks
7 for a lot of the chemicals. But, in this very
8 OEHHA document, the listing for this chemical
9 is that the chronic toxicity was due to
10 increased death rate of the rats, not
11 carcinogenicity.

12 At the California MCL of .005 milligrams
13 per liter, the entry was "N/A"-- and that's a
14 footnote I've got in quotes. N/A is "no
15 cancer risk is calculated for chemicals
16 considered non-carcinogens". So another
17 branch of OEHHA or different people within
18 OEHHA are calling this chemical a
19 non-carcinogen, just like EPA did when they
20 changed their mind back in 1990.

21 Do I have that wrong? It sounds like I'm
22 generating some discussion over here.

23 DR. SALMON: (Inaudible.)

24 DR. COUGHLIN: It's not the cancer risk
25 calculated at the California MCL?

1 DR. SALMON: (Inaudible) Without
2 California public health goals. I think
3 that's the table which is quoted in the U.S.
4 EPA figures, and they're quoting that
5 determination.

6 DR. COUGHLIN: Okay. So that's not a
7 separate OEHHA determination? Okay. Thank
8 you for the clarification.

9 DR. MACK: Does anybody else have any
10 insight on this hemangiosarcoma business? All
11 right. I guess we have to make a vote.

12 DR. FROINES: This is an interesting -- I
13 said I wasn't going to talk, but --

14 DR. MACK: I bet you are.

15 DR. FROINES: I'll just say one thing.
16 I think the fact that you -- in contrast,
17 I think every other chemical we've dealt with
18 today, this is the first one where you
19 actually do find some data in rats.

20 DR. MACK: Yeah. And it's an unusual
21 tumor.

22 Please indicate by a show of hands if in
23 your opinion --

24 DR. LANDOLPH: Tom?

25 DR. MACK: Hi, Joe.

1 DR. LANDOLPH: Hi Tom. Do we know
2 whether the induction of those
3 hemangiosarcomas were dose dependent?

4 DR. MACK: They were all in the final
5 dose column.

6 DR. LANDOLPH: All at the highest dose
7 column.

8 DR. SPANGLER: But it was not
9 statistically significant for pairwise
10 comparisons.

11 DR. MACK: Yeah.

12 DR. SPANGLER: It was significant as a
13 trend.

14 DR. MACK: Right.

15 DR. SPANGLER: And also, we're looking at
16 data, here, where we're really trying hard.

17 DR. MACK: Yeah. But I mean, I come back
18 to the fact -- and that's why I asked you, how
19 often do you see them? To see four, second
20 heads on somebody's body might not provide a
21 significant trend. But I would be most
22 concerned.

23 DR. LANDOLPH: Did those tumors appear in
24 the control column at all?

25 DR. MACK: No.

1 Is that correct? I think that's correct.

2 Okay. Please indicate by a show of hands
3 if in your opinion, 1,1-dichloroethane has
4 been clearly shown through scientifically
5 valid testing according to generally accepted
6 principles to cause cancer and therefore
7 should remain on the list.

8 I'm actually going to go for this one,
9 just for the hell of it.

10 My goodness.

11 DR. SPANGLER: I'm not voting for the
12 hell of it.

13 DR. MACK: Well, we've got 1, 2, 3, 4, 5.

14 Please indicate by a show of hands if in
15 your opinion, 1,1-dichloroethane has not been
16 clearly shown through scientifically valid
17 testing according to generally accepted
18 principles to cause cancer and therefore
19 should be delisted.

20 2. All right.

21 Now, John, is your bladder willing to
22 take on the task of doing allyl chloride?

23 DR. RABOVSKY: My name is Jean Rabovsky.
24 I will be speaking to you about the allyl
25 chloride, which is being considered for

1 delisting.

2 Allyl chloride is used as an intermediate
3 in the manufacture of industrial and consumer
4 products. Primary stationary emission sources
5 in California are automotive repair shops,
6 metal industries, and educational services.
7 And in 1997, about 270 pounds per year were
8 emitted into the air. Allyl chloride could
9 contribute to indoor air pollution. However,
10 a 1990 indoor sampling monitoring study did
11 not reveal measurable concentrations in the
12 samples.

13 Next slide, please.

14 Allyl chloride was listed by California
15 in 1990 as a carcinogen under Proposition 65.
16 The listing was based on a 1987 U.S. EPA
17 report in which evidence for allyl chloride
18 carcinogenicity included limited experimental
19 animal data and supporting data on
20 mutagenicity, alkylating properties, and
21 metabolism to epichlorohydrin.

22 In 1990, U.S. EPA revised the
23 classification to a possible human carcinogen,
24 that is, Group C, on the basis of lack of
25 evidence in humans, a low incidence of

1 forestomach tumors in mice, and positive
2 genotoxicity test results.

3 Next slide, please.

4 Two authoritative bodies evaluated the
5 evidence of allyl chloride carcinogenicity.
6 IARC concluded the carcinogenicity was not
7 classifiable based on inadequate evidence in
8 experimental animals and absence of data in
9 humans. NCI concluded there was suggestive
10 evidence for carcinogenicity in male and
11 female mice based on the low incidence of a
12 rare neoplastic forestomach lesion.

13 Two other authoritative bodies, NIOSH and
14 FDA, do not appear to have evaluated the
15 carcinogenicity of allyl chloride.

16 Next slide, please.

17 Three epidemiologic studies on workers
18 were carried out between 1990 and 1996. The
19 studies, however, are not informative about
20 allyl chloride carcinogenicity because the
21 primary exposure was to epichlorohydrin.
22 Allyl chloride exposure could only be inferred
23 for some of the workers, depending on their
24 work assignments.

25 Next -- yeah, next slide.

1 Four bioassays have been reported by
2 three authors, NCI, *Theiss*, and *Van Duuren*.
3 In the NCI study that is on the board before
4 you now, in this study, B6C3F1 mice and
5 *Osborne-Mendel* rats were exposed by gavage to
6 allyl chloride.

7 The major finding in the NCI mouse study
8 was an increased incidence of squamous cell
9 carcinoma among the low-dose female and male
10 mice. Survival among high-dose males was poor
11 and was adequate among low-dose males and low
12 and high-dose females. Statistical
13 significance, however, was only revealed by a
14 binomial distribution analysis.

15 Metastases were observed in the low-dose
16 males, and squamous cell papillomas were
17 observed at the same site among low dose and
18 high-dose females. No forestomach tumors were
19 observed in vehicle or untreated control mice.

20 The historical female B6C3F1 mouse
21 control rate for squamous cell carcinoma or
22 papilloma, which the authors describe as
23 "infrequently observed in B6C3F1 mice", was
24 less than the rate observed among the treated
25 female mice.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Next slide, please.

Among the mice exposed to allyl chloride by i.p. injection, the high-dose males exhibited an increased number of lung adenomas per mouse compared to vehicle control. The authors rated the carcinogenic effect as intermediate because significance was positive for only one of two statistical tests.

Next slide, please.

Among the rats in the NCI study, no increased incidences of tumors compared to controls were observed in the low-dose female or male rats. The high mortality among the high-dose rats of both sexes precluded tumor analysis on these animals. The authors concluded there was no evidence for carcinogenicity of allyl chloride in rats and also noted the low power of the study due to high mortality, especially in the high-dose groups of both sexes.

Next slide, please.

Female mice receiving topical applications of allyl chloride for over a year did not exhibit skin papillomas or carcinomas in a complete carcinogenesis bioassay. When

1 tested in an initiation/promotion bioassay, an
2 increased incidence of skin papillomas
3 appeared in the mice that were first initiated
4 with allyl chloride and then treated with a
5 promoter.

6 Next slide, please.

7 Allyl chloride was mutagenic in two
8 bacterial species, and in yeast and in fungus.
9 It caused *in vitro* unscheduled DNA synthesis
10 in HeLa cells and bound to DNA *in vitro*.
11 Mutagenicity can be demonstrated in the
12 absence of metabolic activation. However,
13 such activation enhances the mutagenic effect,
14 probably through alternative pathways, and
15 several active genotoxins formed during the
16 operation of these pathways have been
17 suggested on the basis of urinary metabolite
18 analyses.

19 Next slide, please.

20 Allyl chloride binds to DNA *in vitro* with
21 the formation of guanine and adenine adducts,
22 plus adducts of unidentified structure.
23 Although metabolic activation is not required,
24 it does lead to enhanced mutagenicity.

25 Two proposed genotoxins based on urinary

1 metabolite analysis and enzyme inhibitor data
2 are epichlorohydrin and glycidaldehyde. Each
3 is an epoxide and each leads to DNA binding
4 and DNA adduct formation *in vivo* and *in vitro*.

5 Next slide, please.

6 Several allyl compounds are known
7 mutagens and/or carcinogens. Among the
8 mutagens are 3-chloro-2-methylpropene,
9 1-chloro-2-butene, 2,3-dichloro-1-propene.

10 It's interesting to note that in safrole,
11 there is also an allylic structure, just as we
12 were discussing with allyl chloride, which has
13 been listed as a carcinogen under Proposition
14 65.

15 Two proposed metabolites, epichlorohydrin
16 and glycidaldehyde, each of which is proposed
17 on the basis of urinary metabolite analysis,
18 are listed under Proposition 65 as
19 carcinogens. Epichlorohydrin was classified
20 by U.S. EPA as a probable human carcinogen on
21 the basis of sufficient evidence in
22 experimental animals, and by IARC in 1987, as
23 a probable human carcinogen on the basis of
24 sufficient evidence in animals and positive
25 results in short-term genotoxicity tests.

1 Last slide, please.

2 In summary, allyl chloride causes a rare
3 squamous cell forestomach tumor in female and
4 male mice. The confidence in these findings
5 is reduced by toxicity and mortality, and
6 marginal statistical significance. Increased
7 confidence in the findings results from
8 precancerous and cancerous lesions in the
9 forestomach of female and male mice.

10 Positive genotoxicity results in a number
11 of test systems, structural relationship to
12 known mutagens and carcinogens, and the
13 suggested formation of allyl chloride
14 metabolites of known carcinogenicity.

15 DR. MACK: Thank you.

16 DR. FROINES: Martha, could you show
17 this?

18 I think that -- without overdoing the
19 chemistry lesson too much -- allyl chloride is
20 at the top. You see when it forms a carbonium
21 ion by loss of chlorine, it has resonance
22 stabilization. So that one would tend to be
23 concerned about allyl halogens as potentially
24 strong alkylating agents.

25 So I'm starting off with a little

1 resistance or hesitation to delist a compound
2 that I think structurally appears to be a
3 reasonably strong alkylating agent. And as we
4 know, alkylating agents have potential
5 carcinogenesis.

6 Some could argue that strong alkylating
7 agents will tend to bind protein and all sorts
8 of other macromolecules and may not even make
9 it into the nucleus. But I think that based
10 on the structure-activity relations, one wants
11 to approach the question with some
12 conservatism.

13 This particular issue is extremely
14 important in California, because the compound
15 that's just below there, Telone, which is
16 1,3-dichloropropene, is a compound that in
17 about 1990 to 1992 -- actually, it's license
18 was suspended because of the risk assessment.
19 It has been found to be a rather powerful
20 animal carcinogen.

21 There is some human evidence.
22 1,3-dichloropropene was suspended from use in
23 California. It's a nematocide. And one of
24 the interesting things about it is, it's in
25 one of the compounds that will be used when

1 methyl bromide is eliminated. So this issue
2 of allyl compounds is important.

3 We're way up to about 2 million pounds in
4 California at this point for Telone. So I say
5 all that only by way of saying that we need to
6 be cautious about allyl chloride compounds, I
7 think, in terms of how we go about them.

8 All right. Secondly, you've seen the
9 data on the forestomach cancers.

10 In your last slide, you didn't include
11 the lung tumors as well. I would have
12 included that, because the conclusion was that
13 allyl chloride tumorigenicity in this
14 experiment is raised as intermediate, so that
15 there is some evidence, albeit limited.

16 Third, it clearly is -- the allyl
17 compounds are clearly genotoxic, and I think
18 that's important. And fourth, we have the
19 metabolite of epichlorohydrin and the
20 glycidaldehyde.

21 So, when you take those, the structure
22 activity, the mutagenicity, the animal data
23 together, I would be hesitant to delist this
24 particular compound, recognizing that there's
25 more information that we would like to have to

1 further confirm its carcinogenicity.

2 DR. MACK: Joe?

3 DR. LANDOLPH: John, is there any
4 evidence that you get epichlorohydrin or
5 glycidaldehyde formed in humans? Is there any
6 data like that out there? I side with you. I
7 feel the same way. But my question is, do we
8 know that we have epichlorohydrin and
9 glycidaldehyde formed in humans? Is that data
10 available?

11 DR. FROINES: You know, this is one of
12 the -- I don't want to get on my soap box, but
13 this is one of these compounds that -- my
14 guess is that the amount of actual exposure to
15 human beings is relatively limited, even the
16 massive 270 pounds that's reported here.

17 So I think the data on humans is
18 relatively limited. I don't think we have an
19 answer to that. And I don't think we want to
20 put anybody in a chamber to find out.

21 DR. MACK: Jim?

22 DR. FELTON: This gives me a real
23 problem. I mean, I'm not a biostatistician, but
24 of all the data we looked all day today, this
25 is the weakest carcinogenistically. I mean,

1 you just don't fall out of your chairs. I
2 think this is one tumor past being significant
3 for one dose. I mean there's just almost
4 nothing there.

5 I have a hard time with this one. I
6 agree with you on the mechanistic, but I like
7 to use the mechanistic data and analogy data
8 to back up the strong carcinogenicity data, at
9 least in one test, but here, being one
10 species, I can't do that. It looks like a
11 delist to me.

12 DR. FROINES: I don't disagree with that,
13 Jim. I'm concerned about a compound that I
14 think is a carcinogen to delist it. That's
15 what bothers me. I think if you ask me, would
16 I list it, I might have a different view than
17 if you asked me whether I wanted to delist it.

18 DR. MACK: David?

19 DR. EASTMOND: Well, I have many of the
20 same concerns. If you look at the chemistry,
21 if you look at the background, the
22 mutagenicity, etc, this is one that you don't
23 feel -- you know, you're not real comfortable
24 with. But when you look at actually what is
25 seen in these animal bioassays, the evidence

1 is certainly murky for me.

2 Now, there is some consistency in that if
3 it is a direct-acting agent, you would expect
4 that it probably react directly where it's
5 administered. And that's what you do see.
6 But the increases are certainly not by
7 concurrent controls. This has got to be
8 looking at historical controls and pooling
9 things and doing all -- you know, I mean,
10 that's the rationale that I see on the
11 evidence.

12 DR. FROINES: You do see the lung tumors
13 with i.p. injection -- in the female, the
14 Strain A mice were i.p. injected so that the
15 adenomas were identified via i.p. And that is
16 not -- presumably, we can argue with whether
17 i.p. injections always go through the liver or
18 not.

19 The allyl chloride appears to last long
20 enough to get into the lung.

21 DR. MACK: Joe?

22 DR. LANDOLPH: It's just that I'm a
23 little bit concerned that epichlorohydrin is
24 listed as a metabolite. And that's already on
25 the Prop 65 list. And so therefore, this

1 could be a procarcinogen for epichlorohydrin
2 in addition to its other carbonium ion
3 formation.

4 DR. MACK: Yeah. I think there's a big
5 split here between the empiric data and what
6 ought to be seen, both on the basis of the
7 metabolism and on the basis of the structure
8 function. I agree that it's stronger evidence
9 for stopping delisting than it is for listing.

10 Anybody else? Anything from the -- yes,
11 indeed. Well guess who.

12 DR. COUGHLIN: I should have Dr. Mack
13 give my presentation. Dr. Jim Coughlin,
14 Coughlin and Associates. And this is more of
15 a procedural thing. This is the first time
16 it's been a draft document.

17 In 1986, the listing was moved on because
18 it was a draft, a final draft document from
19 EPA in '86. IRIS came along in September 1,
20 '90, and said it was limited evidence, and
21 said it was hard to interpret, very big
22 inadequacies in the data.

23 However, IARC has looked at it three
24 times. Three groups met -- in '85 was the
25 original monograph. They looked again when

1 they re-evaluated all of them in '87. And
2 then in 1998, they did a third evaluation.
3 And a lot of times, IARC gives something a
4 Group 3 when it's limited animal evidence and
5 no human data. But this is inadequate.
6 That's the lowest standard that IARC finds for
7 adequacy of data. The bioassay, there was no
8 strong evidence in the bioassay that was
9 discussed.

10 But this is a procedural thing that I
11 just want to point out. You know, they acted
12 on a draft document. If they had just waited
13 a few more months, the final IRIS process
14 where 20, 25 EPA scientists come together and
15 fight it out for several months and determine
16 a final listing, this would not have been
17 listed in 1990, because there was no
18 sufficient evidence.

19 DR. MACK: Well I wish we had a time
20 machine for you, Jim.

21 DR. COUGHLIN: Why is that?

22 DR. MACK: So you could get back there
23 and prevent these things from happening.

24 I think the inadequate evidence by IARC
25 means that there isn't evidence as opposed to

1 that the evidence was negative. It basically
2 is saying there is no good evidence. So, it's
3 not a negative connotation, it's an unknown
4 connotation.

5 Anybody else?

6 DR. COUGHLIN: Thank you.

7 MS. HECK: Dr. Mack, can I just briefly
8 address the Committee?

9 There's been some discussion here, in the
10 last few minutes about --

11 DR. MACK: Where has there been that
12 discussion?

13 MS. HECK: Among the Committee members
14 about their uncomfortableness with this as a
15 delisting as opposed to if it were an initial
16 listing.

17 For your purposes of your vote, it is as
18 though it were an initial listing. It got
19 here mechanically because the authoritative
20 body no longer considers it. But the issue
21 before you and the standard you must reach is
22 the same as though it were an initial listing.

23 DR. MACK: We know that in our heads, but
24 in our hearts, it's not as easy.

25 Are we ready to take a vote? Where's my

1 vote book.

2 Indicate by a show of hands if in your
3 opinion allyl chloride has been clearly shown
4 through scientifically valid testing according
5 to generally accepted principles to cause
6 cancer and therefore should be maintained on
7 the list.

8 I'm going to list it. I don't like --
9 I'm a conservative person. I don't like to
10 see the evidence, both the metabolite and
11 the --

12 DR. FROINES: (Raising hand.) No. No. No.
13 I was going to abstain. And I decided, I
14 presented it, and I argued for it, so I'll --

15 DR. MACK: So you'll abstain.

16 DR. FROINES: No. I'll vote for it.

17 DR. MACK: Okay. So we have 2.

18 Please indicate by a show of hands if in
19 your opinion allyl chloride has not been
20 clearly shown through scientifically valid
21 testing according to generally accepted
22 principles to cause cancer and therefore
23 should be delisted.

24 1, 2, 3.

25 DR. SPANGLER: And I think this is the

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

conservative position.

DR. MACK: Okay.

And abstentions? 2 Abstentions. They're getting sleepy.

DR. LANDOLPH: No. Actually, I was doing some more thinking about this. Maybe it just doesn't make enough epichlorohydrin.

DR. MACK: Then you should say no.

DR. LANDOLPH: No. That's an abstention. We don't have enough evidence. I need more data.

DR. MACK: All right. That takes care of that one.

DR. FROINES: This is -- we need to communicate when OEHHA --

AUDIENCE MEMBER: Can you repeat the vote, please?

DR. MACK: The vote was 2 to 3 to 1.

DR. FROINES: 2 to 3 to 2.

DR. MACK: 2 to 3 to 2. So it is delisted.

MS. HECK: That's correct. It took 4 affirmative votes to keep it on the list.

DR. MACK: What?

1 MS. HECK: It would have taken four
2 affirmative votes to keep it on the list. So
3 the consequence is, it is a vote to delist.

4 DR. MACK: Is everybody clear on that.

5 DR. FELTON: Can you explain that? I
6 mean, if we have abstentions and still a
7 majority to do something, it won't -- you need
8 a majority of the Committee to list something.

9 MS. HECK: That's right. But you were
10 taking what in effect is an initial vote,
11 because it takes a majority of the quorum to
12 list the chemical. You reconsidered it.
13 There were not enough affirmative votes to
14 keep it on the list.

15 DR. MACK: In other words, the action
16 here is not what it would seem. In other
17 words, in all cases, the action is to say it's
18 bad. And so you need a majority of the quorum
19 to say it's bad. Otherwise, it's not bad.

20 MR. WEIL: If it would help the
21 Committee -- my name's Ed Weil, from the
22 Attorney General's office -- I think the way
23 to look at this is that you have a chemical
24 that was only on the list because of an
25 authoritative body finding. The authoritative

1 body changes its mind. All right?

2 It might seem prudent to just say, "Well,
3 the rug has just been pulled out from this
4 chemical. It now goes off the list". But as
5 a matter of priority setting, the way the
6 regulation works is, they say, "Before you
7 would take it off the list, let's go back to
8 the Committee and see if they think it ought
9 to be on the list on its own merits anyway.
10 And if they say it should be, then it will
11 remain on the list".

12 But if there aren't four votes to say
13 that it should be on the list, then since the
14 authoritative body no longer views it the same
15 way, it will go off the list.

16 DR. MACK: Like I said --

17 MR. WEIL: Exactly like Dr. Mack said.

18 DR. FROINES: I want to go back to
19 something I said this morning. And that is,
20 we voted a number of chemicals to be listed.
21 We've voted a number of chemicals to be
22 delisted. So we've done both. I think,
23 however, that it's fair to say that there was
24 no chemical whatsoever which had really as
25 strong an evidence as we would like.

1 I think by and large, we are again
2 dealing with compounds where the available
3 data is limited. And I think that when we
4 have this, that OEHHA should communicate to
5 EPA and to NIHS and NTP, and tell them where
6 they have identified gaps in information so
7 that we can put some pressure on those federal
8 agencies to try and fill in gaps where we
9 think the compounds are sufficiently important
10 to require further studying of priorities.

11 Allyl chloride may not be the hottest
12 compound in America. But there will be those
13 that have significance. We really need to
14 fill the gaps in.

15 DR. MACK: Good point.

16 No let's go on to p-toluidine.

17 DR. FAUST: Thank you. I'm John Faust,
18 again. The next chemical under consideration
19 for delisting is p-toluidine.

20 So on this first overhead is the chemical
21 structure, molecular weight, and CAS registry
22 number.

23 Next overhead, please.

24 Para-toluidine is an aromatic amine used
25 primarily in the manufacture of certain dyes

1 and other compounds. Para-toluidine was
2 placed on the Proposition 65 list of
3 carcinogens on January 1, 1990, based upon a
4 U.S. EPA evaluation which placed the compound
5 in Group B2. A subsequent evaluation
6 reclassified the compound in Group C.

7 Next overhead, please.

8 Para-toluidine has been reviewed by two
9 other authoritative bodies, NIOSH and FDA. In
10 1992, in its *Recommendations for Occupational*
11 *Safety and Health Compendium of Policy*
12 *Documents and Statements*, NIOSH noted
13 para-toluidine's potential for cancer and that
14 its health effects included tumors of the
15 liver in animals.

16 NIOSH's recommendation to the
17 *Occupational Safety and Health Administration*
18 was that para-toluidine should be designated
19 as an occupational carcinogen. In their
20 testimony regarding OSHA's permissible
21 exposure levels, they described the scientific
22 evidence supporting their determination. No
23 more recent determinations were identified by
24 NIOSH.

25 Also, the *Food and Drug Administration*

1 identified para-toluidine as a carcinogenic
2 chemical present as an impurity in D&C Violet
3 No. 2, an additive dye used in some surgical
4 sutures and meniscal tacks used in surgery.
5 These determinations were made in 1998 and
6 1999 for these two uses, respectively.

7 Next overhead, please.

8 No data are available concerning the
9 carcinogenicity of para-toluidine to humans.
10 The scientific data concerning the
11 carcinogenicity in experimental animals is
12 that reported by *Weisburger and others* in
13 1978. In this study they reported an increase
14 in hepatomas in both male and female mice
15 following long-term dietary administration of
16 para-toluidine. A similar study in male rats
17 showed no significant increase in tumors.

18 Next overhead.

19 To describe the mouse studies more
20 specifically, groups of 25 male and female
21 CD-1 mice were fed diet containing 1000 or
22 2000 milligrams para-toluidine per kilogram
23 diet for 6 months, followed by a reduction to
24 half those levels for 12 months more. The
25 study was terminated following 3 months on the

1 control diet.

2 The incidences of hepatomas among male
3 mice were increased in the high-dose group
4 relative to the simultaneous control group and
5 in the low-dose group relative to the pooled
6 control group. Among female mice, the
7 incidence of hepatomas was significantly
8 increased relative to the pooled control
9 group.

10 Next overhead, please.

11 Other relevant data concerning the
12 carcinogenicity of para-toluidine include
13 assays for genotoxicity and cell
14 proliferation. Studies in Salmonella and E.
15 coli have not demonstrated mutagenicity. Rat
16 hepatocytes treated *in vitro* with
17 para-toluidine showed an increase in
18 unscheduled DNA synthesis. Oral treatment of
19 mice with para-toluidine has been shown to
20 decrease testicular DNA synthesis.

21 Finally, *Brock and others* reported
22 binding of para-toluidine to hepatic
23 macromolecules including DNA following oral
24 exposure.

25 Last slide, please.

1 As a general summary, therefore, NIOSH,
2 in 1992, and FDA, in 1998 and 1999, appear to
3 have identified para-toluidine as a
4 carcinogen. The scientific evidence
5 supporting their determination was positive
6 bioassays in male and female mice showing the
7 development of liver tumors. Other relevant
8 data include effects on cellular DNA synthesis
9 and studies showing hepatic DNA binding.

10 That's it.

11 DR. MACK: Thanks, John.

12 Jim?

13 DR. FELTON: It seems pretty limited to
14 me. I mean, I think the mouse data is clear.
15 It's got a dose response. It's enough to
16 convince me that the mouse hepatomas are real.
17 I know how Bill feels about mouse hepatomas,
18 but they're there. No rat data, no standard
19 mutagenicity data, although there's some
20 effect on DNA binding and possibly repair. So
21 it's pretty limited. I'd have a hard time
22 taking this one from scratch and putting it on
23 our list. So I would be against taking it
24 off.

25 DR. MACK: Say that again?

1 DR. FELTON: No. I'm saying that I'd
2 have a hard time putting it on the list if it
3 came up for the first time. So I'm for taking
4 it off.

5 DR. MACK: Right. All right.

6 David? Comments? You don't have to.

7 DR. EASTMOND: Give me a minute. I did
8 have a question on the -- you indicated that
9 NIOSH and FDA had called this a potential
10 carcinogen. Now, is that in a formal sort of
11 listing, or is this just in a document they
12 were writing where they had mentioned it in
13 such terms?

14 DR. FAUST: In the case of NIOSH, it did
15 appear to be on a list of chemicals which they
16 were recommending as occupational carcinogens.
17 In the case of FDA, it appeared in Federal
18 Register notices.

19 DR. EASTMOND: So it was a notice.

20 Now, one of the questions is, and maybe
21 it's -- what is required through the --
22 essentially, the generally recognized --
23 there's a procedure for getting things on the
24 list by authoritative bodies. What is
25 required -- since NIOSH is one of those, what

1 listing does NIOSH have to use in order for it
2 to be considered?

3 MS. HECK: There's a regulation that
4 implements the statute on the authoritative
5 bodies listing. There's quite a few specific
6 regulatory and scientific criteria that the
7 lead agency, OEHHA, has to find as present in
8 order to take the NIOSH work or U.S. EPA, or
9 U.S. FDA, any one of the five authoritative
10 bodies, and actually place it on the list.

11 The key trigger is that there have been
12 formal identification by the agent as causing
13 cancer. Then there's the sufficiency of the
14 evidence review that OEHHA does. And if those
15 are met, then it goes on the list.

16 DR. MACK: Jim?

17 DR. FELTON: I'd just like to add another
18 point.

19 When you look at a compound like this
20 that's -- it's a methylaniline, essentially, I
21 mean, you'd expect the activation of the amino
22 group. And this should form genotoxic
23 intermediates. And the fact that it doesn't
24 makes me not care as much about this compound.

25 DR. MACK: And to my right.

1 DR. FROINES: I didn't understand what
2 she said. Is it listed by NIOSH or is it an
3 authoritative body?

4 DR. MACK: It's a matter of whether NIOSH
5 made a formal identification.

6 MS. HECK: The original authoritative
7 body basis for this listing and all the others
8 before you today was a U.S. EPA document. The
9 question of had NIOSH built into it, the
10 standard, scientifically and regulatorily,
11 would be the same for any of the five. But
12 the actual fact in this case was that it was a
13 U.S. EPA listing.

14 DR. MACK: Anybody have any comments on
15 the biology over here? Bill's happy.

16 Joe?

17 DR. LANDOLPH: No. It's, you know, it's
18 a puzzle. I mean it's an aromatic amine. The
19 question Jim asked is the same one I had.
20 It's not mutagenic. It's a real puzzle. And
21 the animal data is kind of weak.

22 DR. MACK: John?

23 DR. FROINES: I think this should be
24 delisted.

25 DR. MACK: Do we have any blue cards?

1 Blue cards. Yes, indeed. Why don't you just
2 sit up here, Jim?

3 DR. COUGHLIN: There's a couple of small
4 differences here, and it's mainly a procedural
5 point. Historical, not -- you'll see my title
6 has changed. The other three, I was asking
7 for delisting. And my main conclusion here is
8 this should not have been listed in 1990.

9 This is the first example where the draft
10 report was December '86. But EPA finalized
11 that very report in June of '88. And 18
12 months later, DHS only acted on the draft
13 document; could have found, I guess, the final
14 document. It was limited animal evidence.

15 There was no sufficiency of evidence. So
16 if they had just -- the other three
17 examples -- if they had just waited 9, 10, or
18 11 months, they would have seen a final, final
19 EPA thing. In this case, it happened 18 months
20 before the decision to list. And I actually
21 weighed in on this ten years ago and wasn't
22 listened to.

23 But there is no IARC and no NTP, so I am
24 not bringing you a total body of evidence that
25 it really weighs heavily with lots of

1 authoritative bodies weighing in against the
2 listing. And NIOSH and FDA have heard their
3 discussion.

4 Thank you.

5 DR. MACK: Thank you.

6 Shall we take a vote on this one?

7 Indicate by a show of hands if in your
8 opinion para-toluidine has been clearly shown
9 through scientifically valid testing according
10 to generally accepted principles to cause
11 cancer and therefore should be maintained on
12 the list.

13 Zero.

14 Please indicate by a show of hands if in
15 your opinion para-toluidine has not been
16 clearly shown through scientifically valid
17 testing according to generally accepted
18 principles to cause cancer and therefore
19 should be delisted.

20 1, 2, 3, 4, 5, 6, 7.

21 Thank you. We proceed to zineb.

22 DR. FAUST: All right. The final
23 chemical under consideration for delisting
24 today is zineb. Presented on the first slide
25 are the chemical structure, molecular weight,

1 and CAS registry number.

2 If I could have the next overhead.

3 Zineb is an ethylene bis dithiocarbamate
4 fungicide. Registration for all pesticide
5 products containing zineb is currently
6 inactive in California. Zineb was placed on
7 the Proposition 65 list on January 1st, 1990,
8 based upon a U.S. EPA evaluation which placed
9 the compound in Group B2. This classification
10 appears to be based on toxicity information of
11 its metabolite contaminant and degradation
12 product, ethylene thiourea.

13 U.S. EPA initiated a special review
14 process, at the time termed a rebuttal
15 presumption against registration in 1977, of
16 several of the ethylene bisdithiocarbamate
17 fungicides, including zineb, mancozeb, maneb,
18 metiram, and nabam.

19 During the course of this special review,
20 all registered uses of zineb were cancelled.
21 Subsequently, zineb appears to have been
22 dropped from the special review process and
23 has not been reclassified. No documents have
24 been located suggesting a current
25 classification by the U.S. EPA.

1 Next overhead, please. Zineb has been
2 reviewed by IARC, which in 1976 and 1987,
3 classified it as a Group 3 carcinogen, based
4 upon insufficient evidence from animal data,
5 and no human data regarding zineb's
6 carcinogenic potential.

7 DR. FROINES: In 1987, was that a full
8 review?

9 DR. FAUST: No. That was the
10 supplement --

11 DR. FROINES: The what?

12 DR. FAUST: That was the supplement where
13 it was merely reiterated.

14 DR. FROINES: Yeah. Well, can you make
15 sure you tell people that, because it creates
16 a false impression. It creates an impression
17 that there's been a recent evaluation of it.
18 And what you're talking about is a 1976
19 evaluation. And that's a different period of
20 history.

21 DR. FAUST: Yeah. Thank you for the
22 clarification.

23 No data have been located concerning the
24 carcinogenicity of zineb to humans. Several
25 studies have been conducted in experimental

1 animals. All of them are limited with respect
2 to study, design, and/or size.

3 *Chernov and Khitsenko* conducted
4 short-term studies in mice, showing increased
5 incidence of lung adenomas in C57BL mice.
6 *Mitsumori and others* conducted long-term
7 studies showing the induction of thyroid
8 tumors, primarily cystic adenomas in rats.

9 To provide a little more detail of the
10 studies, *Chernov and Khitsenko* administered
11 zineb 6 weekly oral doses to C57BL and Strain
12 A mice weekly at two doses. A statistically
13 significant increase in lung adenomas was
14 observed in the C57BL mice in the high-dose
15 group. Low-dose C57BL mice and Strain A mice
16 did not show a significant increase in lung
17 tumors.

18 *Mitumori and others* fed JCL-Wistar rats
19 diet containing 4 doses of zineb for 130
20 weeks. Among male rats receiving 5000 parts
21 per million of zineb, there was a significant
22 increase in the incidence of thyroid tumors,
23 with tumors appearing in 37.5 percent of
24 treated animals and 11.3 percent of controls.
25 These tumors were primarily late-appearing

1 cystic adenomas of the thyroid. An increase
2 in subcutaneous fibromas was also reported in
3 this dose group.

4 Next.

5 Among non-positive studies are small,
6 less-than-lifetimes studies by *Innes and*
7 *others* in two strains of mice. Single-dose
8 subcutaneous injection studies in mice
9 reported by NTIS was also a small study and
10 less than lifetime; long-term gavage and
11 subcutaneous implant studies in rats by
12 *Andrianova and Alekseev*, the oral portion of
13 which showed poor survival; and long-term
14 studies in rats with small dose groups by
15 *Blackwell-Smith and others*.

16 Other relevant data concerning the
17 carcinogenicity of zineb include several
18 assays showing the compound's genotoxic
19 potential. Tests in *Salmonella* have been
20 negative; however, positive tests for
21 mutagenicity have been reported in *Bacillus*
22 and *Saccharomyces*. Genetic damage to somatic
23 and germ cells has been reported in
24 *Drosophila*, and human lymphocytes exposed to
25 zineb have shown chromosomal aberrations.

1 Zineb also has structural similarity to
2 other ethylene bisdithiocarbamate fungicides.
3 These include mancozeb, maneb, and metiram,
4 which are all on the Proposition 65 list of
5 chemicals known to cause cancer.

6 Zineb is also metabolized and degraded to
7 ethylene thiourea, a compound which has been
8 shown to produce liver tumors in mice and
9 thyroid tumors in rats. This compound is also
10 on the Proposition 65 list of chemicals known
11 to cause cancer.

12 So finally, animal evidence for the
13 carcinogenicity of zineb includes studies
14 showing the induction of lung adenomas in mice
15 and primarily benign cystic adenomas in male
16 rats. Supporting evidence includes some
17 evidence of genotoxicity, structural
18 similarity to known carcinogens, and
19 metabolism and degradation to ethylene
20 thiourea, a known carcinogen.

21 DR. MACK: Thank you.

22 This one is mine. I find no evidence
23 whatever, empiric evidence that this stuff
24 causes a non-adenoma, an actual invasive
25 neoplasm. So the things that concern me are

1 its structural similarity to the other known
2 carcinogens, and most especially the fact that
3 allegedly, a metabolite is ethylene thiourea.

4 Now, I don't know what kind of tumors
5 ethylene thiourea causes. You mentioned there
6 was liver and thyroid. But the degree of
7 neoplasia is what I don't know. I presume
8 they're carcinogens. I mean they're
9 carcinomas.

10 DR. FAUST: The evidence for ethylene
11 thiourea, yes, I believe --

12 DR. MACK: I mean, that's to me the
13 crucial piece of information. And even then,
14 it suggests the same dichotomy that we saw
15 before, namely a reason why it ought to be
16 producing tumors. And yet, empirically, there
17 doesn't seem to be any evidence that it does.

18 Does anybody have any familiarity with
19 ethylene thiourea and its effects?

20 DR. EASTMOND: In a very crude sense. I
21 believe this class of compounds, ethylene
22 thiourea interferes with, I believe, thyroid
23 peroxidase. And so that you actually get
24 alterations in thyroid hormone levels. And
25 it's by chronic imbalance of thyroid hormones

1 that it's believed that you get this
2 alteration. You get compensation of thyroid
3 to try and compensate for that. And you get
4 thyroid tumors.

5 So that's fairly common. It's one of the
6 mechanisms being looked at as being for
7 special review because it is consistent across
8 this class of compounds. But there's a belief
9 that you would have to see alterations in
10 thyroid hormone levels in humans and over a
11 persistent period of time before you would see
12 anything like this.

13 And so it's one of these special
14 mechanisms that they're working on to identify
15 and come out with some leads. Some of the
16 Staff may know more about it than I, but I
17 believe that's the --

18 DR. MACK: The other peculiar thing about
19 this compound was that it wasn't really
20 dropped, it just disappeared. It sounds as
21 though that the disease at the end of the page
22 got cut off. Actually, what happened is, it
23 was no longer in use, and therefore, they
24 didn't feel that they had to do anything about
25 it.

1 I mean, based on the ethylene thiourea,
2 if I thought that produced carcinomas of the
3 thyroid, I would be concerned about it in my
4 conservative mode, as I was a few moments ago.
5 And I would suggest that it doesn't do any
6 harm to keep it listed. But if, as you say,
7 these are really hormone-induced adenomas, and
8 that ethylene thiourea doesn't produce
9 carcinomas, I'd be inclined to delist it.

10 DR. ZEISE: Dr. Mack, I believe that ETU
11 does produce carcinomas, and we can confirm
12 that, if you like. We have the bioassay
13 upstairs, the NTP assay. We could bring that
14 down for ETU if you'd like that information to
15 consider.

16 DR. SANDY: It's actually right here in
17 the document that we provided to you, where
18 ethylene thiourea -- this is according to IARC
19 in 1987 -- "In three studies, ethylene
20 thiourea produced high incidences of
21 follicular carcinomas of the thyroid in rats
22 after oral administration. Animals of each
23 sex were affected, although male rats had a
24 higher incidence. Lower doses produced
25 thyroid follicular hyperplasia.

1 In mice, oral administration of ethylene
2 thiourea produced liver tumors. The thyroids
3 of these animals were not examined." End
4 quote from IARC.

5 DR. MACK: Okay. Does anybody else wish
6 to weigh in on this one?

7 DR. FROINES: Is this material -- did I
8 hear you say the material is not used anymore?

9 DR. MACK: Yes, that's what I said.

10 DR. FROINES: It's not used?

11 DR. MACK: It's de -- it hasn't been
12 registered since 1988; is that not true, John?

13 DR. FAUST: I'm not exactly sure of the
14 last date on that. I think all -- there's
15 currently no registration for it in
16 California, and tolerances are set to expire,
17 if not now, then shortly.

18 DR. MACK: Jim?

19 DR. FELTON: Well, before Jim Coughlin
20 comes up, I'm going to preempt him.

21 DR. COUGHLIN: I'm not coming up this
22 time.

23 DR. FELTON: Well, then I'll play your
24 role.

25 On the timing of this, obviously, what

1 must have happened was, when the Japanese
2 study came out, then IARC said, "let's look at
3 it again". When they looked at it again, they
4 said, "Huh-uh. There's still nothing there".

5 DR. FROINES: They didn't look at it
6 again.

7 DR. MACK: They didn't look at it again.

8 DR. FELTON: The '87 was a report,
9 though.

10 DR. FROINES: It was just a summary.

11 DR. MACK: It's an update, but actually,
12 in the updates, John, to be fair, in the
13 updates, in fact they do draw upon all
14 literature since the original review.

15 DR. FROINES: No. No. No.

16 DR. SANDY: Actually, some of the
17 compounds they do, and some they don't. And
18 in this one --

19 DR. MACK: I was on that particular
20 review, and my recollection on both the fourth
21 and the seventh was that they did that. Now,
22 maybe for that one they didn't. But for all
23 the ones I reviewed, they sure as hell did.

24 DR. FELTON: But to somebody that has
25 more experience than I do with thyroid tumors,

1 somebody looked -- obviously, nobody thinks
2 that even after the thyroid tumor study that
3 this was worth giving it higher than a 3.

4 DR. MACK: Well, my inclination is to
5 suggest delisting on that basis.

6 DR. FROINES: To suggest?

7 DR. SPANGLER: Delisting.

8 DR. MACK: So let's have a vote.

9 DR. FROINES: I like the fact that -- the
10 selection here for the first time today was
11 C57 black mouse, which was good, because they
12 are cancer resistant. That's different than
13 the B6C3F1 mice.

14 DR. EASTMOND: The amazing thing is, the
15 Strain A mice did not have an increase, and
16 the C57 blacks did have an increase, which is
17 quite unusual for the lung adenomas, for sure.

18 DR. FROINES: Before we vote, I'd like to
19 make a policy statement. I have no idea why
20 my time is being taken up and anybody else's
21 on this panel with this compound. Why are we
22 taking this compound? If it hasn't been used
23 in 10 years, why are we doing it? It's a
24 total waste of time.

25 DR. MACK: Are you finished?

1 DR. FROINES: Yes.

2 DR. MACK: Thank You. I think we had the
3 answer to that when we started out, when
4 Martha described why these particular
5 compounds were selected for delisting. I
6 guess I don't know enough to know that that
7 compound won't reappear tomorrow. My guess is
8 it won't.

9 And I think the delisting issue is
10 different from the prioritization for actual
11 listing. I mean, we've spent a lot of time
12 talking about how common exposure ought to be
13 an important criteria for prioritization for
14 listing. And I think we all agree on that.
15 But I think the delisting is a different
16 issue.

17 Okay. That's my answer. Maybe Staff has
18 a better answer.

19 DR. EASTMOND: May I make one comment? I
20 think there's a reasonable chance that this
21 class of compounds will actually come back.
22 Because there's this intensive focus on the
23 unique mechanism of tumorigenesis, and the
24 class seems to work together, typically what
25 happens, why they don't re-register them is

1 because the testing required in order to get
2 registration is so expensive, they don't think
3 that it's worth the company's while to do
4 that.

5 If, however, in the meantime, there's
6 this global perspective on how these compounds
7 work, and it's understood mechanistically, and
8 they feel that they can go back with less
9 data, and they know what they're doing, then
10 it may actually come back again. And for this
11 whole class of compounds, the ethylene
12 bisdithiocarbamate compounds, they're all
13 being treated as one type of class, from my
14 understanding. So we could see it, certainly
15 in California, again.

16 DR. MACK: Okay. Are we ready for -- is
17 there any Staff -- if Jim isn't here, nobody's
18 here.

19 Okay. Please indicate by a show of hands
20 if in your opinion zineb has been clearly
21 shown through scientifically valid testing
22 according to generally accepted principles to
23 cause cancer and therefore should be
24 maintained on the list.

25 No votes.

1 Please indicate by a show of hands if in
2 your opinion zineb has not been clearly shown
3 through scientifically valid testing according
4 to generally accepted principles to cause
5 cancer and therefore should be taken off the
6 list.

7 1, 2, 3, 4, 5, 6, and 1 abstention.

8 Correct?

9 Okay. I think we better forge ahead
10 rather than taking a break. Should we have a
11 one-minute stand and stretch? Wouldn't that
12 be nice? Let's have a one-minute stand and
13 stretch.

14 I'm sorry. The court reporter needs a
15 break.

16 How long?

17 COURT REPORTER: Five minutes, please.

18 DR. MACK: Five minutes.

19 (Whereupon a five-minute recess
20 was taken.)

21 DR. MACK: Okay. We've come to the point
22 that you've all been waiting for. And that is
23 the criteria, the item that was on the
24 beginning of the agenda today. And basically,
25 I have a little monologue to give.

1 Okay. George wishes to clarify
2 something.

3 DR. ALEXEEFF: George Alexeeff. This is
4 a clarification of a comment that -- we are
5 responding to a comment that Dr. Coughlin was
6 making on 1,1-dichloroethane. And he was
7 referring to a table he had found on our web
8 site, that it was citing that we had made a
9 conclusion that 1,1-DCA was not carcinogenic,
10 because we were basing our public health goal
11 on a non-cancer endpoint. Okay?

12 And we were confused, and we thought that
13 he was citing a U.S. EPA finding. Well, as it
14 turns out, that table is reflecting, was
15 reflecting information from a 1988 document
16 that we had, where we did base, not our public
17 health goal, but our MCL at that time, on a
18 non-cancer endpoint.

19 So that was prior to the HEAST table and
20 prior to the IRIS information. So I just
21 wanted to say that Dr. Coughlin's
22 interpretation was correct that it was a DHS
23 conclusion at that time.

24 DR. MACK: Okay. Back to the criteria
25 document.

1 Just to remind my fellow members on the
2 Committee as to the history of this, we have
3 lamented over the course of the last four or
4 five years, the absence of a criteria
5 document, particularly since the other
6 committee, the DART Committee, has had such a
7 criterion. And OEHHA would like us to have
8 had one.

9 A few years ago, we began by assigning
10 three subcommittees, if you want to think of
11 it that way; one to write an epidemiology
12 document, one to write a animal carcinogenesis
13 document, and one to write a short-term test
14 document. Those were all ultimately produced,
15 although not rapidly. But they really were
16 not in sync. They could not reasonably be
17 integrated into a single document.

18 So I agreed to spend some time to try and
19 produce a document that included all of the
20 issues that were raised, which I ultimately
21 did, using those three documents, speaking
22 with the people who wrote them, and using the
23 IARC criteria and other available criteria to
24 try and produce something that we might be
25 able to rely upon, historically speaking.

1 I produced such a document. I circulated
2 it to the other members of the committee and
3 asked for responses and suggestions. I in
4 fact got two such responses. One was an
5 annotated draft, and the other was a verbal
6 set of suggestions, both of which were quite
7 useful, and both of which were ultimately
8 included in the next draft. Then it was
9 circulated to the Committee and to anybody
10 else who wished it, including most of you.

11 I guess the first thing to mention is the
12 purpose of that document. It cannot and is
13 not meant to be a substitute for individual
14 expertise. It is actually meant to be a sort
15 of a checklist to make sure that when we
16 assess a compound, we think of all the
17 relevant issues and try and put them in
18 perspective.

19 There are obvious differences of opinion
20 among the members of the committee as to what
21 constitutes an appropriate criteria. And
22 there's no way to resolve those differences.

23 So the members of the Committee have seen
24 the document. And now, I'm going to ask if
25 any of them have comments or corrections on

1 the document that they have seen in its most
2 recent version.

3 Does anybody here on the Committee wish
4 to raise questions about the document that you
5 read in the handout that you got?

6 Jim?

7 DR. FELTON: There's just one thing that
8 came up today and we sort of left off our list
9 at the bottom of No. 1 -- I'm sorry. I guess,
10 yeah, "F" at the bottom, just before "2" on
11 the second page.

12 We don't have anything in here
13 specifically about tumor suppressor genes or
14 oncogenes. It may be a little specific, but
15 it's sort of ignores that specific type of
16 data, which we were getting into on one of the
17 compounds earlier today --

18 DR. MACK: Could you write a note that
19 suggests what you might wish to see included
20 specifically?

21 DR. FELTON: Okay. So, I would -- okay.
22 I will do that.

23 DR. MACK: Thank you.

24 DR. FROINES: Well, I think that should
25 include looking at mutational spectra and more

1 sophisticated molecularbiological approaches
2 to looking at genetic changes.

3 DR. FELTON: I'm sort of saying the same
4 thing. Specifically, we should be looking at
5 data that identifies specific changes in
6 specific genes, and the types of changes that
7 occur.

8 DR. MACK: Could I ask you, then, check
9 so that you are in agreement about what we
10 should put in, John and Jim?

11 Are there any others?

12 DR. SPANGLER: I would just say that it
13 seems to me, I mean, we're approaching this
14 like this is a final document, and we're going
15 to go out and carve this in stone, and we're
16 all going to carry it around with us and bring
17 it to the meetings with us.

18 And I get the impression that that's not
19 the case, that this is something that is a
20 work in progress. And as the science changes,
21 so will the criteria, so will the so-called
22 criteria. So I would just encourage us to be
23 a little looser about it. And we can talk
24 about these things today. And we can add to
25 them at each meeting.

1 DR. MACK: Well, the difficulty with that
2 -- first of all, if we're going to have a
3 piece of paper with words written on it, it's
4 not easy in the context of the Government and
5 the State of California to do that.

6 For example, I thought it was easier than
7 it is. This document was circulated on
8 September 3rd. And it was my intent at that
9 point to take public comment on it at this
10 meeting, and to discuss it and resolve whether
11 or not this represented, if you want to think
12 of it that way, the current version that we
13 could keep and maintain and refer to when
14 needed.

15 Well, I made a casual comment in a
16 previous meeting, upon being asked by
17 Gary Roberts, if they would have 60 days to
18 look at it. Well, they only had 45 days to
19 look at it. And apparently, Gary needed 60.
20 And so, I was told specifically that I made
21 that promise, and therefore, we couldn't
22 really do that at this meeting, because 60
23 days had not elapsed.

24 Furthermore, there is the question of
25 whether or not my circulating that draft to

1 each of you individuals constituted a hidden
2 meeting. As it happens, I only got two
3 responses, and only one of those was in
4 writing. Had I gotten three responses, we
5 were in violation of the State of California
6 regulations on serial meetings. Okay?

7 So things are not easy. We cannot be
8 casual about this. We can resolve to change
9 things, but -- well, let me finish, and then
10 we'll see where we stand. But the alteration
11 from time to time is not an easy thing to do.
12 And I will accept any comments from Colleen or
13 Ed or anybody else who wishes to as we go on.

14 It is not an operational document. It's
15 a reference document, if you want to think of
16 it that way. And yes, of course, we can, from
17 time to time, suggest changes in it. But I
18 think we have to decide exactly what it is
19 when we do that. And let me go on with what I
20 was going to say.

21 DR. FROINES: Can I make a comment?

22 DR. MACK: Of course. How am I going to
23 stop you?

24 DR. FROINES: Am I interrupting you?

25 DR. MACK: Yes, but go ahead.

1 DR. FROINES: I think that in here you
2 say, "Thus, if the weight of scientific
3 evidence indicates that a certain chemical
4 causes invasive cancer in humans or that it
5 causes invasive cancer in animals, (unless the
6 mechanism of action is known not to be
7 relevant to humans) the Committee is required
8 to identify that chemical for listing".

9 It seems to me that someplace in here we
10 should say proactively that mechanistic
11 determinations will aid and enhance and
12 facilitate --

13 DR. MACK: I think we do say that,
14 proactively.

15 DR. FROINES: I don't see that. I may
16 have missed it.

17 DR. MACK: I think you did.

18 DR. SPANGLER: It's in another -- it's
19 prior to that.

20 DR. MACK: It's in the earlier section.

21 DR. FROINES: If I find it, I'll stand
22 corrected.

23 DR. LANDOLPH: It's on the back of page
24 2. It says, "Each of the following categories
25 of knowledge may be pertinent to carcinogen

1 determinations". And then it lists a longer
2 list.

3 DR. MACK: So there are a couple of other
4 things you might add to that. You can decide
5 later whether you stand corrected. Let me go
6 on.

7 DR. FROINES: It's not saying what I'm
8 saying.

9 DR. MACK: Okay.

10 DR. FROINES: These are specific details
11 that fall from a general point, is my
12 understanding.

13 DR. MACK: Well, maybe you've got another
14 note to write, then. Okay?

15 As I said before, I was reminded that I
16 promised 60 days. So we couldn't do anything
17 about it today anyway. And I had hoped that
18 we would have a document that all of you were
19 happy with by today. I was unprepared for the
20 enthusiastic response from the regulated
21 community that came in response to this
22 document. There seems to be a great motion
23 toward a workshop.

24 A lot of people wanted to talk today
25 coming out of the woodwork. We didn't have

1 any that came down from Mt. Olympus, but we
2 have one guy from Valhalla, which is probably
3 as close as we're going to get.

4 DR. FROINES: We did have a meeting to
5 take public comment on this issue.

6 DR. MACK: Not on this document.

7 DR. FROINES: Not on this document, but
8 on this issue. Because I remember
9 Michele Corash discussing. So there has been
10 public input.

11 DR. MACK: There has been repeated
12 discussion of it. You're right, but not this
13 document.

14 I guess I'd like to say that I find
15 public discussion of individual compounds
16 extremely useful. I can point to Dr. North
17 and Jim Coughlin today. I think both of those
18 contributions to our deliberations were of a
19 very high quality. And I think whenever we're
20 discussing compounds, that's really important.

21 In my own opinion, that's different from
22 a criteria document. For better or worse,
23 Prop 65 suggested that there be a group of
24 "qualified experts designated by a due
25 process". I don't know what designated expert

1 means, and I don't know what I'm a designated
2 expert in if it isn't how to decide whether
3 something is a carcinogen.

4 So it is my opinion that a criteria
5 document produced by the collective efforts of
6 this Committee, and I mean collective over the
7 long run, is just that. It is an effort made
8 by this Committee. And I find it not very
9 useful to have public discussion of it as a
10 means of helping us produce that.

11 If -- the phobic environmentalists and
12 the regulated community obviously are going to
13 have very different views on what constitutes
14 criteria. And that's neither bad nor good.
15 We are set up to be an objective committee and
16 to have an objective criteria. And I think
17 that means we have to set those criteria
18 ourselves.

19 That doesn't mean that good suggestions
20 can't come. But it's got to be done in a way
21 that we can accept or reject them easily and
22 not spend a lot of time debating. Therefore,
23 I don't think public discussion of this
24 document at this time is particularly
25 worthwhile.

1 However, I am told by Ed that California
2 state law demands public discussion. So we'll
3 have some public discussion. It will take a
4 very abbreviated form. We'll have -- I don't
5 know how many cards we've got?

6 How many do we have? Four. Well, I
7 would suggest we have five minutes each for
8 each of those four people.

9 Let's see. What else was I going to say
10 here? What we'll do in addition is have
11 anybody who wants to submit a brief document
12 commenting on the criteria to the Staff by the
13 end of the 60 days that Gary demanded. And
14 that date is November 2nd. Those will all be
15 collected and sent to each of us, and we will
16 look at them. Obviously, we will not memorize
17 them. It will be up to us to decide how
18 intensely we look at them, just as one would
19 expect.

20 And then we will have more deliberation
21 of the same abbreviated nature at the
22 beginning of the next meeting. And perhaps
23 then, we will be able to vote on whether or
24 not we can accept the criteria as a committee.

25 All right. Does anybody else on the

1 Committee want to make remarks?

2 DR. SPANGLER: I would just say that I
3 think that you're being very generous,
4 Mr. Chairman, and that five minutes is
5 generous. I would like to stipulate that it
6 be five minutes of non-repetitive,
7 non-redundant comments.

8 DR. MACK: I would like to stipulate that
9 too, but I'm not sure how I enforce it.

10 Anybody else? I have one -- before we
11 have that, I'd like to mention one other
12 thing.

13 In addition, one person made a request
14 for public documents, to find out, apparently,
15 to find out exactly what had been going on in
16 the preparation of these criteria. I'm a
17 little offended by that.

18 Gary, had you called me and asked me
19 anything you wanted to about the preparation
20 of the criteria document, I would have been
21 happy to tell you. You will get your public
22 document. It consists of a draft with a bunch
23 of scrawls on it.

24 I'd like to ask you right now what
25 questions you had when you made that request?

1 What did you think you were going to find?

2 What did you hypothesize that we were doing
3 that you would so covertly find out?

4 MR. ROBERTS: I guess the first thing I'd
5 like to say is, I'm a little surprised by what
6 I sense to be a certain anger from the Chair.
7 On behalf of my clients, I want to research
8 appropriate information to make comments on
9 what we consider to be a very important
10 document. And you are a public organization.
11 And I was interested in all of the documents
12 relevant to presenting comments. I don't
13 think that's inappropriate.

14 DR. MACK: Did you have a hypothesis?

15 MR. ROBERTS: I was looking for all the
16 relevant information, Mr. Chair.

17 DR. MACK: Did you ask for my CV, or the
18 rest of the CV's of the rest of us?
19 Presumably, that's equally relevant. Did you
20 ask for any teaching we might have done under
21 the circumstance? I mean, this is a totally
22 expandable question. You could ask for
23 anything you wanted. But if you really want
24 to know something, just call. It's very easy.
25 I really am a pretty open person, and so are

1 the rest of these people up here. I find this
2 ridiculous.

3 Okay. You've answered it. I don't see
4 how you could have answered it any other way.
5 Thank you very much.

6 Now, could I have the four cards.

7 Joan?

8 DR. DENTON: I just wanted to add for the
9 record that we received 25 letters on this
10 particular item. Some of them requested a
11 deferral of action or additional time for
12 comment and input. Some of them requested a
13 workshop. And others requested consideration
14 of this item at a separate meeting. And then
15 still others had general comments on the
16 criteria.

17 So I just wanted to add that we did
18 receive a number of letters which were
19 forwarded on to Dr. Mack, to you, and to the
20 rest of the Committee when we received them.

21 DR. MACK: Okay. There are five cards.
22 I'd like to remind everybody that they don't
23 really need to speak for five minutes. I'm
24 going to put Dr. North at the end, because
25 he's already spoken a couple of times, if

1 that's okay with you. Since Gene is sitting
2 right up here in front, why don't you start,
3 Gene. And I'll be responsible for timing.

4 MR. LIVINGSTON: Thank you Dr. Mack and
5 members. My name is Gene Livingston. I
6 represent a number of clients who are
7 obviously interested in Proposition 65. And I
8 would like to just thank you and the Committee
9 for the work that you've done in trying to
10 develop criteria.

11 I think Dr. Froines, this morning,
12 indicated how critically important criteria
13 is. He talked about how, in the first decade
14 of Proposition 65, we picked all the
15 low-hanging fruit, fruit where there was
16 plenty of data, good quality data, and good
17 quantity of that data, that we're now in the
18 upper branches of the tree.

19 One of the things that we saw the
20 Committee struggling with today is how to
21 address situations where you don't have a lot
22 of data. And a lot of times, the quality of
23 that data is questionable. This issue about
24 how you address that, I think, is important to
25 all of us.

1 And so while it's not exactly what you
2 had in mind, I welcome the opportunity to
3 submit additional comments to this committee
4 and an opportunity to address this after we've
5 had more time to really analyze in more detail
6 the criteria. So I thank you for providing
7 that opportunity.

8 One of the things that I think is
9 important is that the integrity of the
10 Proposition 65 Program really has rested with
11 the Scientific Advisory Panel and the
12 Identification Committees in the past. There
13 has been a good rigor that has been applied
14 scientifically, and there has been compliance
15 given to the clearly-shown-to-cause-cancer
16 standard.

17 I would not want to see, as we reach into
18 the upper branches of the tree, any lowering
19 of that bar, any diminution of those standards
20 just because the data is not there. And I sat
21 there today having some concerns about that.

22 I think your criteria is a very good way
23 to address that kind of problem to prevent
24 that from happening in the future. So we look
25 forward to working with you.

1 Thank you.

2 DR. MACK: Thank you, Gene.

3 DR. FROINES: Can I say one thing,
4 because I appreciate what Gene said.

5 There is a balance, I think, that we have
6 to achieve. And we haven't really talked
7 about it today. Because let's assume that one
8 of the chemicals that was before us was on the
9 upper branches of the tree, but in terms of
10 public exposure, was very high. Then the
11 issue of what constitutes the criteria from a
12 public health context becomes an important
13 issue.

14 So that I think that the scientific
15 criteria also sits within a nest. And that
16 nest is a big part of what we do here as well
17 as the science. And that today, we dealt with
18 compounds for which there's virtually no
19 exposure in the State of California for the
20 most part, except for Estragole.

21 But I foresee that there will come a time
22 when it won't be that simple. And then we'll
23 be wrestling, because we'll have limited data,
24 potentially high exposure; and then how we
25 deal with that seems to me to be the real

1 challenge that we're going to have to take up.
2 Because we want to maintain the highest
3 quality of science, but also recognize the
4 potential dimensions of significant exposures.

5 DR. MACK: I think John speaks for all of
6 us.

7 Thanks again, Gene.

8 Gary, let's hear from you. We've talked
9 about supplements to the monograph series.
10 And you and I have batted heads on a couple of
11 those.

12 DR. WILLIAMS: Indeed. For the record,
13 I'm appearing at the invitation of the law
14 firm of Gibson, Dunn, and Crutcher, on behalf
15 of an interested client group. As the
16 Chairman alluded to, I have a long history in
17 chemical carcinogenesis, including writing
18 major chapters on the subject, participating
19 in a number of IARC monograph reviews,
20 including *Volume 71*, which just updated 115
21 industrial chemicals.

22 DR. FROINES: Excuse me.

23 DR. WILLIAMS: Yes?

24 DR. FROINES: I'm sorry, I don't know
25 you, and Joe didn't either. So could you give

1 your name?

2 DR. WILLIAMS: Oh. I didn't want to
3 waste my five minutes. Gary Williams. I'm a
4 Professor of Pathology at New York Medical
5 College, MD, certified in pathology and
6 toxicology. Sorry.

7 Anyhow, based on my extensive background,
8 I wanted to assure you that the mouse does not
9 retain its urine. It piddles all day, and
10 that's why it's harder to induce bladder
11 cancer in mice than in dogs, for example.

12 Coming out of the criteria document,
13 which I think is extremely important; it's
14 like buttoning your shirt, if you start in the
15 wrong hole, everything goes wrong afterwards.
16 And I think there's a lot to be commended in
17 this document. In fact, there are things that
18 I wish had been utilized today; for example,
19 purity of the test substance. And I mention
20 that, because I've worked with several of the
21 chemicals that you evaluated today, including
22 1,1-dichloroethane.

23 And I know from my experience that the
24 lots of DCE that were used for research in the
25 early days were contaminated by

1 epichlorohydrin. And you've heard about how
2 nasty that is. And that accounts for a lot of
3 the positive genotoxicity tests that have been
4 reported for 1,1-DCE.

5 However, in developing these criteria, I
6 mean, I'm mindful of what your purview is, and
7 also of the fact that you're operating under a
8 statute from 1986 that antedated much of what
9 we know about the causation of cancer and the
10 mechanisms pertaining thereto. And that
11 imposes certain limitations. But under those
12 circumstances, I think it's a good idea to
13 maintain a stringent standard for what is an
14 animal carcinogen that should be construed to
15 be a putative human cancer risk.

16 And I endorse the original wording of the
17 Proposition 65, that is, that the evidence
18 should be clear. And I perceive that in the
19 new draft guidelines, that's been eroded
20 somewhat with the statement about the weight
21 of evidence should "indicate", which seems to
22 me to open the door to less rigorous criteria
23 for evaluating carcinogenesis.

24 And a couple of other very specific, very
25 specific points I'd like to make with regard

1 to that, is that in *Item 2b-II*, the term
2 "tumor" is used rather than invasive cancer or
3 cancer. That, then, leads into the use of
4 "only benign tumors" to classify an agent as
5 carcinogenic. And I think that is also a
6 slippery slope that you should think carefully
7 before buying into.

8 And the way I read the document also, it
9 appears to permit the acceptance of an overall
10 increase in the incidence of tumors in animals
11 as evidence of carcinogenicity as opposed to
12 the induction of a specific tumor type. And
13 I'll tell you that I know of no agent that has
14 ever produced a general increase in cancer
15 that's been associated with a cancer hazard in
16 humans. So I strongly suggest to you that
17 there's certain aspects of this document that
18 need to be reconsidered.

19 And I would just conclude by pointing out
20 to you that there are several on-going
21 processes that can assist you. The IARC has
22 just published a scientific publication that I
23 participated in on the use of alternative
24 models for assessment of carcinogenicity.

25 And you've spoken today about the limited

1 type of data that you have to deal with. And
2 the IARC is facing the same problem, that
3 there are fewer and fewer full-scale bioassays
4 available for evaluation of carcinogenicity.
5 And they've labored now over how to use these
6 other kinds of ancillary pieces of
7 information. And that's included in that
8 document.

9 And then there's another process under
10 way. The first meeting's taken place. I will
11 be participating in the next meeting at the
12 end of November, where we're looking at
13 specific tumor types for their relevance to
14 human cancer assessment. You agonized over
15 mouse forestomach papillomas and carcinomas.
16 That comes up in the forthcoming November
17 meeting.

18 To conclude, I would like to just offer
19 you the suggestion that the goal of cancer
20 control is really best served by focusing the
21 public's attention and energy on realistic
22 cancer hazards, and that the use of animal
23 data should lead in that direction. And I
24 wish you the -- I encourage your efforts and
25 wish you the best success in that endeavor.

1 Thank you.

2 DR. MACK: Thank you, Gary. That was
3 very helpful. And I'm sure we'll look at your
4 letter very carefully.

5 The next person is Jay Murray.

6 DR. MURRAY: Thank you, Mr. Chairman.
7 First, let me thank you for all your hard work
8 that went into these criteria. I know
9 firsthand that it's not easy to write criteria
10 for Prop 65, since I was on the panel at the
11 time we were drafting criteria for
12 developmental and reproductive toxicants.

13 First, let me respond to something that
14 you said, Mr. Chairman, about there are
15 differences of opinion on what should go into
16 these criteria, and it wouldn't be easy to
17 resolve those differences.

18 I'd encourage you to try to reach
19 consensus among yourselves on these criteria.
20 And I recognize that that's not an easy thing
21 to do. But we faced that when we wrote the
22 developmental, the DART criteria. We felt
23 that it was important to have consensus so
24 that everybody on the Committee felt like they
25 had some ownership in those criteria.

1 There were a couple of points in those
2 criteria that we really struggled with to get
3 that consensus. But in the final analysis, we
4 were successful in doing it. So I'm not so
5 sure it isn't possible to do.

6 I've given you handouts. I'm not going
7 to go through that. You also have written
8 comments from me. I want to comment briefly
9 on the "clearly-shown" standard and how that
10 relates to your criteria.

11 My main concern is the criteria do not
12 always seem to be consistent with the
13 clearly-shown standard of the statute.
14 Specifically, in *Section 1.d*, on the first
15 page of your criteria, you use the term
16 "indicates", that "the weight of the
17 scientific indicates that the chemicals cause
18 invasive cancer". And I'd encourage you to
19 replace "indicates" with "clearly shows" to be
20 more consistent with the language of the
21 statute.

22 In addition, Prop 65 applies only to
23 those chemicals known to cause cancer, not
24 those merely suspected to cause cancer. And I
25 think you should include a sentence in *1.d*

1 which says that specifically.

2 The second area I'd like to address is
3 relevance to humans. And I want to use an
4 overhead.

5 I've tried to identify the possible
6 levels of relevance to humans using animal
7 data. And it ranges from No. 1, which is
8 known not to be relevant to humans to No. 5,
9 which is known to be relevant to humans.

10 As I understand it, the proposed criteria
11 propose to list things that caused cancer in
12 animals unless they're known not to be
13 relevant to humans. That's No. 1. But
14 presumably, 2, 3, 4, 5, would result in
15 listing. And I've been thinking about where I
16 would draw the line.

17 I'm not sure at this point where I would
18 draw the line. I definitely would not draw it
19 after No. 1. I would for sure at least draw
20 it after No. 2. And I'm not sure how much
21 further, if any, I would go down that list.
22 But to put things on where you feel they're
23 probably not relevant to humans, I think, is
24 inconsistent with the "clearly-shown"
25 standard.

1 I've also suggested in the handout some
2 specific language for how to deal with this.
3 And the way I would express it is, if the
4 weight of the scientific evidence clearly
5 shows that a chemical causes invasive cancer
6 in animals, I'd include the stuff you've got
7 in humans, but in animals through a mechanism
8 appropriate for extrapolation to humans.

9 There's also -- the criteria contemplate
10 two possible outcomes. One is you list a
11 chemical, the second is you don't list a
12 chemical. There's a third option, which is
13 presented by Proposition 65. And I'd suggest
14 that you include that third option in your
15 criteria.

16 That third option is, under Prop 65, the
17 Governor has to publish, at least annually, a
18 list of chemicals that the State's qualified
19 experts -- that's all of you -- have not found
20 to have been adequately tested as required by
21 state and federal regulations. The purpose is
22 so the State can recommend those chemicals for
23 additional testing. So you have the option of
24 putting chemicals on that list.

25 There is a list out there, but it's

1 probably the most under-utilized part of
2 Proposition 65. And I'd suggest that you
3 revise your criteria to add a sentence to
4 remind yourselves that that's a third option
5 which is not very often taken but available to
6 you.

7 In the interest of time, I've got
8 comments on scientifically valid testing. You
9 should make sure that your criteria address
10 that aspect of it. And I have some
11 suggestions in the written comments which you
12 can read. And there are also comments on what
13 amount of testing, the one-species issue,
14 where you draw the lines, things that you
15 should consider there.

16 So I've tried to highlight some of my
17 comments in five minutes. It's a little
18 frustrating because I think given the
19 experience I've had, I have, you know, a lot
20 of things that I could share with you and
21 offer you. And, you know, to spend 15 minutes
22 on zineb, which John pointed out doesn't
23 really matter to anybody, I mean this --
24 you're going to have to live with these
25 criteria for a lot of years.

1 And I'd be the first to say that you need
2 to have flexibility, because the science is
3 going to change. But you want to make sure
4 you get it right. And it's worth spending
5 some time. You don't need to drag this out
6 over, you know, a long period of time. But,
7 you know, there are a lot of people who have
8 things that I think would be of value to you
9 to know about. So I'll stop at this point.

10 Thank you.

11 DR. MACK: Thanks, Jay. In fact, we will
12 look at the documents you provided carefully.

13 Pat Beatty?

14 DR. BEATTY: Thank you, Mr. Chairman. My
15 name is Patrick Beatty. I'm a toxicologist
16 with Chevron Research and Technology Company.
17 And I'm here today representing the Western
18 States Petroleum Association.

19 Basically, let me say that in
20 representing WSPA, we are supportive of the
21 idea of generating criteria. They do set a
22 tone for consistency in a body which will
23 change its composition with time. We are also
24 very pleased, I think, to see that the "weight
25 of evidence" approach is incorporated into the

1 guidelines.

2 That weight of evidence, as evidenced
3 today by the discussion and the presentations
4 by Staff have included not just the dueling
5 bioassays that sometimes occur, but also the
6 mechanistic, the SAR, and the mutagenistic
7 type of evidence.

8 However, having said that about weight of
9 evidence, we have some concerns in some of the
10 language of the criteria, which seem to at
11 least be interpreted or could be interpreted
12 in a way that would discourage or even
13 preclude the use of some kinds of data,
14 specifically data that would be negative or
15 would argue against potential identification
16 of a compound.

17 And it seems to be that a higher hurdle
18 or higher standard of proof is being set for
19 some types of negative data than for more
20 positive data. As a couple of very brief
21 examples, in both the human and animal
22 sections, there is the II.e section, where it
23 says that "the plausibility of causation is
24 undiminished or enhanced by detailed
25 characteristics of the observed association as

1 follows", and then it lists anywhere from 8 to
2 5 specific characteristics.

3 The concern there is that these
4 characteristics, if they exist, may enhance
5 the association, but if they do not exist,
6 apparently they have no potential ability to
7 argue against that association. And in the
8 case of some of these, such as a dose
9 response, that is somewhat problematic.

10 The other example is actually in No. 8 on
11 that one, which says that "an informative
12 negative study must fulfill all criteria".
13 Now it's not quite clear to me in reading this
14 which set of criteria that were previously
15 discussed were being referred to, but there's
16 no equivalent statement made about positive
17 data meeting all criteria.

18 So sort of in conclusion, and to try to
19 keep this brief, we are somewhat concerned
20 that the guidelines, as written or by the
21 language that has been used, could be
22 interpreted in a way that would undermine the
23 use of a weight of evidence approach. That
24 seems to occur because, again, of setting a
25 different standard for negative data as

1 opposed to positive data. We're concerned
2 because the effect of this seems to be to rule
3 out certain kinds of data, not based upon the
4 validity of the study from which it comes, but
5 from the type of data that it is, the nature
6 of the results.

7 We certainly understand that there is
8 uncertainty in scientific data. That's a fact
9 of life for those of us who deal in the realm
10 of science. But there are ways, as already
11 mentioned in the document, the standard
12 statistical methods of dealing with
13 uncertainty to a certain extent.

14 The residual uncertainty that's left, we
15 think, should not be used to disqualify data a
16 priori, but rather should be reserved to the
17 end and for the very valid exercise of the
18 professional judgement, which is why we have
19 expert panels. So that, we feel, is the more
20 appropriate place for overall consideration of
21 uncertainty and then bring that into the final
22 decision.

23 And then finally, the language of the
24 Proposition gives, I think, fairly clear
25 guidance in that it says that the basis for

1 the decisions to list will be based on
2 scientifically valid testing according to
3 generally accepted principles. I think if
4 those criteria are used for the choice of
5 studies, then I think we are well on the way
6 to having the kind of outcome that I think
7 will serve all of us well.

8 And therefore, thank you.

9 DR. MACK: Thank you, Mr. Beatty. I
10 presume we're going to have a copy of your
11 suggestions?

12 DR. BEATTY: Right. I will have more
13 detailed comments and specific wording
14 suggestions with written comments.

15 DR. MACK: Thank you.

16 Dr. North?

17 DR. NORTH: I'm Warner North, with
18 NorthWorks. Since this was not part of my
19 assignment, I believe I'm speaking for myself.
20 And as a former member of the Science Advisory
21 Panel, which preceded the CIC.

22 I very much applaud your efforts. I
23 believe that my four predecessors, in speaking
24 to you, have also done so. You have a very
25 hard job. In my term, we wrestled with the

1 issue of how to list carcinogens, and took the
2 position that we would use EPA's criteria for
3 sufficient evidence in animals and sufficient
4 evidence in humans. We did this without a lot
5 of discussion.

6 I think it's wonderful for you to have
7 this kind of discussion at this time. I
8 applaud your efforts, and I urge you to devote
9 a subsequent meeting to it as well for
10 opportunity for more comment, and frankly, for
11 the group of you to do more polishing of the
12 kind that you've already started to do.

13 I'd like to briefly endorse Jay Murray's
14 point about changing "indicate" to something
15 to be consistent with "clearly shown", the
16 criteria that's in the law. Next, I'd like to
17 suggest to you something that Jim Swenberg
18 suggested to me on the phone last night --
19 when we briefly discussed this -- that you pay
20 more attention to some traditional criteria
21 that had been used in evaluating animal
22 studies; for example, dose response, meaning
23 evidence that there is increasing tumor
24 incidence at higher doses, decrease in the
25 latency period, multiplicity of tumors.

1 Perhaps you think that all of this is
2 obvious. But I think it would be very useful
3 for you to put it the criteria, using language
4 which I believe is fairly standard in
5 toxicology.

6 The issue of maximum tolerated dose has
7 come up. I didn't find that explicitly set
8 forth in your criteria, and I think that needs
9 to be. The issue of "is it a good study?"
10 often depends on judgement on the maximum
11 tolerated dose.

12 Finally, I'd like to endorse the theme
13 that John Froines and others of you have
14 discussed today about mechanism. It seems to
15 me that many groups are trying to deal with
16 this issue. EPA certainly is. The National
17 Academy Report, *Science and Judgement*, tried
18 to provide guidance to EPA. And as EPA moves
19 forward to finalize their guidance -- their
20 guidelines -- and then do a series of case
21 studies that illustrate the application of the
22 guidelines, I think they will provide a lot of
23 very interesting insights for you.

24 I would also commend to you the
25 *Presidential Commission on Risk Assessment and*

1 *Risk Management*, which has much discussion on
2 the issue of how to use mechanistic
3 information. I thought Jay Murray had an
4 excellent suggestion for you, actually two of
5 them. One, his viewgraph showing the various
6 levels, and then the question of using this
7 provision in Prop 65 to require more testing.
8 In situations where the mechanism is probable,
9 but shall we say it might be made more certain
10 by additional testing, I think you're in a
11 position to encourage this. I would urge you
12 to incorporate that into the criteria.

13 Thank you very much.

14 DR. MACK: Thank you, Dr. North.

15 Let me first say that all five of you
16 have been very positive and very useful, and
17 we will, in fact, take these remarks very
18 seriously.

19 Jim?

20 DR. FELTON: I just wanted to say the
21 same thing. I thought those were all very
22 helpful comments. I just want to put up one
23 caution. As a member of this committee, and I
24 think the other members may believe the same,
25 we were called to serve on this committee

1 because we using our "expertise" to make
2 decisions. If we make this too specific, and
3 it ends up being like an IRS form, where if
4 you get four out of eight tumors you go to
5 Line 4, and you make another comment, then
6 there's no point in us being here.

7 And so this is a fine line between having
8 criteria and having us look at it before we
9 make a judgement, and putting so much
10 information and specifics in here that we're
11 really not serving anybody. So, just a
12 caution.

13 DR. MACK: Thank you. Now we have one
14 more item on the agenda. But before I forget
15 it, I just want to tell the Staff how much we
16 appreciate the work that they did today and
17 that they do each time, because it is an
18 incredible amount of work.

19 And the degree to which you can
20 succinctly present the material for our
21 edification is really, really helpful. And we
22 really appreciate it. And in fact, privately,
23 we've discussed it among ourselves repeatedly
24 at every meeting. And I just want to make
25 sure we say it to you formally.

1 Jim, do you want to discuss your beef?

2 DR. FELTON: Yeah. I can be very quick.

3 But, you know, in thinking about the topic we
4 haven't talked about today, which is selection
5 of chemicals to be listed or to be considered,
6 after the tamoxifen event, I might call it,
7 which took an incredible amount of our time
8 and the State's time, and in looking at it a
9 second time, I just didn't feel that was well
10 served to be putting that much emphasis of our
11 efforts into a prescribed drug.

12 And then a number of months ago, I was
13 talking to Jay Murray about this, and he made
14 the same suggestion. And I probably wouldn't
15 have said anything to the Committee, but he
16 suggested it might be a good idea to write a
17 letter.

18 So enclosed in here is a letter that I
19 wrote. And it was my ideas and my letter that
20 you see, but Jay did stir me to write that
21 letter. And so, basically it says that I
22 think we should take prescription drugs that
23 are important but not give them as high a
24 priority as some of the environmental
25 chemicals. And that's all.

1 DR. MACK: Who wants to speak next? Who
2 do we have to speak next? Is it Colleen or is
3 it somebody on the Staff? Martha. They're
4 looking all back and forth. They all rest on
5 Martha.

6 DR. FROINES: Is Jim's point -- are we
7 going to talk about that?

8 DR. MACK: Martha's going to present the
9 Staff's view of that issue.

10 DR. SANDY: I thought it would be helpful
11 to give you some information. To date, 90
12 pharmaceuticals have been listed to cause
13 cancer under Proposition 65 by different
14 mechanisms, as shown on this slide. 13 were
15 placed on the list based on the Labor Code, 11
16 based on Court Order, 43 were listed based on
17 determinations by the State's Qualified
18 Experts, 15 by the authoritative bodies
19 mechanism, and 8 by the Formally Required to
20 be Labeled or Identified mechanism.

21 Since 1994, this Committee has had
22 brought before it two pharmaceuticals for
23 consideration for listing; tamoxifen, which is
24 prescribed to treat and to prevent breast
25 cancer, and the pediatric sedative, chloral

1 hydrate. At the Committee's recommendation,
2 tamoxifen was placed on the list, chloral
3 hydrate was not.

4 If Cindy would just show -- there's
5 several slides just showing you the different
6 pharmaceuticals that are on the list. I'm not
7 going to read through them. You might just
8 flip through.

9 Next slide. And the next slide.

10 This just shows the diversity of drugs
11 that are on the list.

12 Thank you. And now, if you could put the
13 next slide up.

14 And now, if we turn to prioritization,
15 and we look at the most recent batch of
16 chemicals that we have prioritized with
17 respect to carcinogenicity concern -- that's
18 Batch 3 --out of 60 chemicals randomly
19 selected for prioritization, 12 are drugs.
20 Two of these, estradiol mustard and ICRF-159,
21 appear to have been used only experimentally,
22 however.

23 In prioritizing chemicals, chemicals of
24 high carcinogenicity concern are placed on the
25 Candidate List, and it is from the Candidate

1 List that OEHHA selects chemicals to bring
2 before this committee for hazard
3 identification and consideration for a listing
4 as causing cancer.

5 According to OEHHA's Prioritization
6 procedure, chemicals that are not of high
7 carcinogenicity concern are placed in Category
8 II. As shown here, OEHHA's finalized the
9 priorities of 9 of the drugs in Batch 3.
10 Bleomycin and its salts, isophosphamide,
11 estradiol mustard, and ICRF-159 have been
12 finalized as high carcinogenicity concern and
13 placed on the Candidate List. Still draft --
14 still to be finalized are lovastatin,
15 methylphenidate and its hydrochloride, and
16 Phenelzine and its salts.

17 We have finalized and placed in Category
18 II antipyrine, dibromomannitol, diltiazem and
19 omeprazole. And one chemical, 1-butanol, was
20 found to have inadequate data for
21 prioritization.

22 Thank you.

23 The last bit of information I wanted to
24 provide was, looking at the chemicals we're
25 currently tracking in our prioritization

1 database, that have yet to be selected for
2 prioritization, we currently have 73
3 chemicals, which are pharmaceuticals. That's
4 out of a total of 486 that we're tracking.

5 Thank you.

6 MS. HECK: I had a few remarks just on
7 the legal consequences tied up with the
8 prescription drug issue. The long and the
9 short of it is that the lead agency, in its
10 powers as lead agency, has adopted a
11 regulation which provides that for
12 prescription drugs, the labeling approved or
13 otherwise provided under federal law, and the
14 prescriber's accepted practice of obtaining a
15 patient's informed consent shall be deemed to
16 be a clear and reasonable warning.

17 In other words, some people have looked
18 down the road to what are the consequences of
19 listing a prescription drug. And the net
20 result is, as a function of this regulation,
21 no new warnings are triggered as long as the
22 parties involved are in compliance with the
23 federally required labeling requirements and
24 informed consent provisions.

25 This is a factor that has been raised in

1 terms of the prioritization principles. There
2 was recent litigation, in which Mr. Weil
3 represented the State as Deputy Attorney
4 General, that confirmed the vitality of this
5 regulation, if you will, that it says what it
6 says; that is, no matter how obtuse or
7 difficult or technical the federally approved
8 warning language may be, it is sufficient for
9 purposes of complying with Proposition 65.

10 So I think Mr. Weil has a few follow-up
11 remarks in that regard as the Counsel in that
12 case.

13 MR. WEIL: Well, what I wanted to suggest
14 to you from that case is that it did say that
15 this regulation, you know, means -- and it's
16 nice the way Colleen put it as confirming the
17 vitality of the regulation. What it meant is
18 that Prop 65 is unenforceable as to
19 prescription drugs that comply with federal
20 law.

21 But before you use that as part of your
22 hazard identification process, you should be
23 aware that regulations can change, that as a
24 result of that interpretation, the legal
25 validity of that regulation is in question.

1 You should also know that you do sometimes
2 have prescription drugs that go over the
3 counter. And this regulation does not apply
4 to over-the-counter drugs.

5 So there are a number of reasons looking
6 down the road why you might want to consider a
7 chemical that's present in a pharmaceutical
8 drug, anyway, and not withstanding the fact
9 that in the short run, there might not be any
10 actual new warning provided out there in the
11 world as a result of it, and keeping in mind
12 that there's a one-year delay.

13 If you had something where the
14 circumstances changed, and as a result you did
15 want to put it on the list, nothing would
16 happen for a year after that, because you have
17 that one-year grace period built in.

18 So I know there was some talk among the
19 Committee earlier that they did not want to
20 get into the risk management and other policy
21 aspects in doing the hazard identification.
22 And if that's the Committee's view, it would
23 suggest that you might not want to go too far
24 down the road of deprioritizing
25 pharmaceuticals based on the existence of that

1 regulatory language.

2 DR. MACK: Thanks, Ed.

3 John, you were the first one to say
4 something. You want to say something? Okay.
5 Well, let me say something and you'll get to.

6 If the motivation for lowering the
7 priority of medicaments is the tamoxifen
8 story, I really don't think it was specific to
9 the pharmaceutical industry, necessarily. We
10 actually passed tamoxifen fairly efficiently.
11 There was very little debate about it. The
12 problem was that the company involved decided
13 that they were going to make a full-court
14 press and prevent that listing in every way
15 they could think of.

16 Now, it's conceivable that a company that
17 produced another product might do the same
18 thing. I don't have any candidates. But it
19 just happens that that company felt that the
20 listing would do them harm, which I think was
21 in error. But nonetheless, that's the way
22 they felt. And that's what caused the
23 problem.

24 Now, are we concerned that it's just
25 pharmaceutical manufacturers that would have

1 that reaction, and do we want to avoid it for
2 that reason? Or, are you concerned that
3 doctors have eminent good sense and always
4 tell patients whether there's a danger in
5 something that they take? I don't believe
6 that. But I do believe that the warning in
7 the drug box is pretty good. The problem is
8 that the doctor very rarely points it out.

9 So I think for my purposes, the most
10 important thing is the criteria that John
11 keeps harping on, namely the frequency of use
12 and the frequency of exposure. If I look at
13 the list that she put up there, the one that
14 hits my eye is Ritalin. I'd hate to see us
15 lower the priority of Ritalin, just because
16 it's a drug.

17 On the other hand, in terms of the
18 notification section of Prop 65, as Colleen
19 pointed out, it doesn't make any difference
20 because it's going to be on the label, if FDA
21 has decided it's carcinogenic. But the others
22 up there, I think they can be prioritized
23 depending on their frequency more than
24 anything else.

25 DR. FELTON: I just want to comment on

1 that. I think maybe your respect for
2 physicians is different than mine, since you
3 come at it from a different --

4 DR. MACK: Because I'm a physician. Yes.

5 DR. FELTON: Yeah. But my feeling along
6 this was really based on that, that I didn't
7 think that us labeling a drug in long term
8 helps the healthcare -- I don't know how to
9 describe this. I guess I trust the physicians
10 more than you do.

11 The public is not going to get these
12 drugs that we label as carcinogens unless a
13 physician gives it to them. It's just an
14 entirely different situation than an
15 individual that has no concept of what they're
16 consuming or coming in contact with. And so
17 it just seems like a different criteria to me.

18 And that was the main reason for this.
19 It wasn't the fact that we did go through our
20 prolonged discussion about tamoxifen. It was
21 more, this is controlled by physicians. We're
22 putting another level of control on it,
23 essentially, by putting a label on there.

24 DR. MACK: I don't have -- what's the
25 word I want -- any illusions about the impact

1 that Prop 65 has on the average citizen,
2 because it doesn't have much. But if we think
3 it does have something, and we're doing this
4 because we think it has something, what might
5 be the impact, for example, on tamoxifen?

6 The impact is not going to be on whether
7 doctors spend more time telling women who
8 their prescribing tamoxifen for that there's a
9 danger of endometrial cancer. But the fact is
10 that a lot of doctors have not done that in
11 the past. And if the woman sees in the
12 newspaper or somewhere else that somebody has
13 called tamoxifen a carcinogen, she might ask
14 the doctor, thereby, she might get better
15 informed about what the cons are.

16 Now, as you know, when we talked about
17 it, we thought, it's a great drug. It does a
18 lot of good for a lot of people. But some
19 women are going to get endometrial cancer.
20 And all women who take it should know about
21 that possibility in advance. And I think if
22 we have any impact on anything, we have that
23 same impact on the pharmaceutical drugs.

24 DR. FELTON: Tom, can I just say one more
25 thing? I mean, you saw the drug up there,

1 Bleomycin, that's coming up. To me, that
2 seems like a waste. I mean, we give Bleomycin
3 for a good reason, because it's a great
4 carcinogen. And I don't want to waste my time
5 labeling a good carcinogen that we're using to
6 fight cancer with.

7 DR. MACK: Well, I think that --

8 DR. FELTON: Compounds like that, I
9 guess, are different, and they all have
10 different reasons. But these chemotherapeutic
11 drugs that are supercarcinogens look like
12 they're a waste of our time. And I guess the
13 patient may or may not see the thing labeled
14 with the words carcinogen on there, but
15 they're worried about suppressing their
16 cancer. I don't know what impact that would
17 have either. But it just seems like a waste
18 of time to me.

19 DR. FROINES: I was just going to say I
20 agree with you to a certain extent because --
21 but that goes to the kind of judgement Staff
22 should make about bringing chemicals forward.
23 I would take a different example.

24 I would take, say, a blood pressure drug,
25 or even Ritalin, a drug that people tend to

1 take on a chronic basis. And so therefore,
2 they're average daily dose and their
3 cumulative dose can be very, very high. Where
4 you have circumstances like that, then I think
5 it becomes extremely important for the public
6 to have some sense of awareness that if I'm
7 taking four pills of an antihypertensive drug
8 every day for the next 30 years, I'd like to
9 know if there was a health risk associated
10 with that. I think that's extremely
11 important.

12 I think the tamoxifen story is a story of
13 success, not failure. And I think that we
14 should pay attention to those chemicals that
15 we think that the public should be informed
16 about if there is a potential for major
17 exposure that could have long-term health
18 consequences. I think that's part of the
19 responsibility that the State has to
20 undertake.

21 DR. MACK: I think Phenacetin is an
22 example of a drug which has some carcinogenic
23 possibility, and which people are totally
24 unaware of. Another example is estrogens, of
25 course. Now women by and large are pretty

1 knowledgable. But there was a time when they
2 sure weren't.

3 DR. FROINES: In fact, one could argue
4 that Phenacetin is one that -- I actually,
5 speaking to the Staff, would like to know
6 where it is in this process, because clearly,
7 Tylenol is a metabolite of Phenacetin and it's
8 not a trivial medication.

9 DR. EASTMOND: It was listed, I believe.

10 DR. SANDY: It's listed. Yeah.

11 Phenacetin is listed.

12 DR. EASTMOND: It comes on the IARC.

13 DR. FROINES: That's not the point.

14 MR. WEIL: If I could add a little
15 background on the regulations and some of the
16 enforcement here, partly to show how it can
17 get difficult and complicated, on the
18 chemotherapy drugs; for example, the
19 regulations do provide that a different
20 significant risk standard can be used for
21 certain exposures where there's a
22 countervailing public health interest. So it
23 wouldn't necessarily follow that you would end
24 up requiring cancer warnings for chemotherapy
25 drugs with a 1 in 100,000 risk.

1 Other examples: Conjugated estrogen;
2 because of the fact that it's on the
3 Proposition 65 list, when the Attorney General
4 received complaints that pharmacists were not
5 providing the federally required labeling and
6 were out of compliance with federal law, we
7 were able to go to the Board of Pharmacy and
8 tell them, "You'd better tell the pharmacists,
9 here's an additional reason why they need to
10 make sure to do this, because they will also
11 be in violation of Prop 65 if they don't".

12 And finally, the case that got the issue
13 raised in court concerning Lindane, which is
14 the active ingredient in head lice treatment,
15 and is very controversial and in fact the
16 Department of Health Services recommends that
17 it should not be prescribed at all, and very
18 ably analyzed for being over the no
19 significant risk level in normal treatment by
20 the OEHHA Staff, we felt -- and we discovered
21 -- that warnings should be given.

22 And we discovered that physicians
23 generally, in fact almost exclusively never
24 give warnings about this subject. But that is
25 what led to the Court saying that may all well.

1 be true, but this regulation says they don't
2 have to.

3 But I would be reluctant to see this
4 Committee get into trying to make all of those
5 judgements down that very long road in
6 deciding what ought to go on the list.

7 DR. SPANGLER: I don't think -- I agree
8 with Jim. I mean, I think we've gotten, we've
9 branched out here and gotten off the subject.
10 I don't think that we want to do that in any
11 way.

12 I think what Jim and what I would like to
13 see is that those chemicals have a lower
14 priority, not that they not be on the list.
15 But let's look at some really, let's look at
16 more important things, that the pharmaceutical
17 companies -- there's already a warning, as
18 you've said. There's a mandatory warning for
19 all those compounds.

20 DR. MACK: Do you want us to take a vote?

21 DR. SPANGLER: I don't think that we can
22 take a vote in the presence of the Staff.
23 There is a discussion to let them know how the
24 body feels about that particular subject.

25 I think that the Staff is going to do

1 what the Staff has to do. And I'm the last
2 one up here that would ever want to do
3 anything to offend or alienate the Staff.

4 DR. MACK: What Jim is sort of suggesting
5 is that we ask the Staff to deprioritize on
6 the basis of (inaudible) as opposed to their
7 common use and prolonged use. And I think I
8 agree with that, because I would prefer using
9 a criteria that was related to abuse as
10 opposed to --

11 DR. LANDOLPH: One thing that struck me
12 was, in looking at some prescriptions that are
13 out there, sometimes there's a lot of viable
14 carcinogenicity. And it's blown off. Nobody
15 pays any attention to it. And I think once in
16 a while, in certain cases, it may be in the
17 public's interest to know about that.

18 Now, I'm not -- chemotherapeutic agents
19 is one thing. People have no choice. They
20 either use these or die from the cancer.
21 That's one thing. I've actually found it
22 surprising how many prescription drugs have a
23 lot of genotoxic activity.

24 I guess my recommendation would probably
25 be to take a look at those for the reasons

1 John pointed out, but not all of them.

2 DR. MACK: You happy with the discussion?

3 DR. FELTON: No. That's a common
4 problem, obviously. And I have no answer to
5 it. But if I have a drug label, I'll take the
6 drug. It's not my call. As an individual, I
7 guess I'm just having trouble seeing how this
8 label isn't anything more than confusing to
9 the patient rather than a help.

10 DR. MACK: Isn't that the way complex
11 information is? It's confusing to the
12 patient.

13 DR. FELTON: I guess I trust physicians
14 more than Tom.

15 DR. FROINES: I went to a dermatologist,
16 and he gave me a fungal treatment. And I
17 thought, I've seen that someplace before. So
18 I went over to my IARC book. And when I
19 finished reading the IARC book on this
20 particular compound, I went back and asked him
21 for a different prescription. He said, "No
22 problem. It's not a carcinogen". I said, "I
23 think it is, and you're in my window, not
24 yours". And with that, he gave me a different
25 prescription.

1 DR. MACK: Another example is
2 metronidazole, a drug used to treat amoebic
3 abscesses and other single-celled organisms.
4 It's a very widely used drug. And the women
5 who use it have absolutely no idea that it is
6 carcinogenic.

7 DR. FROINES: The document says 5:00,
8 which means the meeting must end.

9 DR. ALEXEEFF: We have listed a number of
10 chemicals, probably most of them that do have
11 warning labels on them and those where there's
12 kind of a dual listing, let's say. But for
13 the ones that we have, for example on the
14 Boards that we are in the process of finally
15 trying to finalize our prioritization, they
16 have not been labeled as carcinogens.

17 MR. ROBERTS: May I --

18 DR. MACK: Can you do it in two minutes?

19 MR. ROBERTS: I can try.

20 From my perspective, and the perspective
21 of the pharmaceutical companies that I
22 represent, if you all are examining your
23 valuable time, you might want to de-emphasize
24 the examination of pharmaceuticals, because as
25 Ed and Colleen said, the net effect of your

1 examination is zero in the short term.

2 Now, Ed points out that circumstances may
3 change. And if circumstances change,
4 certainly you can change them. But in terms
5 of allocating your resources, I think that's
6 something to keep in mind.

7 I also believe that it's something to
8 keep in mind that FDA, a reliable expert body,
9 is keeping a close eye on the carcinogens that
10 are under its jurisdiction, and all
11 prescription drugs are. All prescription
12 drugs have cancer information in the package
13 insert that is conveyed to the doctor.

14 And so I disagree with Mr. Alexeeff in
15 his characterization that all pharmaceutical
16 drugs do not have cancer information as
17 conveyed to the doctor.

18 DR. EASTMOND: I don't believe that
19 counts for anticancer drugs, because a lot of
20 times the testing is not done.

21 DR. MACK: Okay. That wraps it up.
22 Thank you for your kind attendance. The
23 meeting is hereby brought to a halt.

24 (Proceedings concluded at 5:05 p.m.)