

VIDEOCONFERENCE MEETING
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
CARCINOGEN IDENTIFICATION COMMITTEE

GOTOWEBINAR PLATFORM

TUESDAY, NOVEMBER 17, 2020
10:03 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

COMMITTEE MEMBERS:

Thomas M. Mack, MD, MPH, Chairperson

Jason Bush, PhD

Catherine Crespi, PhD

David A. Eastmond, PhD

Thomas McDonald, PhD, MPH

Michelle La Merrill, PhD

Joseph Landolph, PhD

Dana Loomis, PhD

Peggy Reynolds, PhD

Mariana Stern, PhD

Luoping Zhang, PhD

STAFF:

Dr. Lauren Zeise, Director

Ms. Carol Monahan Cummings, Chief Counsel

Mr. Julian Leichty, Proposition 65 Implementation Program

Dr. Martha Sandy, Chief, Reproductive and Cancer Hazard
Assessment Branch

Dr. Meng Sun, Chief, Cancer Toxicology and Epidemiology
Section, Reproductive and Cancer Hazard Assessment Branch

APPEARANCES CONTINUED

ALSO PRESENT:

Steve Hentges, American Chemistry Council

Tracy Heinzman, Methyl Bromide Industry Panel

Steve Risotto, American Chemistry Council

INDEX

	<u>PAGE</u>
I Welcome and Opening Remarks	1
II Prioritization of Chemicals for Future Carcinogen Identification Committee(CIC) Review	
Staff Presentation	9
Bisphenol A	
Committee Discussion	21
Public Comment	38
Committee Discussion and Recommendation	42
Chlorpyrifos	
Committee Discussion	44
Public Comment	54
Committee Discussion and Recommendation	55
Coal Dust	
Committee Discussion and Recommendation	59
Afternoon Session	68
DecaBDE	
Committee Discussion	68
Public Comment	81
Committee Discussion and Recommendation	81
Methyl Bromide	
Committee Discussion	82
Public Comment	96
Committee Discussion and Recommendation	100
Perfluorooctane Sulfonate	
Committee Discussion	103
Public Comment	127
Committee Discussion and Recommendation	131
Trifluralin	
Committee Discussion	137
Public Comment	149
Committee Discussion and Recommendation	150

INDEX CONTINUED

	<u>PAGE</u>
III Consent Item - Update of the California Code of Regulations Title 27 Section 27000 List of Chemicals Which Have Not Been Adequately Tested as Required	151
IV Staff Updates	151
Chemical listings via the administrative listing mechanisms	
Adopted and proposed safe harbor levels and other regulations	
Proposition 65 litigation	
V Summary of Committee Actions	156
Adjournment	159
Reporter's Certificate	160

PROCEEDINGS

1
2 DIRECTOR ZEISE: Good morning, everyone. I'd
3 like to welcome you all to this November 2020 meeting of
4 the Proposition 65 Carcinogen Identification Committee.
5 I'm Lauren Zeise, Director of the Office of Environmental
6 Health Hazard Assessment, also called OEHHA within the
7 California Environmental Protection Agency. We really
8 appreciate the Committee taking this valuable time to
9 provide us advice and consultation today. We have one
10 main item on the agenda and that's prioritizing seven
11 chemicals for possible consideration by the Committee at a
12 later meeting. So listing won't be done today, but we
13 will -- the main item is around -- is prioritization.

14 So I also want to welcome the audience.
15 Appreciate your participation in this Proposition 65
16 meeting. We're really glad we're able to hold this
17 meeting during the COVID State of Emergency. We've
18 engaged GoToWebinar specialist Clara Robinson of
19 LogMeInInc to assist us in this meeting. And she's now
20 going to give the audience some instructions on how to
21 participate in this virtual meeting. And also, this
22 meeting does have closed captioning and so Clara will also
23 point out how to access the closed captioning as well.

24 So, Clara.

25 (Thereupon a slide presentation.)

1 MS. ROBINSON: Great. Thank you so much,
2 Director Zeise. So I'm going to go ahead and go over a
3 few items, so you know how to participate in today's
4 meeting. For participants viewing the meeting through the
5 webcast at <http://video.calepa.ca.gov>, if you would like
6 to provide public comment you will need to join the
7 webinar at <https://bit.ly/meetcic>. And I can post that
8 into the chat link in a few minutes.

9 Participants joining the webinar will have the
10 opportunity to provide public comment during today's
11 meeting by clicking on the hand raise icon on the left tab
12 of your GoToWebinar control panel, when the meeting Chair
13 indicates that he is ready for public comment on that
14 item. Each commenter will be limited to five minutes. A
15 voluntary online speaker card can be found at
16 <https://bit.ly/oehhacic>. And again, I will post that
17 shortly into the chat functionality for you. So we invite
18 you to click on that link, if you plan to make a public
19 comment. This will help us to ensure that we have heard
20 from everyone who intends to comment.

21 If you would like to present slides and have not
22 previously sent them to OEHHA, please email them to
23 p65public.comments@oehha.ca.gov now. We will show your
24 slides when it is your turn to speak. Just tell us next
25 slide to advance to the next slide.

1 If you have a question regarding logistics for
2 example about getting a speaker card or presenting your
3 slides, you may type your question into the questions pane
4 of the control panel at any time during the meeting.
5 Please be advised that this is to assist us with issues
6 that may arise in the virtual meeting process, but is not
7 a mechanism for providing public comment.

8 Closed captioning for this meeting can be
9 accessed at <https://bit.ly/ciccaptions>. And again, I will
10 post that into the chat functionality very shortly.

11 And now I'll turn the meeting back over to
12 Director Zeise.

13 DIRECTOR ZEISE: Okay. Thank you, Clara. And
14 again the meeting is being recorded and transcribed and
15 the transcript will be posted on OEHHA's website. So
16 before getting into the substance of the meeting, I'd like
17 to introduce the Committee. And if you could just wave as
18 I walk through different members.

19 So first Dr. Jason Bush, professor of cancer
20 biology and Chair of the Department of Biology, California
21 State University, Fresno.

22 And then Dr. Catherine Crespi,
23 professor-in-residence of Biostatistics at the University
24 of California, Los Angeles Fielding School of Public
25 Health. Welcome to the Committee, Dr. Crespi. This is

1 Dr. Crespi's first meeting, so welcome.

2 Then there's Dr. David Eastmond, emeritus
3 professor Cell biology in the University of California,
4 Riverside, Department of Molecular, Cell and Systems
5 Biology.

6 Then Dr. Michele La Merrill, associate professor
7 in the University of California at Davis, Department of
8 Environmental Toxicology.

9 Dr. Joseph Landolph, associate professor
10 molecular microbiology and immunology at the University of
11 Southern California, Keck School of Medicine.

12 Dr. Dana Loomis, professor of environmental
13 health at the University of Nevada, Reno, School of
14 Community Health Sciences. Welcome to the Committee. Dr.
15 Loomis, this is also his first meeting.

16 And Dr. Thomas Mack, professor of preventive
17 medicine at the University of Southern California, Keck
18 School of Medicine. Dr. Tom McDonald, Research Fellow,
19 Global Stewardship at the Clorox Corporation.

20 And Dr. Peggy Reynolds adjunct professor at the
21 University of California, San Francisco, Helen Diller
22 Comprehensive Cancer Center in the Department of
23 Epidemiology and Biostatistics.

24 And Dr. Mariana Stern who's professor of clinical
25 preventive medicine in urology and the Ira Goodman Chair

1 in Cancer Research at the University of Southern
2 California Keck School of Medicine.

3 Dr. Luoping Zhang, adjunct professor of
4 toxicology at the University of California, Berkeley
5 School of Public Health.

6 So welcome, Committee. And again, we really
7 appreciate you taking the time to provide your advice at
8 this meeting.

9 I will note that Dr. Loomis will be chairing the
10 meeting today on behalf of Dr. Mack.

11 Now, I'll introduce OEHHA's staff. And OEHHA
12 staff, if you could just turn on and off your cameras as
13 you're being introduced. So Allan Hirsch, Chief Deputy
14 Director; Carol Monahan Cummings, our Chief Counsel; Sam
15 Delson, Deputy Director for External and Legislative
16 Affairs; Dr. Vince Cogliano, Deputy Director for
17 Scientific Programs. This is also -- I think maybe for
18 some of you Dr. Mack -- Dr. Cogliano -- this is Dr.
19 Cogliano's first meeting. And he comes to OEHHA with a
20 wealth of experience from U.S. EPA and the International
21 Agency for Research on Cancer. And he is our Deputy
22 Director for Scientific Programs. So welcome, Vince.

23 And then from the Reproductive and Cancer Hazard
24 Assessment Branch, Dr. Martha Sandy, the Branch Chief.
25 Dr. Meng Sun, she's our new Section Chief of the Cancer

1 Toxicology and Epidemiology Section. And from the
2 Proposition 65 Implementation Program, Julian Leichty,
3 Special Assistant for Programs and Legislation.

4 Now I'll ask Carol Monahan, our Chief Counsel,
5 for some introductory remarks or this meeting.

6 Carol.

7 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. Good
8 morning. It's a little strange having you all look like
9 Hollywood Squares up here, but we'll do our best to make
10 this work.

11 The staff is only going to be showing cameras
12 when they're speaking. So if you need to speak to one of
13 us, then just say that and we'll go ahead and get on
14 camera and unmute.

15 As you know, today's meeting concerns the
16 prioritization of chemicals for future potential listing
17 discussions. No chemical listings will be considered at
18 the meeting today. Your discussion and recommendations
19 concerning priority will be informed -- will inform
20 OEHHA's decisions concerning potentially bringing a given
21 chemical to this Committee for consideration at a future
22 meeting.

23 Your advice is not binding on OEHHA, but is very
24 helpful to us in planning for future meetings. Our
25 scientific staff will explain the prioritization process

1 in more detail shortly. OEHHA takes no position regarding
2 whether a chemical should be prioritized or what priority
3 that may be, though our staff are available to answer
4 questions or locate information if you need it.

5 The Governor appointed you because of your
6 scientific expertise to be the State's qualified experts
7 on the carcinogenicity of chemicals and there's no need
8 for you to feel compelled to go outside that charge. This
9 Committee can consider human, animal, mechanistic or other
10 data when making a recommendation to OEHHA on priority.
11 You can also consider exposure potential for a chemical,
12 but you don't need to consider whether or not the current
13 levels of exposure are sufficient to cause any harm. So
14 what you're looking at is whether or not there's potential
15 for exposure in California or the U.S.

16 Feel free to ask clarifying questions of me or
17 any of the other staff during the meeting. If we don't
18 know the answer to your question, we'll do our best to
19 find and report it back to you. Please also remember that
20 all discussions and deliberations need to be during the
21 meeting, not during breaks, lunch or with individual
22 members on or offline.

23 I also wanted to let you know that Mario
24 Fernandez on my staff - he's a Senior Staff Counsel - may
25 need to cover for me at some point during the meeting.

1 He's very qualified and can answer your questions and he
2 can also reach me, if necessary.

3 I just want to remind you that you do need to
4 mute when you're not talking. This is a public meeting
5 and everyone can hear what you're saying.

6 So any questions?

7 No. Okay. Thank you.

8 DIRECTOR ZEISE: Okay. Thank you, Carol.

9 And now, I'll turn the meeting over to Dr. Dana
10 Loomis today's meeting Chair.

11 COMMITTEE MEMBER LOOMIS: Thank you, Lauren.
12 Good morning, everyone and thank you for joining us under
13 these rather strange circumstances. I'm very happy that
14 we can still meet virtually in spite of the current
15 pandemic. As you heard earlier, this is my first meeting
16 and so I'm -- not only have I not been to one of these
17 meetings before, I haven't chaired one. So if I get
18 things wrong, I will ask fellow members of the Committee
19 or the staff to help me out.

20 I also point out that we do have a scheduled
21 lunch break around noon. When the time comes, I'll
22 announce that in between discussion of some of the items
23 on the agenda. And we'll also try to take a short break
24 about every hour for five or ten minutes.

25 So again, thanks everyone for joining us. As

1 you've heard before, we have one principal agenda item and
2 that is the prioritization process where we, the
3 Committee, are asked to advise OEHHA on the priority of
4 seven chemicals for future consideration for listing.

5 And so we'll turn to that agenda item in just a
6 moment. But before we do that, I'll ask Dr. Martha Sandy
7 for -- to open the presentation of the process by the
8 staff.

9 DR. SANDY: Thank you, Dr. Loomis. And welcome
10 CIC members. Usually for prioritization, I'm the one that
11 gives a background presentation on the prioritization
12 process, but I'm pleased to tell you that today I'm going
13 to ask Dr. Meng Sun, who is the new Section Chief of the
14 Cancer Toxicology and Epidemiology Section to give that
15 presentation. So I'll turn it over to Dr. Sun.

16 DR. SUN: Thank you, Dr. Sandy. Good morning.
17 Clara, could you show my slides, please?

18 (Thereupon a slide presentation.)

19 DR. SUN: Thank you.

20 So the main item that we're going to discuss
21 today is the prioritization of chemicals for possible
22 future CIC review and listing consideration under
23 Proposition 65.

24 As several of our CIC members have joined the
25 Committee after 2016, which was the most recent year we

1 brought chemicals for prioritization ranking, I'm going to
2 give a brief overview of this prioritization process.

3 Next slide, please.

4 --o0o--

5 DR. SUN: The purpose of the prioritization
6 process is to identify chemicals for evaluation of cancer
7 hazard by the CIC. Specifically, we track chemicals that
8 we think have some evidence of carcinogenicity and we then
9 prioritize among this large group of chemicals. The goal
10 is to identify chemicals that the CIC should evaluate. We
11 want to focus your attention on chemicals that are
12 relevant for Californians. So we look at chemicals that
13 we think have apparent exposure in California and then we
14 look at chemicals with the most information that suggests
15 that they might be carcinogenic.

16 I want to emphasize that prioritization is a
17 preliminary appraisal of the evidence of hazard. It is
18 not a thorough comprehensive review, like we do when we
19 write the hazard identification document. The
20 prioritization process is meant to be a quick screen of
21 readily available data relevant to carcinogenicity for a
22 large number, hundreds, of chemicals.

23 Next slide, please.

24 --o0o--

25 DR. SUN: Here is a schematic of the

1 prioritization process we follow, based on the top portion
2 of figure one in OEHHA's 2004 prioritization process
3 document, which has been provided to you as part of this
4 meeting's materials. Let me walk you through this slide.

5 We maintain a chemical tracking database shown at
6 the top of this slide and among the chemicals that are
7 tracked, we identify those that have apparent exposure in
8 California and some evidence suggestive of
9 carcinogenicity.

10 This subset of tracked chemicals are called
11 candidate chemicals. We apply a focused data screen to
12 those candidate chemicals. By that, I mean that we
13 conduct focused literature reviews to identify chemicals
14 that report positive findings in cancer epidemiological
15 studies in humans and thus pass our human data screen and
16 to identify chemicals that have certain types of positive
17 tumor findings in studies in animals, and thus pass our
18 animal data screen.

19 Chemicals that pass either one or both of these
20 data screens continue further in the prioritization
21 process. They're subjected to a preliminary toxicological
22 evaluation of the overall evidence of carcinogenicity,
23 taking into account additional information such as studies
24 on key characteristics of carcinogens, metabolism and
25 pharmacokinetics.

1 Chemicals for which this preliminary evaluation
2 indicates carcinogenicity may be a concern are proposed to
3 you for consideration. And we consult with you in a
4 meeting like we're doing today.

5 After the meeting, we will consider your advice
6 and OEHHA will select chemicals for preparation of hazard
7 identification documents.

8 Next slide, please.

9 --o0o--

10 DR. SUN: Here is a recap of our past and present
11 prioritization efforts. Between 2009 and 2011, we applied
12 the human and animal data screens to more than 380
13 chemicals. For chemicals that pass either one of those
14 data screens, we looked at the overall evidence by
15 conducting a preliminary toxicological evaluation and
16 identified those with the most compelling Evidence to
17 bring to the CIC for consultation.

18 Over the course of those three years, we brought
19 104 chemicals to this Committee asking the Committee to
20 rank each chemical in terms of priority as either high,
21 medium, low or no priority. On an ongoing basis, we
22 continue to look for new information on tracked chemicals
23 and on those identified as candidate chemicals by
24 conducting updated literature searches. And as new
25 chemicals are added to our tracking database, we screen

1 them for exposure in California and evidence suggestive of
2 carcinogenicity.

3 For all chemicals newly identified as candidate
4 chemicals, we applied the human and animal data screens.
5 Also on an ongoing basis, as we identify chemicals that
6 pass the human and/or animal data screens, we conduct a
7 preliminary evaluation of the overall evidence and
8 identify those with the most compelling evidence as
9 chemicals to bring to you for consultation.

10 In 2016, we brought five chemicals to your
11 Committee for consultation and prioritization ranking.
12 And now in 2020, we are bringing seven chemicals to you.

13 Next slide, please.

14 --o0o--

15 DR. SUN: Now, we'd like to focus specifically on
16 the part of the prioritization process shown here on the
17 slide, where candidate chemicals are screened first by
18 applying a human data screen to the results of a focused
19 literature review and then by applying an animal data
20 screen to an appropriately focused literature review.

21 For chemicals that pass either of these screens,
22 we proceed to step 3, as shown on the slide, in which we
23 conduct a preliminary toxicological evaluation of the
24 chemical. That entails consideration of the overall
25 evidence from readily available information relevant to

1 screen that we apply. As with the human data screen, this
2 screen was designed as a quick tool to identify candidate
3 chemicals with a certain minimum amount of positive
4 findings in animal studies in order to distinguish from
5 those that do not have that minimum level positive
6 findings in animals.

7 And for the newer members of the CIC, I will note
8 that back in 2008, as we developed the animal data screen,
9 we consulted with a committee on the design and content of
10 this screen. As shown here, there are several ways in
11 which a chemical can pass the animal data screen. The
12 first is if a chemical has two or more positive animal
13 cancer bioassays. And I should point out that for
14 purposes of this screen, we have defined a positive animal
15 cancer bioassay as one in which an increased incidence of
16 a malignant or combined malignant and benign tumors is
17 observed.

18 Other ways in which a chemical can pass animal
19 data screens is if there is one positive study in which
20 the tumors occurred to an unusual degree with regard to
21 incidence, site, or type of tumor, or age at onset, or if
22 there are findings of tumors at multiple sites in that
23 single positive study or if, in addition to that one
24 single positive study, there is a second animal study
25 reporting an increase in benign tumors known to progress

1 to malignancy.

2 Next slide, please.

3 --o0o--

4 DR. SUN: This slide highlights where we are
5 today in the prioritization process. We are at the stage
6 where we're consulting with the CIC on the seven chemicals
7 that we have proposed for Committee consideration.

8 Next slide, please.

9 --o0o--

10 DR. SUN: Your Committee in the past has asked us
11 to put the table together like this, where we have
12 characterized each of the chemicals in terms of exposure
13 and we have -- where we have identified the types of
14 studies available for each chemical. With regard to
15 exposure characteristics, chemicals may be identified as
16 being widespread or -- and/or high in frequent consumers,
17 or as having limited exposure perhaps only in occupational
18 settings, or as being high in infrequent consumers.

19 In terms of the types of studies that are
20 available for each chemical for the different types of
21 data, human, animal and other relevant data, we are
22 indicating with a check mark the types of studies that are
23 available.

24 For example, a check mark in the analytical human
25 data column indicates that there is at least one

1 analytical human study on the chemical. Such a check mark
2 does not indicate whether there are any analytical
3 epidemiological studies with positive findings however.
4 It merely indicates that there is an analytical
5 epidemiological study on that chemical.

6 Among the seven chemicals included here in this
7 table, decaBDE and PFOS were brought to the CIC in 2010
8 and they were ranked at that time as medium priority.
9 Bisphenol A and trifluralin were brought to the CIC in 2011
10 and they were also ranked, at that time, as medium
11 priority. Since that time, significant new data have been
12 identified for all four of these chemicals, so we're
13 bringing them to you again today.

14 This is the first time that chlorpyrifos, coal
15 dust, and methyl bromide have been brought to the CIC for
16 consultation.

17 I'd like to explain that under the structural
18 similarity with Proposition 65 carcinogens column, we have
19 check marks for five chemicals. In our prioritization
20 document, we inadvertently missed specifying the names of
21 these carcinogens for two chemicals. For bisphenol A, it
22 is similar to the carcinogen tetrabromobisphenol A. And
23 for PFOS, we applied the structural activity relationship
24 broadly to fluorinated chemicals such as
25 tetrafluoroethylene.

1 I also wanted to point out that PFOS is
2 structurally similar to PFOA or perfluorooctanoic acid.
3 PFOA is currently ranked as a high priority chemical.

4 Next slide, please.

5 --o0o--

6 DR. SUN: Today, we are asking you to recommend
7 rankings for these seven chemicals in terms of priority
8 for preparation of hazard identification materials for
9 possible future CIC review and possible listing under
10 Proposition 65. You will notice that we are asking you to
11 rank these chemicals as either high, medium or no
12 priority.

13 And now I will turn this over to OEHHA's Deputy
14 Director Dr. Vince Cogliano to say a bit more about these
15 three priority categories.

16 DR. COGLIANO: Thank you very much Meng. And
17 good morning everybody. So those of you who have been to
18 more prioritization meetings than I've been will remember
19 that in the past you've been asked to rank chemicals as
20 high, medium or low, or no priority. And in going over
21 the materials, we realized that saying that we should
22 consider a chemical was low priority is a bit of a mixed
23 message. That it's really saying it's not much of a
24 priority at all. So to be totally transparent and clear,
25 we had decided to -- not to use that category going

1 forward and to just ask you to recommend -- make your
2 recommendations be high priority, medium priority, or not
3 a priority. So that's the change we're making for this
4 meeting compared to meetings in past years.

5 And so with that, that's all I have to say at
6 this time. Thank you.

7 DR. SUN: Thank you, Dr. Cogliano. That
8 concludes our presentation today. And I will now turn it
9 over to Dr. Loomis.

10 COMMITTEE MEMBER LOOMIS: Very good. Thank you,
11 Meng and Vince.

12 So we're -- in just a moment we'll begin the
13 Committee discussion phase of this meeting. I'll take a
14 minute to explain how this will work. So we have seven
15 chemicals on the agenda today. For each one, two or three
16 members of the Committee have been designated as lead
17 discussants. So I'll call on each of the lead discussants
18 by name and ask them to give their views of the chemical
19 and a preliminary suggestion about whether it warrants
20 priority for further consideration.

21 We'll then call on other members of the Committee
22 if they would like to make any remarks. And then having
23 done that, we'll have time for public discussion. Public
24 discussion will be limited to five minutes per speaker.
25 And at this point, I'll ask our facilitator, Clara

1 Robinson, to explain the mechanics of that process.

2 MS. ROBINSON: Okay. Thank you. So I'm just
3 moving to the next slide here. So for the public
4 comments, if you wish to provide a public comment, please
5 use the hand raise feature that is located on the left tab
6 of your GoToWebinar control panel. We will see your hand
7 raise and we'll ask you -- and we will unmute you so that
8 you can ask your question.

9 Again, if -- we do request that you fill out a
10 speaker card. And I put the link into the questions pane
11 earlier, where you can fill that out. And again, if you
12 would like to present slides and you have not already sent
13 them to OEHHA, please send them to the email that is
14 located on the slide that you are viewing right now.

15 And if you need any assistance during the virtual
16 meeting, you can submit questions to the questions pane in
17 the control panel.

18 Before we get started with that, Dr. Loomis, I
19 just wanted to see if we wanted to take a quick break just
20 to verify the recording situation.

21 COMMITTEE MEMBER LOOMIS: Sure. If we need to do
22 that, let's take five minutes, is that enough?

23 MS. ROBINSON: Hopefully, yes.

24 COMMITTEE MEMBER LOOMIS: Okay. I have one more
25 point to make about the discussion process and then I'll

1 call for a break, if that works for you.

2 MS. ROBINSON: Sure.

3 COMMITTEE MEMBER LOOMIS: Okay. So we've heard
4 about the public comment process. Then having heard from
5 all those members of the public who wish to speak, I'll
6 come back to the Committee and ask for further discussion
7 and a vote on priority, calling on each member of the
8 Committee to give their opinion.

9 So if there's nothing further, we need to discuss
10 about the process at this point, let's take five minutes
11 for a technical break.

12 (Off record: 10:36 a.m.)

13 (Thereupon a recess was taken.)

14 (On record: 10:44 a.m.)

15 DIRECTOR ZEISE: Okay. I think we can get
16 started, Dana. I think we can start back up.

17 COMMITTEE MEMBER LOOMIS: Okay. Very good. So
18 if everyone is here - I think I see all the Committee
19 members - then let's get started with the scientific
20 portion of the meeting. That means we begin with the
21 first chemical bisphenol A. So for this substance, Joe
22 Landolph, Michele La Merrill and Peggy Reynolds are the
23 designated lead discussants.

24 So Dr. Reynolds, let's begin with you.

25 COMMITTEE MEMBER REYNOLDS: Okay. So as has been

1 nicely outlined by the OEHHA staff and -- as well as from
2 public comments from the American Chemistry Council. BPA
3 is something that's been used since the 1950s to make
4 highly durable plastics and it's been common used in food
5 packaging. Exposure in humans is ubiquitous, but BPA has
6 been subject to extensive negative press and recently been
7 discontinued from use in many a food and beverage packing
8 products. As has been illustrated on the BPA free
9 notation seen on many products.

10 So while I defer to my colleagues on the
11 toxicology and mechanisms of BPA, there does appear to be
12 good evidence for xenoestrogenic properties that raise
13 concerns about carcinogenic potential, particularly for
14 hormonally mediated tumors. An NIH EPA expert panel
15 review in 2007 documented that endocrine disrupting
16 properties had been demonstrated in several in vivo
17 studies and that BPA should be considered to be a
18 xenoestrogen. A 2016 update further reinforced that
19 conclusion. And panel members concluded that BPA may be
20 reasonably considered to be a human carcinogen for breast
21 cancer.

22 There's also some epi evidence that BPA may be
23 associated with greater mammographic density, which is
24 well known as a risk factor for breast cancer. But, in
25 fact, the epidemiologic evidence was then and still is

1 pretty sparse.

2 So one of the biggest challenges for human health
3 studies of this nature is exposure assessment. The most
4 common method for assessing exposure in humans is from
5 measuring metabolites in urine. Assessing BPA in blood is
6 less desirable as detection levels tend to be low and less
7 informative. And roughly half of the few epi studies to
8 date have relied on urine samples.

9 It's only a small smattering of studies. There
10 were 13 studies presented to us for this initial review.
11 Most of them were breast cancer, seven of the 13 studies.
12 And of those, four had exposures for urine samples.
13 Generally, the breast cancer literature has been quite
14 null, as has been the one study on endometrial cancer,
15 which was the study based on blood samples.

16 It's not surprising that so many of these studies
17 were breast cancer, because of the endocrine disrupting
18 and estrogenic activity of BPA. But despite various
19 approaches to exposure measurements, they, along with that
20 one endometrial cancer study, haven't really observed
21 elevated risks, while there appear to be positive results
22 for a few other miscellaneous cancers.

23 This could be in part because the animal evidence
24 for in utero BPA-related epigenetic reprogramming suggests
25 that the design of most current epi studies may have been

1 looking at the wrong window of exposure. This is a
2 general problem for studies of breast cancer.

3 So although there are relatively few
4 epidemiologic studies of BPA in cancer risk, and only a
5 few of those are positive, the laboratory evidence, in my
6 mind, continues to suggest potential risk relationships
7 for humans, particularly for those endocrine related
8 cancers.

9 So because of the extensiveness of BPA exposure
10 in the population and high public interest in human health
11 risks, I would classify this as a high priority for CIC
12 review.

13 COMMITTEE MEMBER LOOMIS: Good. Thanks, Dr.
14 Reynolds.

15 COMMITTEE MEMBER REYNOLDS: And i'll pass it on.

16 COMMITTEE MEMBER LOOMIS: Okay. Let's go on to
17 Dr. Landolph then.

18 CHIEF COUNSEL MONAHAN CUMMINGS: I'm sorry, Dr.
19 Landolph, if I could just interrupt quickly. I'm not
20 seeing everybody's cameras on for the Committee. Could
21 you check and make sure all of you that you have your
22 cameras on, in particular Dr. Reynolds. Okay. Yeah. We
23 just need Dr. Reynolds I think.

24 There you are. Okay. Thank you.

25 COMMITTEE MEMBER LANDOLPH: Okay. So, yeah, I

1 agree with Peggy. It certainly is a high exposure
2 chemical to humans. And to make a long story short, the
3 last time we looked at it, I voted medium on it, but
4 there's been a lot of data that's in on it since, so I'm
5 going to shift my vote to high.

6 I'm looking at the two hydroxyl groups on the
7 there. It looks like potential for the possibility of
8 oxidation to quinones and that you could generate oxygen
9 radicals off this.

10 So I'm going to turn to the animal studies that
11 I'm more conversant with. And let's see here. Table
12 three is male Fisher rats -- 344 rats exposed bisphenol in
13 feed for 103 weeks. And the hematopoietic system looking
14 at leukemias they got 13, 12 and 23 at the 0, a 1,000 and
15 2,000 parts per million doses. The trend test was P
16 equals 0.021. So that's good. The mammary gland they
17 looked at the fibroadenoma, 0, 0 and 4. And the trend
18 test was P equals 0.0114. And the testes they looked
19 interstitial tumors and they got 35, 48 and 46 roughly out
20 of 50. And the trend test there was good at P is less
21 than 0.001. So that was hematopoietic system and mammary
22 gland.

23 In Table 4, the results were not quite as
24 striking. The hematopoietic system was 2 out of 50, at 0
25 at the median dose, it was 8 out of 50, and a the high

1 dose of 10,000, it was 3 out of 50. So it was a rise, but
2 it was not significant.

3 For the chromophobe carcinoma and the pituitary
4 gland, it went 0 tumors at 0 dose, 0 tumors at 5,000, then
5 it jumped to 3, so the P equals 0. -- less than 0.05. So
6 that's positive.

7 There was a positive test for tumor incidence in
8 female F1 Sprague-Dawley rats exposed during gestation.
9 And the numbers were low, but there was a P test of P
10 equals less than 0.05. So that's positive as well.

11 And there was a whole set of lymphomas arising in
12 different organs, liver, prostate, bone marrow, spleen,
13 kidney and systemic in male F1 Sprague-Dawley rats exposed
14 to bisphenol A during gestation. And the trend test was
15 pretty good for all of them. It was less than 0.01, except
16 for one which is -- was less than 0 -- P equals 0.002.

17 So I'm going to skip over a lot of this and say I
18 see a lot of positivity in the animal studies. So
19 basically, I'm going to stick with high.

20 And I want to turn just real briefly to the in
21 vivo studies. And you get DNA adducts in CD1 male rat
22 liver is positive. And in vitro, you get Formation of DNA
23 adducts to the N7 of guanine. So that's positive. And
24 DNA accounts in cultured Syrian hamster embryo cells
25 positive. So that indicates that you're getting DNA

1 adducts formed from the metabolism of this compound.

2 Then in genotoxicity you get DNA double strand
3 breaks, meiotic aneuploidy in females. In vitro, you get
4 K-ras mutations, HGPRT mutations in V79 cells was negative
5 however, you get micronucleus formation, you get
6 aneuploidy, you get chromosomal abnormalities, and DNA
7 double strand breaks in a number of different systems.

8 So I would say, since we last met, this compound
9 is much more positive. It induces chronic inflammation.
10 And it does cell transformation in Syrian hamster embryo
11 cells. So I'm going to go along with Dr. Reynolds and say
12 that my recommendation is we move this one up to high,
13 both because of the wide spread exposure and large number
14 of positivities in the animal studies.

15 COMMITTEE MEMBER LOOMIS: Okay. Thanks, Dr.
16 Landolph. Let's go on to Dr. La Merrill.

17 COMMITTEE MEMBER LA MERRILL: Good morning. I'll
18 give you my overall impression and dive a little into it
19 further. I noticed in humans and rodents there are
20 reports of mammary cancers and prostate cancer or
21 neoplasia that are consistent with interactions of
22 multiple nuclear receptors. Primarily, those of estrogen
23 and further evidence of those estrogen receptor
24 interactions have pretty nicely elucidated epigenetic gene
25 regulatory mechanisms associated with them. And there's

1 evidence that there's estrogen receptor-dependent
2 proliferation. And these combined with reduced apoptosis
3 and elevated genotoxicities make me think that this should
4 be a high priority.

5 When I looked at the human data, I only looked at
6 the case controls, I noted there were no cohort studies.
7 We heard from Dr. Reynolds how the exposure assessment is
8 problematic, both in terms of this being a short lived, in
9 terms of half-life, but also windows susceptibility
10 issues. Despite those practical difficulties in
11 conducting this research among case control studies, two
12 out of four had elevated odds of breast cancer and two out
13 of four were null. For other sites, there was only one
14 representative case control study that I observed and they
15 had elevated odds for lung cancer, prostate cancer,
16 meningioma, breast -- excuse me, brain cancer, in addition
17 to osteosarcoma and a gene interaction with lysyl oxidase,
18 LOX genotype.

19 And the animal studies, as you heard from Dr.
20 Landolph, there are extensive sites that were targeted
21 mostly at hematopoietic and endocrine reproductive axis in
22 both male and female rodents. And this was across
23 government and academic labs at least. And this included
24 both rats and mice involving primary exposures,
25 co-exposures, and xenograft approaches. And these sites

1 and lesions included pituitary carcinomas, mammary
2 carcinomas, hepatic adenoma - excuse me - carci -- and
3 carcinoma combined, lymphomas in multiple sites, prostate
4 neoplasias, or often known as PINs, and adenocarcinomas.

5 So there were numerous demonstrations of Dose
6 response trends, but also sometimes those only were
7 pairwise. And you heard a lot about the genotoxicity from
8 Dr. Landolph. I mentioned there's quite a bit known on
9 epigenetic effects of BPA that are primarily associated
10 with histone modifications in the estrogen receptor.
11 There is a study of chronic inflammation in rabbits.

12 The estrogen receptor that is targeted is not
13 just nuclear alpha and beta, but also the membrane
14 G-protein coupled estrogen receptor. And this has been
15 demonstrated in a number of different species and with
16 selective antagonists to have dependence for downstream
17 effects, including neoplastic transformation of human
18 breast cancer -- breast epithelial cells. There is some
19 evidence of immortalization by transformation of hamster
20 embryo cells as well.

21 And with that, I think I'll stop and just
22 reiterate that I would recommend this as high priority
23 based on that weight of evidence.

24 COMMITTEE MEMBER LOOMIS: Okay. Great. Thanks
25 to all the discussants. And now let's see whether other

1 members of the Committee have anything that they'd like to
2 add to what we've already heard. The process for this, as
3 I understand it, is going to be since I can see all of
4 you, you physically raise your hand and I'll call on you.
5 So, Dr. Eastmond, you have your hand up, go ahead, please.

6 COMMITTEE MEMBER EASTMOND: Thank you. I have
7 kind of a different take certainly on the animal studies.
8 I didn't review this chemical in great detail, but I did
9 notice that the NCI NTP chronic bioassays results,
10 although they noticed these various trends, they
11 recognized most of these were associated with old age, and
12 so they concluded there was no clear evidence. This is
13 from the 1992 one I believe, or '82, I can't remember.

14 And then the more recent Clarity study, which was
15 done by NCTR, although there's some controversy, overall
16 it's pretty negative in the animal studies, like
17 overwhelmingly negative, and positive results were seen in
18 many different endpoints, as far as estrogenic activity,
19 et cetera.

20 But as far as cancer itself, I didn't see the
21 evidence for that. I'm not familiar with it. I do
22 recognize it is an estrogenic compound. It's about one --
23 it's not nearly as potent as estrogen. It's -- I think
24 it's a hundred-fold or a thousand-fold less potent. So at
25 high doses you will see effects, but when you get to the

1 low dose is where most people are exposed. It's really
2 questionable whether you see those effects or not.

3 Anyway, I do realize this is a high profile
4 compound. There's a lot of concern about it. There's
5 certainly mechanistic information. So, you know, I would
6 tend to put it more in the medium category, but that's
7 my -- the main thing for me is I don't really mind going
8 through it, but there's a huge amount of work involved
9 with the OEHHA staff, so that's kind of my thinking about
10 this compound.

11 COMMITTEE MEMBER LOOMIS: Okay. Thanks.

12 Dr. Mack, I think you had your hand up as well.

13 COMMITTEE MEMBER LOOMIS: It looks like you're on
14 mute.

15 CHAIRPERSON MACK: Yes. I have a completely
16 different reason for wanting to put it in a high priority.
17 Although, I think it could be just on the basis of its
18 universal exposure and the relatively minimal animal data.

19 But there's -- I -- my attention was caught by
20 the Chinese study of what they initially said was
21 non-small cell lung cancer. This is something that an
22 epidemiologist probably wouldn't do initially, because
23 non-small cell lung cancer puts together two cancers which
24 have completely different etiologies, the standard,
25 most -- formally most common kind of lung cancer, which is

1 very much tobacco related and adenocarcinoma of the lung,
2 which is a very different entity. The standard kind of
3 lung cancer is decreasing in frequency because of the
4 decreased number of people that are still smoking. And it
5 is most common in Black men and Black women and then on
6 Whites after that.

7 But adenocarcinoma is very different. It not
8 only is -- it is most common in Black men, but it's most
9 common in White women, and it's a very striking
10 difference. And it's also not decreasing in frequency as
11 the other lung cancers which are related to tobacco are.
12 It's increasing. And it's increasing in both men and
13 women.

14 And because that study in China was, in fact,
15 basically a study of adenocarcinoma, because it's by far
16 the most common non-small cell lung cancer in China, and
17 the numbers were fairly large and the result was fairly
18 positive. So because of the fact that White women may
19 have a different kind of exposure than Black men and
20 because this tumor is increasing, I think it warrants a
21 high criteria as well.

22 COMMITTEE MEMBER LOOMIS: Thanks for that
23 interesting observation. That's worth keeping in mind.

24 Let's see. Would any of the other members like
25 to make a comment at this point? Let's see, Dr. Eastmond.

1 Any other hands. Let's go to Dr. McDonald, first, then
2 we'll come back to you, Dr. Eastmond, since you've already
3 spoken once. Go ahead, Dr. McDonald.

4 COMMITTEE MEMBER McDONALD: Yes. Thank you, and
5 hello, everybody.

6 Yeah, I also, like Dr. Eastmond, I wasn't as
7 compelled with the animal evidence. You know, the 1982
8 NTP study did have some suggested findings, particularly
9 the rare mammary gland fibroadenoma in the high dose
10 males. But overall, those early studies showed maybe some
11 suggestions by trend test in the mice, but not by
12 pairwise. And then the later studies by NTP in 2018,
13 generally I view those as negative. There's some
14 suggestions maybe that there's a U-shaped dose response
15 curve or something like that going on, but, you know, you
16 just don't responses in the higher dose groups.

17 Clearly, there's lots of mechanistic evidence on
18 this compound. I think the only other thing I'd like to
19 add is on the epi studies, I'm a little worried that in
20 nearly all the studies, exposure assignment is (inaudible)
21 by a single urinary sample, you know, for a chronic
22 endpoint. And so that's one area of concern which I find
23 is a little bit of a weakness in the human data, but I'll
24 let the epi folks speak to that.

25 Thank you.

1 COMMITTEE MEMBER LOOMIS: Okay. Dr. Eastmond,
2 did you want to say something else?

3 COMMITTEE MEMBER EASTMOND: Oh, this was just to
4 follow up with Dr. Mack's comment. I thought the shift in
5 tumor types and lung cancer had to do with the reduction
6 in the coal tar, the PAH, and the increase in nitrosamines
7 in cigarettes and that's why there was a shift in once
8 cancer being seen. That's just a follow-up to your
9 specific comment.

10 COMMITTEE MEMBER LOOMIS: Okay. Dr. La Merrill
11 wants to say something else.

12 So go ahead, please.

13 COMMITTEE MEMBER LA MERRILL: Yes. Thank you.
14 So to speak to the comments about the NTP studies, I am a
15 little perplexed about the conclusion that there's not
16 really anything going on in the 1982 study. The trends
17 are significant which means that there is a linear dose
18 response associated with that. I heard also that there
19 was not a pairwise association, but, in fact, at the
20 higher doses, it was observed. And I see that in
21 particular that there was a trend significance for
22 hematopoietic with leukemias, the fibroadenoma of the
23 mammary gland, as well as the interstitial cell testes
24 tumor.

25 And with respect to the point-wise doses, there

1 was significantly elevated leukemias and testes tumors in
2 the 2,000 ppm dose compared to the control and for the
3 testes in the 1,000 group.

4 You know, I also heard mentioned that there was
5 sometimes a non-monotonic. I think it's confusing to me
6 to hear you on one side saying, Dr. McDonald, that we
7 shouldn't be too interested in the trend test and on the
8 other hand you're saying that the non-monotonic and not
9 much effect at the higher dose is to be dismissed. I'm
10 not really sure what type of pattern of significance it is
11 that you find remarkable.

12 But I want to point that regardless of our
13 interpretations of the statistical significance that was
14 actually reported, that across multiple models we have in
15 multiple species, rats and mice, and both males and
16 females, carcinomas in one, two, three, four -- at least
17 four different sites. And so based on the criteria that
18 we're supposed to be thinking about elevated concern for
19 animal studies, I think this is kind of more than adequate
20 to consider this worth a full review to look more
21 carefully at some of these studies that are being brought
22 up.

23 COMMITTEE MEMBER LOOMIS: Okay. Thanks.

24 Dr. Bush.

25 COMMITTEE MEMBER BUSH: Thank you. Yeah, just a

1 matter of procedure. And perhaps Dr. Zeise or Sandy could
2 answer this. Do we know whether DART is evaluating this
3 particular chemical?

4 DR. SANDY: Hello. This is Martha Sandy. Yes, I
5 can tell you that actually bisphenol A has been listed by
6 the DART IC Committee. They considered it just for one
7 endpoint, the female reproductive endpoint and it was
8 placed on the list based on that. And you'll be hearing
9 at the end of the meeting about a court case where we had
10 proposed listing BPA based on developmental toxicity and
11 through the authoritative bodies mechanism. And that case
12 is winding its way through the process, so we'll update
13 you on that at that time.

14 COMMITTEE MEMBER BUSH: Thank you.

15 COMMITTEE MEMBER LOOMIS: Good. Are there any
16 other preliminary comments from the other members of the
17 Committee who haven't spoken yet?

18 I don't see any hands raise, so I'll add mine
19 really briefly. The -- I agree that the epidemiologic
20 evidence of carcinogenicity is inconsistent. I would call
21 it limited in IARC terminology. But nevertheless, there
22 is a concern about widespread exposure to this chemical
23 and its high public profile. I also noted that there's
24 evidence that it induced oxidative stress and chronic
25 inflammation in two studies of exposed humans, which I

1 don't think was mentioned in earlier comments. So for me,
2 it would merit high priority for further consideration.

3 So unless there are any further -- oh, last
4 thoughts, there's Dr. Stern. Go ahead, please.

5 You're on mute.

6 COMMITTEE MEMBER STERN: I don't want to take too
7 much time, but I just want to add my thoughts. I agree
8 that the epidemiological evidence is consistent with
9 limited based on lack of findings for some cancer types,
10 primarily for breast cancer, were based on the mechanisms
11 one would expect to see strong associations.

12 But I want to echo what Dr. Reynolds said that
13 based on the mechanistic evidence, it suggests that the
14 impact of these compounds might be during development.
15 And there are no studies that have looked at cohorts to
16 see whether young women exposed during childhood
17 development are impacted by these, so -- but the
18 mechanistic evidence to me is compelling in that
19 direction.

20 And they are some cancers like lung, and
21 prostate, and meningiomas, and bone cancer for which there
22 are positive associations. So putting it all together, I
23 think that it merits further evaluation and it's a high
24 impact, based on the concern of potential impact it might
25 have on young women during development.

1 COMMITTEE MEMBER LOOMIS: Okay. Thanks. Any
2 final comments before we invite the public to speak?

3 Dr. Landolph, one more comment.

4 COMMITTEE MEMBER LANDOLPH: Yeah. I'm going to
5 agree with Dr. Stern, and Dr. La Merrill. When I looked
6 at this, there is positivity in the animal database. I
7 don't think you can just put a zero coefficient in front
8 of that. I Don't agree with that.

9 Secondly, the key characteristics of carcinogens
10 is met in a few areas here. It forms DNA adducts in vivo,
11 and in vitro, and it's genotoxic. And it causes aneuploid
12 in SHE cells. So there's a lot of classical contributions
13 of the principles of carcinogenesis to this compound.

14 I ask myself could I say that this compound is
15 not carcinogenic? And I would have to reject that
16 hypothesis straight out of hand, due to the large amount
17 of evidence that I see presented here. So I disagree with
18 some of the other speakers.

19 COMMITTEE MEMBER LOOMIS: Okay. Thank you.

20 Let's go now to public comments. The Committee
21 will have a chance for further discussion after that. And
22 I see that Mr. Hentges has his hand up. And so I think we
23 need our facilitator to call on him to speak.

24 MS. ROBINSON: All right. Mr. Hentges, I have --
25 I have unmuted you from our end, but you are currently

1 self-muted. You should see an icon of a microphone on the
2 top left corner of your control panel. Please click on
3 that to unmute yourself from your end. There you go. Hi,
4 Steve. Can you hear us?

5 DR. HENTGES: Hello. Can you hear me now? I
6 should be coming through.

7 MS. ROBINSON: We can. You are.

8 DR. HENTGES: Okay. Thank you.

9 I sent in written comments before and probably
10 you have those and you've looked at those. So what I
11 intend to do is to provide a hopefully brief synopsis of
12 some of the key points from the written comments,
13 hopefully within the five minute timeline.

14 So first, what is BPA? It's an industrial
15 chemical. It's primarily used to make polycarbonate
16 plastics and epoxy resins. About 75 percent of BPA is
17 used to make polycarbonate, about 20 percent for epoxy.
18 Small amounts are used to make specialty plastics and
19 resins. Only trace levels BPA -- residual BPA remain in
20 the finished materials, typically less than a hundred ppm.

21 Human exposure is -- has limited potential from
22 the use of polycarbonate and epoxy products. The -- human
23 exposure in general has been well studied with
24 biomonitoring studies that consistently show exposure is
25 very low, typically less than a hundred nanograms per

1 kilogram per day from all sources. It does not
2 distinguish between one source versus another.

3 And those exposures are well under TDIs that have
4 been set worldwide. Those are in the range of 4 to 50
5 micrograms per kilogram per day. So human exposure is
6 well below the TDI levels.

7 As far as metabolism and pharmacokinetics, BPA
8 has also been well studied. It is efficiently metabolized
9 and rapidly eliminated from the human body in urine.
10 After exposure, metabolism converts BPA to biologically
11 inactive and non-estrogenic conjugates, mostly that means
12 BPA glucuronide, and lower amounts of BPA sulfate. Those
13 are the things that are found in urine in biomonitoring
14 studies. It's reported as BPA, but those are what's
15 actually present.

16 Unconjugated BPA in urine is typically one
17 percent of the administered dose, administered meaning the
18 doses in pharmacokinetic studies. Consistent results from
19 several human pharmacokinetic studies and numerous animal
20 studies have been found. These results indicate that BPA
21 is very unlikely to cause health effects at any
22 foreseeable exposure level.

23 BPA has been comprehensively reviewed by agencies
24 and organizations around the world. There are no -- those
25 consistently find that there is little concern for

1 carcinogenicity and there's no different conclusion from
2 government agencies or Proposition 65 authoritative
3 bodies.

4 Reviews of BPA have been conducted around the
5 world, but in the U.S. that principally includes the U.S.
6 Food and Drug Administration, but also Europe, Japan, and
7 some years ago there was a joint extra meeting that was
8 sponsored by FAO and WHO. All of use reviews, or most of
9 them, also conclude that BPA is not mutagenic.

10 The -- mentioned earlier were the NTP studies
11 that were conduct -- conducted, the bioassays on BPA that
12 were conducted on rats and mice, so I consider to be the
13 seminal studies, there was no evidence for carcinogenicity
14 in female rats and male and female mice, and equivocal
15 evidence in male rats.

16 More recently, this was also mentioned, FDA was
17 subjected to a two-year toxicology study by the FDA in the
18 so-called Clarity Core study. That study began exposure
19 during gestation and exposure ranged over a wide range
20 from low to much higher doses of BPA.

21 There was little evidence in that study that BPA
22 could be carcinogenic, carcinogenic. BPA also has been
23 subjected to numerous in vitro and in vivo genotoxicity
24 assays. The weight of evidence from those assays indicate
25 that BPA is not genotoxic, especially that would be true

1 even though it's relevant in in vivo studies.

2 So in conclusion, based on the extensive
3 scientific database available for BPA, we recommended that
4 BPA be designated as low priority. And I realize now that
5 you've actually eliminated that categorization. And so
6 maybe no priority or medium would be the correct
7 recommendation on that. So we recommend basically that
8 it's not the highest priority for your further
9 consideration.

10 So hopefully I finished in five minutes. I'll
11 stoop there and we can go to the next commenter.

12 COMMITTEE MEMBER LOOMIS: Okay. Thank you very
13 much and thanks for staying within the time limit. I
14 understand that there may be another member of the public
15 who wants to speak, but I don't see another hand raised,
16 so -- okay. So Clara is indicating there is no other
17 public comment.

18 So if that is the case, then we go back to the
19 Committee for final discussion and a vote on priority for
20 this chemical. Any further thoughts about BPA from the
21 Committee?

22 Okay. I don't see any other comments, so I'm
23 going to call for a vote on priority for bisphenol A in
24 the order in which I see you on the screen.

25 Dr. La Merrill?

1 COMMITTEE MEMBER LA MERRILL: Sorry. I had to
2 navigate to my mute button.

3 I vote for high, please.

4 COMMITTEE MEMBER LOOMIS: Thanks.

5 Dr. Crespi?

6 COMMITTEE MEMBER CRESPI: High.

7 COMMITTEE MEMBER LOOMIS: Dr. Bush?

8 COMMITTEE MEMBER BUSH: High.

9 COMMITTEE MEMBER LOOMIS: Dr. McDonald?

10 COMMITTEE MEMBER McDONALD: Medium.

11 COMMITTEE MEMBER LOOMIS: Dr. Stern?

12 COMMITTEE MEMBER STERN: High.

13 COMMITTEE MEMBER LOOMIS: I vote high.

14 Dr. Zhang?

15 COMMITTEE MEMBER ZHANG: High.

16 COMMITTEE MEMBER LOOMIS: Dr. Mack?

17 I see you speaking, but I don't hear you.

18 COMMITTEE MEMBER McDONALD: High.

19 COMMITTEE MEMBER LOOMIS: Heard it that time.

20 Dr. Eastmond?

21 COMMITTEE MEMBER EASTMOND: Medium.

22 COMMITTEE MEMBER LOOMIS: Dr. Landolph?

23 COMMITTEE MEMBER LANDOLPH: High.

24 COMMITTEE MEMBER LOOMIS: Dr. Reynolds?

25 COMMITTEE MEMBER REYNOLDS: High.

1 COMMITTEE MEMBER LOOMIS: Okay. I don't think
2 I've missed anybody, but if I did, please shout.

3 It sounds like the majority say high.

4 So let's move on to the next chemical, and that
5 is chlorpyrifos. And we'll do this one in the same way.
6 I'll call on the lead discussants first.

7 Dr. Crespi, first you, and then we'll go to Dr.
8 La Merrill.

9 COMMITTEE MEMBER CRESPI: Sure. Thank you.

10 So chlorpyrifos is an organophosphate pesticide.
11 As far as exposure goes, the State Department of Pesticide
12 Regulation is banning all agricultural use in California
13 at the end of this year, based on findings of
14 developmental neurological effects in children at small
15 doses and other top health effect issues.

16 So it's expected that exposure to the general
17 public after the end of the year would primarily come
18 from -- from residues on food that are -- food that's
19 grown out of state. So that would be the primary
20 mechanism -- primary route of exposure after the end of
21 this year for folks in California.

22 I was focusing on the epidemiological evidence,
23 which is more in my wheelhouse. Most of the literature --
24 published literature comes from a particular prospective
25 cohort study, the Agricultural Health Study, which was a

1 study of over 8,000 farmers and pesticide applicators and
2 their spouses in Iowa and North Carolina. And that study
3 enrolled folks starting in 1993 to '97. And it collected
4 exposure data to about 50 pesticides prospectively through
5 self-report, through questionnaires, which is a strength
6 of this study with the prospective exposure data
7 collection. And the incident cancers were identified by
8 linkage to the State Cancer Registry.

9 So it's a very large prospective cohort study
10 with a number of strengths. So I think relatively low
11 risk of bias for this type of study.

12 And that study has been pretty well mined in
13 terms of looking at multiple different types of cancer and
14 whether risk is associated with it -- with the various
15 pesticides and classes of pesticides for which exposure
16 data was collected using various different exposure
17 metrics.

18 There were shorter term studies that were
19 published in the mid-2000s and then some longer term with
20 longer term follow up published more recently with about
21 15, 20 years of follow up.

22 So most of -- most of the human analytic data
23 comes from this particular study. And then there are some
24 various case control studies which have looked at this
25 chemical, most of which are relatively small.

1 So I think as I mentioned, the Agricultural
2 Health Study, especially looked at a lot of different
3 cancers. And I think that the most noteworthy positive
4 results found are for breast cancer and kidney cancer.

5 So there was an earlier study with shorter
6 follow-up that found some evidence of elevated risk of
7 breast cancer among -- principally among the spouses.
8 Although, some of the spouses applied the chemicals
9 directly, so there was husbands' use and then also direct
10 use among this -- the female part of the cohort.

11 And so the -- this is looking at like 30,000
12 women and over 10 -- over a thousand breast cancer cases,
13 and they did find elevated hazard ratios on the order of
14 1.5 statistically significant. And then there was also
15 another study that looked more closely in terms of tumor
16 type and found a positive association with estrogen
17 receptor, negative -- PR negative tumors in
18 post-menopausal women with a risk ratio of about 2.3, and
19 that was statistically significant.

20 So those are, I think, some notable positive
21 findings for breast cancer. And I think also supporting a
22 concern for breast cancer would be a case control study
23 that was -- a population-based case control study that was
24 conducted in the Central Valley of California. It was
25 relatively small with 155 cases and 150 controls. The

1 exposure assessment was done using historical pesticide
2 application data and geolocated -- location histories for
3 the subjects. And it did find odds ratios on the order of
4 three and four for breast cancer. So I think, taken
5 together, those are a cause of concern for a risk of
6 breast cancer associated with chlorpyrifos.

7 Also, for the Agricultural Health Study, there
8 was a very recent study published on renal cell carcinoma
9 with 20 years of follow-up. And they found an elevated
10 risk of 1.7 and there was a very clear exposure response
11 gradient.

12 So I found that in terms of the epi evidence,
13 those were two of the more compelling associations with
14 cancers. And then there were scattering, sort of more
15 limited evidence for some other cancers, such as
16 non-Hodgkin's lymphoma. There was positive association,
17 but in a study with very few exposed cases, seven exposed
18 cases, similar for brain cancer glioma, a case control
19 study a with only ten exposed cases, but found an odds
20 ratio of 23. So I did find that there was some noteworthy
21 positive associations in the epidemiological literature.

22 And I think I'll end and I'll leave it to my
23 colleagues to discuss the more mechanistic and animal
24 study literature.

25 COMMITTEE MEMBER LOOMIS: Okay. Do you have a

1 preliminary suggestion about priority at this point?

2 COMMITTEE MEMBER CRESPI: Well, I think that
3 there's very concerning evidence in the literature.
4 However, it looks like the risk of exposure after this
5 year would -- the levels of exposure would be relatively
6 low. So I -- I think I'm going to go with medium.

7 COMMITTEE MEMBER LOOMIS: Good. Thanks.

8 Let's go on to Dr. La Merrill now.

9 COMMITTEE MEMBER LA MERRILL: Hi. Thanks. Good
10 morning, again.

11 So my overall impression is that pesticide
12 exposure assessment in humans can be quite difficult to
13 do, because most of the studies are, you know,
14 occupational. In AHS, there's a lot of co-exposures and
15 they can be quite high. And so, you know, residual
16 confounding is something I was mindful of and really
17 looking for that evidence to kind of integrate with the
18 charges I had, which were to focus on the animals and the
19 mechanism. And, you know, I do agree that there was some
20 increased breast cancer hinted at. There was a couple of
21 studies in AHS that it seemed like it depended on what
22 year they published it. And so I think it might need to
23 be a looked at further, but we have already heard that
24 from our epidemiology expert.

25 And I did note, however, that in addition to that

1 work, there was evidence in the mechanistic literature for
2 ER agonists across various experimental assays, including
3 MCS -- MCF7 human breast cancer cell effects that were
4 ablated by ER selective antagonist. And it was positive
5 for ER expression or activity in seven ToxCast and Tox21
6 assays.

7 There's also evidence of progesterone receptor
8 binding. That literature has been less investigated, so I
9 think elucidating whether or not the signals are ER in
10 nature or PR in nature. If that was causally related to
11 breast cancer I don't believe is addressed in the
12 literature at this time.

13 But actually what caught my attention even more
14 so was because of the way that there will be co-exposures
15 among ag workers, I particularly was interested in the
16 epidemiology where we were looking at the effects in the
17 highest quartile of exposure. And what I saw was that
18 although, as we heard the kidney was a significant
19 elevated risk for kidney cancer, the effect size was under
20 two-fold increased risk, which is often kind of a rule of
21 thumb for potential uncontrolled confounding.

22 However, with rectal cancer, the risk of that was
23 estimated to be 2.7 relative risk, in addition to having a
24 trend in the AHS. And when I looked in the mechanistic
25 literature, there was a study that indicated human

1 colorectal adenocarcinoma cells had increased
2 proliferation when exposed to chlorpyrifos. And so I
3 thought that that was a bit revealing and potentially
4 worth factoring into our assessment.

5 As far as the animal literature goes, I would say
6 that it's generally null. There were five studies and
7 there was only one of those studies that was positive, but
8 it was weak, in that they had increased lung adenomas in
9 the mid-dose group, but there wasn't a significant trend.
10 And the study data appeared to be reported a bit thinly,
11 in terms of there was no report of tumor incidence. So I
12 thought the animal data was pretty underwhelming.

13 As far as more depth on the mechanism, there is
14 some mixed evidence for genotoxicity. There were both
15 positive, and negative, and slash null reports. And I
16 think it -- it would really require a more in-depth study
17 of the quality of these investigations to decide where the
18 weight of the evidence would land. But I would say based
19 on my cursory review, that it appeared to be more often
20 positive.

21 There -- for the key characteristics of
22 epigenetics, it's not been investigated very much. There
23 were two studies with DNA methylation. They were both
24 null. There was one study of histomodifications and there
25 was positive associations with having chlorpyrifos

1 exposure in HDAC changes and this is, you know, a key
2 histone modification and epigenetics in general.

3 Oxidative stress, key characteristic was, I
4 think, observed in all four of the models that were
5 examined that I looked at. And that included human and
6 rat cells. I already mentioned the receptor points
7 previously, so I won't get back into that.

8 And the last one that I saw evidence for was the
9 human colorectal proliferation that I also mentioned, so I
10 think I'll stop there.

11 And I just wanted to seek clarification for OEHHA
12 to make sure I didn't misunderstand the directive here.
13 So our goal is evaluate hazard right, and not take into
14 account exposure levels?

15 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah. This is
16 Carol. You're correct. You can -- what you want to look
17 at is if there's any exposure potential from whatever
18 source, you know, if it's residue or otherwise. But we
19 would look at levels at a much later part of the process.

20 COMMITTEE MEMBER LA MERRILL: Okay. Because
21 although Professor Crespi acknowledged that the -- it
22 would be mostly out of state, you know, the -- the rules
23 and regulations, and what happens elsewhere, and even
24 here, are not things this group has control over. And so
25 I would say that based on the colorectal cancer

1 association with the high relative risk and the high
2 exposure -- the highest exposed of the age as cohort in
3 addition to the colorectal cancer cell proliferation as
4 well as the hinting at the human breast cancer association
5 with some of the ER and PR evidence out there that I'm
6 leaning towards high as the initial recommendation. I --
7 I don't feel as strong about it, so I'm really looking
8 forward to discussing that with the rest of you.

9 COMMITTEE MEMBER LOOMIS: Okay. Thanks.

10 Now, let's go around to the rest of the Committee
11 and see if there are any initial thoughts having heard
12 from the two lead discussants.

13 I don't see -- okay. There's Dr. Eastmond with
14 his hand up.

15 COMMITTEE MEMBER EASTMOND: I can't speak much to
16 the epidemiology. Whereas, mechanistically, maybe there
17 are off-target effects, but the primary effect of this is
18 inhibition of acetylcholinesterase. And there's no reason
19 you'd expect that to do the same sort of damage to occur
20 to DNA or to be carcinogenic. Certainly genotoxic. And
21 apparently the animal evidence doesn't indicate really
22 evidence for carcinogenicity.

23 So it doesn't seem like a real strong concern for
24 me. I'm not familiar with the epi studies, so I can't
25 really comment much about those.

1 COMMITTEE MEMBER LOOMIS: Anyone else?

2 Dr. Mack.

3 CHAIRPERSON MACK: Of course, these all have to
4 be evaluated at some point, but we're talking about
5 prioritization for the Committee, which only does a couple
6 every year. So in terms of prioritization, I think the
7 neurologic damage that this compound does trumps cancer to
8 some extent. And the relative infrequency of exposure
9 makes it go into a low priority for me.

10 COMMITTEE MEMBER LOOMIS: Thanks. I heard from
11 Dr. Sandy who wants to clarify a point about exposure. So
12 go ahead, please, Martha.

13 DR. SANDY: Yes. Thank you. In
14 prioritization -- so what Carol just told you is very --
15 is accurate and correct for listing. You consider the
16 toxicological and epidemiology data. And you're thinking
17 of hazard for listing consideration. For prioritization,
18 you may decide to use -- to take into account the exposure
19 information as well in how you prioritize it. I just
20 wanted to comment on that.

21 Thank you.

22 COMMITTEE MEMBER LOOMIS: Thanks for that
23 clarification. Now, back to the Committee. Any other
24 initial comments?

25 Not seeing any hands raised, I'll give my comment

1 really briefly. I put this one in medium priority. You
2 know, I echo the comments about the Agricultural Health
3 Study. It's a well done study. It's large. But they've
4 examined a large number of compounds in multiple
5 publications over time, so it really has to be looked at
6 pretty carefully to sort out, you know, what is the -- the
7 most recent and relevant finding for any particular
8 compound?

9 It's really true that the epidemiology of
10 pesticide exposure is exquisitely complicated. And
11 unfortunately, as is often the case for this particular
12 chemical, I've found the epidemiologic evidence to be
13 inconsistent and kind of equivocal.

14 I also didn't see any strong evidence of the key
15 characteristics of carcinogens in exposed humans. So
16 medium priority is where I came down.

17 Anyone else before we see if there's a public
18 comment?

19 Okay. Not seeing any hands. Clara, I don't see
20 any hands raised, but are you aware of anyone else who
21 wants to speak about this chemical?

22 MS. ROBINSON: I do not see any hands raised at
23 this time. But just as a reminder, if you would like to
24 provide a verbal public comment on this item, please raise
25 your hand by clicking on the hand-raising icon on the left

1 tab of your GoToWebinar control panel, whether or not you
2 have submitted a speaker card. And also as a reminder, if
3 you need assistance with the virtual meeting, you can
4 submit questions through the questions pane in your
5 attendee control panel. And at this time, I still do not
6 see any hands raised.

7 COMMITTEE MEMBER LOOMIS: Okay. Thanks, Clara.

8 So let's go around to the Committee and we'll --
9 since there wasn't any discussion following the
10 discussants and comments we've already heard, unless
11 there's something else to be said, let's go ahead and poll
12 everyone for their final views on chlorpyrifos.

13 Okay. Seeing no other hands raised, we'll go in
14 the same order.

15 Dr. La Merrill?

16 COMMITTEE MEMBER LA MERRILL: Medium.

17 COMMITTEE MEMBER LOOMIS: Dr. Crespi?

18 COMMITTEE MEMBER CRESPI: Medium.

19 COMMITTEE MEMBER LOOMIS: Dr. Bush?

20 COMMITTEE MEMBER BUSH: Medium.

21 COMMITTEE MEMBER LOOMIS: Dr. McDonald?

22 COMMITTEE MEMBER McDONALD: Medium.

23 COMMITTEE MEMBER LOOMIS: Dr. Stern?

24 COMMITTEE MEMBER STERN: Medium.

25 COMMITTEE MEMBER LOOMIS: I said medium.

1 Dr. Zhang?

2 COMMITTEE MEMBER ZHANG: Medium.

3 COMMITTEE MEMBER LOOMIS: Dr. Mack?

4 CHAIRPERSON MACK: Low.

5 COMMITTEE MEMBER LOOMIS: We're not using low.

6 Would you like "medium" or "no"?

7 Sorry, Dr. Mack, we're not using low priority for
8 this particular meeting, so would you prefer to
9 characterize this as "medium" or "no priority".

10 You're on mute. Can't hear you. You're on mute.

11 CHAIRPERSON MACK: We're ranking them in terms of
12 the order in which we take them up. And there is so many
13 others that I believe have a higher priority, based on the
14 fact that there's already evidence that this stuff is
15 dangerous, and it's relatively low frequency of exposure.
16 So on those two grounds, I consider it to be less
17 emergent.

18 COMMITTEE MEMBER LOOMIS: So would you like to
19 call that "medium priority" or "no priority". Those are
20 the possibilities, if you don't think it's high.

21 CHAIRPERSON MACK: I thought we had three
22 alternatives, high, medium and low. So I'm choosing low.
23 If you want to get rid of that, then I go back to medium.

24 COMMITTEE MEMBER LOOMIS: Okay. So the three
25 alternatives we were given are high, medium and no, N-o.

1 So I think you said medium, if you can't have low, is that
2 right?

3 CHAIRPERSON MACK: I'm saying low, but if you
4 want to call it medium --

5 COMMITTEE MEMBER LOOMIS: Okay.

6 CHAIRPERSON MACK: -- I don't think it makes any
7 difference, because there's going to be a lot of mediums
8 and some of them are going to wind up being low.

9 COMMITTEE MEMBER LOOMIS: Right. Okay. That's
10 what we'll call it.

11 Dr. Eastmond.

12 COMMITTEE MEMBER EASTMOND: By the time OEHHA
13 would do this evaluation, the compound will be banned and
14 not used in California. It doesn't persist, so for me
15 it's a low -- it's a no priority.

16 COMMITTEE MEMBER LOOMIS: No priority.

17 Dr. Landolph?

18 COMMITTEE MEMBER LANDOLPH: Can you hear me?

19 COMMITTEE MEMBER LOOMIS: Yes.

20 COMMITTEE MEMBER LANDOLPH: Yeah, I'll give it a
21 medium.

22 COMMITTEE MEMBER LOOMIS: Dr. Reynolds?

23 COMMITTEE MEMBER REYNOLDS: Medium.

24 COMMITTEE MEMBER LOOMIS: Okay. Very good.

25 Now, next compound up -- Dr. Zhang would like to

1 say something.

2 Go ahead, please.

3 COMMITTEE MEMBER ZHANG: Yes. Since we're
4 discussing about the low, the previous low priority was
5 no. You know at the beginning, I think Dr. Vincent was
6 explain it to us. But I want to make a point is even
7 though if we say no, N-o, I think maybe we should add it
8 it's no for now. It's not no forever, because, you know,
9 sometimes, you know, the chemicals made currently for our
10 Committee members were thinking is maybe very low or no
11 priority. But I just think we should make that clear
12 unless if I misunderstood something.

13 COMMITTEE MEMBER LOOMIS: Let's see if the staff
14 would like to speak to that comment.

15 DR. SANDY: Yes. This is Martha Sandy. I'll say
16 that, yes, as we heard in the presentation that began from
17 Dr. Sun, we do continually monitor the literature. And
18 when there is new compelling information for a chemical
19 that you've ranked, or maybe we haven't even brought it to
20 you, we bring them back, if there's something we think you
21 should look at, if it's compelling.

22 So you may say no, now, but if there's new
23 information, we may bring that chemical back to you for
24 ranking and consultation.

25 COMMITTEE MEMBER LOOMIS: Okay. Good.

1 Thanks. So we've done two chemicals. We are at
2 11:43. So according to the agenda, we were supposed to do
3 coal dust before lunch. I'm wondering if that's okay to
4 do that. If the Committee would like to take a quick
5 break, or break for lunch now, so three options. Let me
6 ask, first, does anyone object to doing coal dust now and
7 then breaking for lunch?

8 I see no objections, so let's go ahead with coal
9 dust. I'm actually one of the lead discussants for this
10 compound, this substance, so I'm going to go ahead and
11 give my summary then.

12 So coal dust was reviewed by IARC in 1997. And
13 at that time, it was put in Group 3 with inadequate
14 evidence in humans and animals. So, you know, coal dust
15 is an interesting substance. I'm going to focus on the
16 epidemiologic studies, since that's my expertise.

17 It's been studied, exposures to coal -- of
18 coal -- to coal dust have been studied quite a lot over a
19 long time period, but mostly not with a focus on cancer.
20 So it's relatively recently that cancer has become a topic
21 of interest here.

22 Some older studies did report excess mortality
23 from lung and stomach cancers among coal miners. But even
24 in the more recent literature, most of the data available
25 are on non-malignant respiratory disease and injuries not

1 surprisingly.

2 So now turning to recent studies, there are two
3 large studies of occupational cohorts of coal miners in
4 the U.S. and the United Kingdom that are notable, because
5 they feature long-term follow-up and quantitative
6 assessment of exposure to coal dust. But there's a
7 complication here, in fact, through all of these studies,
8 whether epidemiologic or toxicologic, and that is that
9 coal dust isn't a pure substance. It tends to be mixed in
10 reality with a smaller or larger amount of crystalline
11 quartz, which is a Group 1 carcinogen that causes lung
12 cancer.

13 So in both of these cohort studies, there were,
14 in addition to their quantitative assessments of dust
15 exposure, efforts to control for potential effects of the
16 admixture of silica dust of quartz in the coal dust. In
17 the U.S. study, the most recent analysis of that that
18 reports data for lung cancer did show a statistically
19 significant increase in lung cancer mortality with
20 increasing dust exposure after controlling for silica.

21 So that is a exposure response trend. However,
22 the UK study didn't find any notable excess of lung cancer
23 or any trend, again after controlling for silica.

24 Those studies also looked at stomach cancer. In
25 the U.S. study, the most recent publication didn't report

1 any data, but in the prior publication there was no
2 notable excess of lung cancer and no trend, likewise in
3 the UK study no notable excess and no trend.

4 Those studies, although they're quite large,
5 didn't report data for other cancers. So for that, we
6 have to look at case control studies. There are quite a
7 number of those from different countries. I'll just
8 highlight a few.

9 Case control study of lung cancer in Polish women
10 only did find a significant excess of lung cancer with
11 self-reported excess -- self-reported exposure to coal
12 dust. A similar study in the U.S. that included men and
13 women found a significant excess in men, but not in women.

14 A few other studies looked at coal dust exposure
15 in connection with cancers of the larynx and pharynx. One
16 notable study was a case control study in France that
17 found a positive association with cancer of the larynx,
18 and a stronger statistically significant association with
19 cancer of the hypopharynx. Another large multi-center
20 study of -- in Eastern Europe also found similar exposures
21 that were positive and statistically significant.

22 Both of those studies were notable, because
23 exposure was assessed by experts based on complete
24 occupational questionnaires. So, the exposure data are a
25 bit better than simple self-report. And earlier French

1 study also found an excess of combined cancer of the
2 larynx and hypopharynx. And one other study in Serbia
3 looked at cancer of the oropharynx, but found no
4 association.

5 A few other cancers have been looked at in case
6 control studies. I note significant excesses of multiple
7 myeloma and acute myeloid leukemia in one study each.

8 I'll just briefly mention the studies in animals,
9 but defer to the experts in that area. It looks like
10 there has been, since the IARC evaluation, one long-term
11 carcinogenicity bioassay, which found no lung tumors in
12 animals treated with coal dust and none in the control
13 group. Coal dust used in that experiment had a very low
14 silica content of less than 0.1 percent.

15 The second animal study doesn't appear to be a
16 true cancer bioassay and had kind of a strange design
17 because the coal dust involved various mixtures of silica,
18 and there was a significant difference in the incidence of
19 lung tumors between the treated animals and the control
20 animals, but it didn't seem to be related to the mixture
21 of silica.

22 So moving on to the mechanistic data. Just
23 really briefly, I didn't see any genotoxicity data in
24 exposed humans, but there are positive findings of
25 chromosome aberrations and sister chromatid exchanges in

1 human and mammalian cells in vitro and mixed findings in
2 some mutagenicity studies involving other test systems.

3 I noted evidence of oxidative stress in exposed
4 humans and increases in TNF alpha in bronchoalveolar
5 lavage fluid from treated rats. So, for me, this one with
6 kind of equivocal epidemiologic evidence and not
7 compelling mechanistic evidence, and limited potential for
8 exposure in California falls into the medium priority
9 category.

10 Now, we can move to the second discussant, Dr.
11 Landolph.

12 COMMITTEE MEMBER LANDOLPH: Thank you. Can you
13 hear me okay?

14 COMMITTEE MEMBER LOOMIS: Yes.

15 COMMITTEE MEMBER LANDOLPH: Okay. Good. Thank
16 you.

17 Yeah, it's interesting. I would have expected
18 more than that for such a mixture of substances. I was
19 thinking of benzpyrene when I looked at this, but I agree
20 with your assessment of the epidemiological data. And the
21 animal data is really somewhat sparse. There was that one
22 table of data, Table 9, and it showed they just looked at
23 the percent of animals with tumors. And it was benign,
24 malignant and total. And they do have benign and
25 malignant tumors. The highest goes up to 72.7 percent of

1 malignant tumors 66.7. The others go down from that
2 towards zero eventually. So that is not a dose response
3 or anything like that. And they didn't report the tumors,
4 so they can't do good statistics on it. So it's really
5 a -- I would say that's a positive. There's only like a
6 one point set of data. It's not very good.

7 The other data, yes, it's genotoxic. It induces
8 chromosomal aberrations and SCEs in human lymphocytes. It
9 induces sister chromatid exchange in Chinese hamster ovary
10 cells. If you nitrosate the extracts of this coal dust,
11 then you get positive in three Ames strains. It induces
12 oxidative stress. Long lives radicals in coal dust
13 recovered from the coal miners' lungs and lymph nodes,
14 which was interesting and they find higher
15 7-hydro-8-oxo-deoxyguanosine, so it's another marker of
16 oxidative stress, as was previously mentioned.

17 And it induces chronic inflammation. They say it
18 causes immortalization, but that's -- that's a misprint.
19 It really induces they say cell transformation, which
20 means a morphologic transformation, which is a surrogate
21 for carcinogenesis in vitro.

22 So I would say, yeah, it's kind of medium to no.
23 Probably medium, I guess. It's just a very sparse
24 database and not that much has been done on it yet. I'd
25 hate to see OEHHA go through and make a huge hazard ID

1 document on this with such a paucity of data. So I'm
2 probably leaning toward probably no at this point.

3 Thank you.

4 COMMITTEE MEMBER LOOMIS: Other comments from the
5 Committee. Dr. McDonald, are you trying to say something?

6 COMMITTEE MEMBER McDONALD: Yes. Thank you. The
7 lead discussants, I didn't hear any discussion about
8 exposure potential in California. I did see from the
9 OEHHA document that there could be some occupational
10 exposures from rail transport and shipping. I guess
11 there's one coal plant in the state, but I'm not aware of
12 any active coal mines. So did any of the lead discussants
13 find much on exposure in California?

14 Thank you.

15 COMMITTEE MEMBER LOOMIS: I did not. I presume
16 it's quite limited. And, of course, you know, the use of
17 coal is declining, probably not fast enough.

18 Other comments from the Committee?

19 Dr. Mack.

20 CHAIRPERSON MACK: For the record, I actually --
21 just as I did last time, this stuff causes pulmonary infla
22 -- pulmonary -- I'm sorry I'm blocking on the word --
23 inflammation and a bad disease. And the exposure is
24 relatively small. So I actually think this one deserves
25 low priority for formal evaluation. Of course, again, it

1 will have to be done sometime, but there's so many others
2 with higher priority.

3 COMMITTEE MEMBER LOOMIS: Thank you for that, Dr.
4 Mack.

5 Other comments.

6 All right. It looks like there are none. So
7 let's proceed to poll the Committee on coal dust. We'll
8 go in the same order.

9 Dr. La Merrill?

10 COMMITTEE MEMBER LA MERRILL: No.

11 COMMITTEE MEMBER LOOMIS: Dr. Crespi?

12 COMMITTEE MEMBER CRESPI: I'm also going to go
13 with no.

14 COMMITTEE MEMBER LOOMIS: Dr. Bush?

15 COMMITTEE MEMBER BUSH: No.

16 COMMITTEE MEMBER LOOMIS: Dr. McDonald?

17 COMMITTEE MEMBER McDONALD: No.

18 COMMITTEE MEMBER LOOMIS: Dr. Stern?

19 COMMITTEE MEMBER STERN: No.

20 COMMITTEE MEMBER LOOMIS: Dr. Zhang?

21 COMMITTEE MEMBER ZHANG: No.

22 COMMITTEE MEMBER LOOMIS: Dr. Eastmond?

23 You're on mute.

24 Mute.

25 COMMITTEE MEMBER EASTMOND: I go with medium. I

1 Interesting. Although, I don't think there's too much
2 data out there, but it will interesting.

3 COMMITTEE MEMBER LOOMIS: Dr. Landolph?

4 Can't hear you.

5 You're muted.

6 Still muted.

7 Can't hear you?

8 COMMITTEE MEMBER LANDOLPH: Medium.

9 COMMITTEE MEMBER LOOMIS: Medium.

10 Okay. Dr. Reynolds?

11 COMMITTEE MEMBER REYNOLDS: No.

12 COMMITTEE MEMBER LOOMIS: Dr. Mack, I think you
13 already said no. Do you stay with that?

14 CHAIRPERSON MACK: Yes.

15 COMMITTEE MEMBER LOOMIS: Okay. And I was on
16 medium. So I think the noes have it.

17 All right. We are caught up on the agenda, so I
18 think this is a good place to stop for a lunch break. So
19 let's reconvene at 1:00 o'clock.

20 (Off record: 11:57 a.m.)

21 (Thereupon a lunch break was taken.)

22

23

24

25

1 AFTERNOON SESSION

2 (On record: 1:01 p.m.)

3 COMMITTEE MEMBER LOOMIS: So let's go ahead then
4 with the -- we reconvene the second part of the scientific
5 discussion. Welcome back, everybody I had you had -- hope
6 you had a good break.

7 Before we start with next the substance, I'll
8 just remind the Committee that we have written comments on
9 all of the substances on the schedule for this afternoon,
10 which you may want to look at.

11 Having said that, let's go ahead with decaBDE.
12 So for that -- Dr. Eastmond.

13 COMMITTEE MEMBER EASTMOND: I need to recuse
14 myself from this particular chemical, because of a
15 potential conflict of interest, so I'll be sitting in, but
16 won't be making comments or voting.

17 COMMITTEE MEMBER LOOMIS: Okay. I won't call on
18 you.

19 Any other business before we go ahead with this
20 discussion?

21 Nope. Okay. So lead discussant, Dr. McDonald
22 please go ahead.

23 COMMITTEE MEMBER McDONALD: Yeah. Thank you.
24 Thank OEHHA for pulling together all of the papers and the
25 nice discussion. I also want to thank the public

1 commenter for presenting written comments on
2 decabromodiphenyl ether, which is a part of the class of
3 the PBDEs, the polybrominated diphenyl ethers. This is
4 the fully brominated version of that class.

5 It's a flame retardant used in plastics and high
6 impact polystyrene, also in rubber. So it's in lots of
7 electronics, textiles, building materials. It's found in
8 human breast milk and blood as part of the California
9 Biomonitoring Program. Detected in about up to 40 percent
10 of people, depending on the study. Most cases the levels
11 would be considered very low, in the low nanogram per gram
12 lipid. But some populations, such as firefighters, have
13 been shown to have somewhat higher concentrations up near
14 a hundred nanogram per gram.

15 DecaBDE is found in house dust and in foods. So
16 exposure is very low, but widespread, and it's likely
17 decreasing over time. As you probably read, it's being
18 phased -- it was phased out of production in the U.S. in
19 2013. There's still some TSCA reporting for current --
20 current years. Some public comments from the American
21 Chemistry Council's North American Flame Retardant
22 Alliance was kind enough to indicate that some of the
23 releases out of 2018 were actually transfers to landfill.

24 And OEHHA was nice to provide us with a proposed
25 rule from U.S. EPA in 2019 that's proposed a prohibition

1 of deca, except in some very critical products, such as
2 aircraft, hospital curtains and plastics recycling. I'm
3 not sure what the status of that proposal is.

4 This compound does breakdown in the environment
5 to some of the lower brominated congeners of the PBDE
6 class, but it's not clear what -- what percentage of the
7 lower brominated are in -- are measured in people come
8 from deca or come from use of other flame retardant
9 mixtures. Half-life in people is about 15 days.

10 I won't focus too much on the epidemiology. I'll
11 leave that to Dr. Stern, but there were three human
12 studies. One on papillary thyroid cancer in some gene
13 variants showed rela -- very high odds ratios. I would
14 note in that case control study, it was -- exposure was
15 based on house dust and blood-paired samples. But if you
16 look at the distributions of those concentrations versus
17 cases of controls, those distributions overlap quite
18 significantly, so there was ability to compare high and
19 low exposures, but they're not that far away from each
20 other.

21 The same with the other hospital case control out
22 of China, 14 PBDEs were measured. And I'll let Dr. Stern
23 get into the details. But in that case as well, the blood
24 levels of PBDEs among cases of controls, those
25 distributions overlapped quite substantially.

1 With respect to animal carcinogenicity, just for
2 completeness, I'd say that there's an early cancer
3 bioassay that wasn't in the prioritization document back
4 in 1975. Kociba dosed decaBDE up to just one mg per kg
5 for two years, finding no tumors. This actually studies
6 actually the basis of U.S. EPA's oral reference dose for
7 this compound.

8 But as you'll see in the later NTP 1986 studies,
9 the doses that were used there were over 2,000 times
10 higher. So you can see why the Kociba study should be
11 discounted.

12 There were four cancer studies of deca reported
13 by the U.S. National Toxicology Program in 1986, one in
14 male rats, and one in female rats, and then, of course,
15 male and female mice. DecaPBDE is not acutely toxic and
16 it's well tolerated to very high dose. And that's
17 probably because only about one percent is actually
18 believed to be absorbed according to the NTP in those
19 studies.

20 The doses given to the animals in the -- in the
21 NTP studies were extremely high in the male rats, for
22 example up to 6,650 mg per kg, females 7,780 mg per kg.
23 And then the -- excuse me that was mice. And then rats
24 were 2,240 mg per kg and 2,500 mg per kg as the top dose.
25 Those are very high, but -- and would kind of exceed EPA's

1 current carcinogenicity test guidance that indicates quote
2 "The highest dose tested need not exceed 1,000 mg per kg
3 per day", unquote.

4 By nonetheless, in the male rats, there was some
5 hepatocellular adenomas, benign tumor, that was increased
6 in the dose response fashion to both doses and by trend
7 test, but there was no corresponding increase in
8 carcinoma. There was also pancreatic and acinar cell
9 adenomas also benign tumor in the high dose. Female rats
10 survival was not appreciably different from controls.

11 Again, there were statistically dose-related
12 increases of adenomas. There were two carcinomas in the
13 mid-dose, but none in the high dose, and thus the
14 malignant tumors did not show a dose-related trend.

15 In the male mice, survival of the males really
16 was quite decreased early on in the control group due to
17 fighting. But by the end, survival was pretty good and
18 not statistically different from controls at the end.

19 There were increases of both benign and malignant
20 tumors. However, the incidences only reached statistical
21 significance in the mid-dose group for the hepatocellular
22 adenomas and carcinomas combined. And benign,
23 malignant -- and benign and malignant combined were
24 only -- were not significant by trend test. There also
25 was suggested increases of thyroid cell adenomas and

1 carcinomas combined with an incidence of 0 of 50 in the
2 control group versus 4 of 50 and 3 of 50. Female mice
3 showed no increases in cancer relative to controls.

4 With respect to other information, the lower
5 brominated PBDEs, specifically the technical grade
6 pentaPBDE mixture is on the Prop 65 list. As I said
7 earlier, it's unclear if deca is metabolized to the same
8 congeners in the PBDE product that was tested for
9 carcinogenicity. And there's also some breakdown of deca
10 in the environment to lower brominated species, but that's
11 really not a basis for prioritization. The penta group --
12 the penta PBDE, the listed carcinogen also, it caused
13 liver adenoma and carcinoma combined in both rats and mice
14 in both sexes, as well as some thyroid adenoma and
15 pituitary gland adenoma in the male rat.

16 Genetox, it's not mutagenic in bacteria and
17 mammalian cells, but there is mixed results from mammalian
18 cell clastogenetic -- clastogenic effects. But generally
19 negative, but there are a few positive findings in there.

20 There's a number of studies on receptor mediated
21 effects, such as PXR. Also, thyroid hormone disruption
22 studies in mice at high doses. And then also some other
23 receptor cell modifications with estradiol antagonistic
24 effects.

25 Okay. So I'll leave it there. And I would say

1 overall I would characterize and prioritize this as
2 medium.

3 COMMITTEE MEMBER LOOMIS: Okay. Thanks.

4 Dr. Stern, on to you.

5 COMMITTEE MEMBER STERN: Thank you for that
6 introduction that provided all the background information.
7 So I'm going to add that what struck me with this chemical
8 is that the home environment is one of the main sources of
9 exposure, mostly indoor dust. And that there's studies
10 that have shown a good correlation between household dust
11 and biomarkers of exposure in humans. So that means that
12 the exposure is pretty ubiquitous.

13 At the same time, it means that the assessment in
14 epidemiological studies is challenging, because of where
15 you find this chemical and also because the short life is
16 relatively short -- the half-life is relatively short.

17 What I want to emphasize is that some of the
18 biological studies have highlighted that one of the
19 potential mechanisms that -- the impact that it could have
20 in humans is by disrupting thyroid hormones. And this
21 seems to be one of the main concerns from a human
22 perspective.

23 So as it has been mentioned, there's been four
24 epidemiological studies that have been done, so the
25 literature is very scarce in terms of the human effects.

1 Of these four studies that have been done since 2017, so
2 the literature is pretty recent for these compounds,
3 they're all case control studies. There are no cohort
4 studies that have been done. One of the studies is
5 population based and three are hospital based. Two of the
6 studies are focused on thyroid cancer in the U.S., one on
7 breast cancer in China and one on pediatric acute
8 lymphoblastic leukemia in California.

9 Of the four studies, two reported positive
10 associations. And I'll go into a bit more detail, one in
11 thyroid cancer and one in breast. One reported an
12 unexpected inverse association and the other a nul
13 association. And so the data is fairly limited.

14 And part of -- I think part of the concern is the
15 exposure assessment. So some of the studies use household
16 dust and some of the studies look at serum -- serum
17 detection of this compound.

18 So as mentioned before, there's one study that
19 show a positive association. This was a study done in
20 North Carolina looking at papillary thyroid cancer. And
21 they used both. They used household dust and they used
22 serum measurements. Now, they did not detect the BDE-209
23 compound in the serum, so they did not provide data for
24 association with the serum sample, but they did provide
25 data for the household dust sample. And they found a

1 significant positive association showing that individuals
2 who had high levels of exposure had almost two times --
3 more than two times the risk of developing papillary
4 thyroid cancer.

5 The downside is that this was a fairly small
6 study. There were 70 cases and 70 controls. They did
7 some subanalysis looking at specific mutation in the
8 tumors and they found that the association was higher --
9 was stronger among those that did not have a mutation in
10 the BRAF gene.

11 So the other positive study was in breast cancer.
12 As mentioned before, this was done in China. This was a
13 larger study with 209 cases. This was a hospital-based
14 study. And here what they did is they measured adipose
15 tissue. So in the cases, mostly it was breast tissue.
16 And in the controls, it was a mixture of breast and
17 abdomen tissue. And they found that there was good
18 correlation in other studies between the abdomen -- the
19 amount present in abdomen fat tissue and breast.

20 Here they did see a dose response, between --
21 across different tertiles for exposure and with a
22 significant trend. And then they did analysis adjusting
23 for other BDEs and they -- the positive trend remained.
24 So -- so this study is supportive of an effect or there's
25 an association between this compound and cancer risk.

1 The other good thing about this study is that
2 they use adipose tissue, which is able to capture a longer
3 period of exposure than the serum samples or the dust.

4 Now, there were two other studies, one in thyroid
5 cancer done here in the U.S. in Connecticut that did not
6 find a positive association. What they mention in that
7 study -- this study did not look at dust samples. They
8 look at serum measurements. And one of the things that
9 the authors mention is that because of this short
10 half-life of the compound, the serum measurements are done
11 at one point in time and they'll be sufficient to capture
12 the exposure.

13 The other thing they noted is that among the
14 participants, which were all women, in Connecticut, the
15 levels were significantly lower than the rest of the
16 country. And they did not have a fairly wide range of
17 exposure, so that may explain why they were unable to find
18 an association. The study done in California for
19 pediatric ALL did use household dust samples. And this
20 study was negative.

21 So what I found compelling about this compound is
22 that -- particularly the biological effects on the thyroid
23 hormones is because we do see rising rates of thyroid
24 cancer here in California. That was among females, which
25 started going up in the 2000 and have pretty much peaked

1 around 2011. In California, as a whole, they have stayed
2 the same. But if you look at data for the Bay Area, the
3 rates keep going up. So the finding of that one study on
4 thyroid cancer I thought was intriguing. However, there
5 are no other studies, so the evidence is limited for
6 humans.

7 Now, as mentioned before, the animal evidence
8 seems -- is also limited. Mostly suggestive of a
9 potential effect in hepatocellular adenomas. There's no
10 animal studies that support that rule for thyroid.
11 There's just a few studies. What drew my attention was
12 that in looking at the mechanistic evidence, there is --
13 there are some studies that support that this compound may
14 modulate effects via the thyroid hormone. So that goes
15 along the lines with the epidemiological study found.

16 So overall, I think based on the limited evidence
17 for humans and the limited but suggestive evidence for the
18 mechanistic studies on animal studies, I would say that
19 the priority is medium for this compound.

20 COMMITTEE MEMBER LOOMIS: Well, thanks for that.
21 This is Dana Loomis. I'll back. I don't know if anyone
22 noticed, but I disappeared. But the power went out where
23 I am. And so I'm just on the phone right now and I can't
24 see any of you. So not being able to see, I won't know if
25 anyone raises their hand to comment. So I think what I'll

1 doing is just go down the list.

2 I'll call on each of you in turn --

3 DIRECTOR ZEISE: Dana.

4 COMMITTEE MEMBER LOOMIS: Yes.

5 DIRECTOR ZEISE: Hi, Dana.

6 COMMITTEE MEMBER LOOMIS: Yes.

7 DIRECTOR ZEISE: Hello, Dana. This is Lauren
8 Zeise. I'd be happy to assist you letting you know whose
9 hands are up --

10 COMMITTEE MEMBER LOOMIS: Great. That would be
11 perfect.

12 DIRECTOR ZEISE: -- if you'd like.

13 COMMITTEE MEMBER LOOMIS: So is anyone's hand up?

14 DIRECTOR ZEISE: I don't see anyone's hand right
15 now.

16 Okay. Joe Landolph.

17 COMMITTEE MEMBER LANDOLPH: Yeah, there you go.

18 Okay. Can you hear me now?

19 COMMITTEE MEMBER McDONALD: (Hand raised.)

20 COMMITTEE MEMBER LOOMIS: Yes.

21 COMMITTEE MEMBER LANDOLPH: Yeah. Okay. This
22 sounds pretty similar to TCDD. It binds to the AH
23 receptor. It's not really very genotoxic. Maybe a little
24 bit of oxygen radicals produced. I agree with Mariana. I
25 would mechanistically put it in the TCDD-like class and

1 give it a medium priority. I think that's a reasonable
2 thing to do for this compound.

3 DIRECTOR ZEISE: Okay. Dana, Dr. Mack's hand is
4 up.

5 COMMITTEE MEMBER LOOMIS: Okay. Dr. Mack, please
6 go ahead.

7 DIRECTOR ZEISE: Dr. Mack, you need -- could you
8 please turn on your speaker?

9 CHAIRPERSON MACK: How's that. I'll go along
10 with medium also. But with thyroid cancer, you would have
11 to worry about the effect of ultrasound examinations in
12 doctors' offices, as a lot of evidence with the increasing
13 risk in California, as well as in Korea and Japan is due
14 to the high prevalence of doctor's examinations, finding
15 very small tumors that are unlikely to actually progress.
16 But given the evidence and given the interaction with
17 genetics, I'll go for medium also.

18 DIRECTOR ZEISE: Okay.

19 COMMITTEE MEMBER LOOMIS: Thank you.

20 So anyone else?

21 DIRECTOR ZEISE: I don't see any other hands,
22 Dana.

23 COMMITTEE MEMBER LOOMIS: Okay. I also would put
24 it in medium. Now, I found the human evidence less than
25 compelling, but exposure is widespread. And, you know, I

1 noted also their structural similarity to several other
2 carcinogens, which elevates it for me.

3 As far as I know, we don't have anyone from the
4 public who's requested to speak, but perhaps Clara could
5 verify that.

6 MS. ROBINSON: That is correct. At this time,
7 there are no hand raised. But just a quick reminder, if
8 you would like to make a public comment, please go ahead
9 and raise your hand at this point.

10 And there are still no hands raised at this
11 point, Dr. Loomis.

12 COMMITTEE MEMBER LOOMIS: Okay. Good. So we'll
13 just go down the list then and complete the roll call. I
14 know some of you have already spoken, but I may have
15 missed part of it. So I'll just call on everyone.

16 Dr. Bush, where do you put it?

17 COMMITTEE MEMBER BUSH: Medium.

18 COMMITTEE MEMBER LOOMIS: Okay.

19 Dr. Crespi?

20 COMMITTEE MEMBER CRESPI: Medium.

21 COMMITTEE MEMBER LOOMIS: Okay.

22 Dr. Eastmond?

23 COMMITTEE MEMBER EASTMOND: I've recuse myself.

24 COMMITTEE MEMBER LOOMIS: That's right. Forgot.

25 Thank you.

1 Dr. La Merrill?

2 COMMITTEE MEMBER LA MERRILL: Medium.

3 COMMITTEE MEMBER LOOMIS: Dr. Landolph?

4 COMMITTEE MEMBER LANDOLPH: Medium.

5 COMMITTEE MEMBER LOOMIS: I said medium.

6 Dr. Mack?

7 CHAIRPERSON MACK: Medium.

8 COMMITTEE MEMBER LOOMIS: He said Medium. Okay.

9 Dr. McDonald?

10 COMMITTEE MEMBER McDONALD: Medium.

11 COMMITTEE MEMBER LOOMIS: All right.

12 Dr. Reynolds?

13 COMMITTEE MEMBER REYNOLDS: Medium.

14 COMMITTEE MEMBER LOOMIS: Dr. Stern, I think you
15 said medium. Are you still there?

16 COMMITTEE MEMBER STERN: Medium. Yeah, medium.

17 COMMITTEE MEMBER LOOMIS: Okay.

18 And Dr. Zhang?

19 COMMITTEE MEMBER ZHANG: Medium.

20 COMMITTEE MEMBER LOOMIS: Okay. Very good. I
21 think we have consensus on medium.

22 So let's move on down to methyl bromide. The
23 lead discussants are Dr. Eastmond and Dr. Reynolds.

24 So, Dr. Eastmond, let's go ahead with you.

25 COMMITTEE MEMBER EASTMOND: Okay. Thank you. I

1 didn't realize OEHHA had summarized things for us, so I
2 went through and did my own summaries, so this is pretty
3 independent evaluation.

4 The first thing I'd indicate that methyl bromide
5 was a fumigant was used pretty extensively in California,
6 but was phased out -- began to be phased out in 2005 I
7 believe because of concern about ozone depletion. It was
8 allowed with exceptional authorizations, but I believe
9 those have also been phased out in California, so its use
10 is probably very little or none at all.

11 It is a moderate to highly toxic chemical. It's
12 an alkylating agent, methylating agent. And it's
13 structurally similar to bromoethane, and possibly other
14 haloalkane carcinogens.

15 As far as genotoxicity and mutagenicity, it's
16 pretty consistently positive. In in vitro studies it
17 causes DNA binding, DNA adducts, mutations in bacteria,
18 and different types of damage in mammalian cells.

19 It becomes -- when you go In vivo, it's not
20 nearly as clear cut. There is DNA binding that's been
21 seeing adduct formation. However, there was not an
22 increase in mutation seen in transgenic mice assays, which
23 is one would have expected. It's kind of unusual. It's
24 shown some mixed results in the NTP studies. The
25 short-term studies show positives. But the longer term

1 studies, no increase was seen for both micronuclei and
2 sister chromatid exchanges.

3 And there was one study, in which a
4 non-significant increase in HPRT variance and oral
5 micronuclei was seen in one small human study, but did not
6 achieve statistical significance.

7 Things become much more cloudy when we get to the
8 animal studies. There are -- first of all, let me --
9 well, let me -- there are two quality rat studies and two
10 quality mouse studies. It was negative at all sites in
11 the male -- male and female mice studies.

12 It was negative at all sites in the two female
13 rat studies, negative at all sites in one male rat study,
14 and positive for pituitary gland adenomas but negative at
15 all other sites in the other study.

16 Now, that's -- those -- the one study wasn't in
17 the materials that was given to us, but it was outlined in
18 the IARC evaluation that took place in, I believe, 1999.
19 Let me respond. In the document we received, they
20 highlight some -- a report from Danse et al. in 1984, in
21 which increases in forestomach tumors were reported in a
22 90-day study. High incidence, these are like 60 and 70
23 percent of the animals were reported to have forestomach
24 tumors.

25 This would be very unusual, because it was only a

1 90-day study. And we all know tumors generally take a
2 long time to develop. This was questioned. The
3 pathologist from the National Toxicology Program went out,
4 reevaluated the slides, and concluded these with
5 hyperplasias. So in my mind, both biologically it doesn't
6 make any sense, and the follow-up study indicated that
7 that study is not really credible. And there was a
8 follow-up study that went for about a year and didn't see
9 any tumors in the forestomach. They didn't see
10 hyperplasias on oral administration.

11 The other studies were inhalation studies. So in
12 general, the animal studies are largely negative and
13 considered that way.

14 The human epidemiology, Dr. Reynolds will
15 probably do a better job of this than I. But let me start
16 with -- since she actually did some of these studies, I
17 understand. But I'll give you my sort of summary.

18 There are two studies of childhood cancers Dr.
19 Reynolds was involved in. One of them is an ecologic
20 study, one of them is a case control study. Both did not
21 see any increases in combined childhood cancers, Leukemia
22 or brain cancer. There are other reports of sort of
23 increases. Most of these are not statistically
24 significant or they don't have dose responses or other
25 things in testicular cancer, gastric cancer, breast

1 cancer, renal cancer. And I can go through them if you'd
2 like in more detail. The one that's particularly
3 interesting -- or most interesting is the prostate cancer.

4 The initial results from an Agricultural Health
5 Study, which reported a significant increase in exposure
6 related associations between methyl bromide exposure and
7 prostate cancer. However -- and that was followed up by
8 some other studies from Mills and Yang that saw a modest
9 increase, particularly -- although these again are sort of
10 not statistically significant, but suggestive of trend, I
11 believe. And there was an increase seen by Cockburn et
12 al. and saw a significant increase, but there was no --
13 the trend was not significant.

14 The Agricultural Health Study and the follow-up,
15 which was published in 2012 by Barry et al., the original
16 association between methyl bromide and prostate cancer did
17 not persist in the follow-up study, so it was -- they did
18 not see sort of a significant trend there.

19 There was a study which was done by a group
20 called Budnik et al., and they looked at a meta-analysis
21 of the three earlier positive studies, and they reported a
22 meta-odds ratio of 1.2, which was not quite statistically
23 significant, a P value of 0.076. But since the one study
24 would be replaced by the more recent Barry study, it's
25 likely that association would undoubtedly be weakened.

1 I guess, so -- you know, overall my assessment of
2 that, I put this as a medium concern. It's clearly
3 mechanistically of a concern, because the alkylating
4 properties in the adducts. But the animal studies
5 certainly don't seem to be very strong and the human
6 studies are mixed suggestive, but I don't think any of
7 them in and of themselves are particularly strong either.
8 But I'll look for Peggy to kind of correct me on the epi
9 studies.

10 Thanks.

11 COMMITTEE MEMBER LOOMIS: Okay. Thanks, Dr.
12 Eastmond. So let's go on to Dr. Reynolds then for her
13 comment.

14 COMMITTEE MEMBER REYNOLDS: Okay. Thank you.
15 You did most of my work for me by going through the epi
16 studies. And I appreciate that. I'll try and be brief.
17 My view of the epi literature is there's really two main
18 sources of epi evidence for cancer risk associated with
19 methyl bromide, the federally-sponsored multi-agency very
20 large Agricultural Health Study, which is something that
21 was initiated back in 1993 with follow-ups through 2015,
22 and then several studies from California spanning the '90s
23 up until just 2019, that you have gone through in some
24 detail.

25 So Dr. Crespi did a lovely job of telling us some

1 detail about the Agricultural Health Study. Just briefly,
2 it was a large carefully designed prospective cohort
3 study, which is considered to be one of the great
4 advantages for epidemiologic studies.

5 It was a cohort study of farmers and pesticide
6 applicators and their spouses in both Iowa and North
7 Carolina. And although pesticide use was initially based
8 on questionnaires, the cohort members were actually
9 selected based on applications for restricted-use
10 pesticides in each of those states, and given their
11 occupations, are likely to have been able to fairly well
12 report their use of these -- of this particular pesticide,
13 not to mention all of the other ones that were studied.

14 So with nearly 90,000 participants, active
15 follow-up through 2015, extensive covariate information,
16 and several intermediate nested studies, the AHS has been
17 a really valuable source of information for many
18 pesticide-associated health risks.

19 For methyl bromide, they reported significantly
20 elevated cancer risk for two cancers, as I think you
21 mentioned, stomach cancer with evidence of dose response
22 with a relative risk of 3 for the highest quartile, but
23 based only on 15 exposed cases, and prostate cancer in the
24 highest categories of use, which were based on six and
25 five cases respectively in the fourth and fifth quartiles

1 of use, and evidence of dose response.

2 They looked, but did not find, higher cancer
3 incidence for several other cancers including kidney, NHL,
4 leukemia, Hodgkin's Lymphoma, oral cavity, rectum, lung,
5 bladder or melanoma, nor in fact for prostate cancer in
6 the follow-up study. Although, they did report
7 suggestively elevated risk among those with a family
8 history of prostate cancer.

9 Analyses of cancers among the spouses, which is
10 an interesting group did not report specifically on methyl
11 bromide, although they did find elevated breast cancers
12 with chlorpyrifos, which we already talked about.

13 So there were these small nested case control
14 studies in the Central Valley. A couple of the UFW farm
15 workers cohorts, which found no cancer associations for
16 methyl bromide use on prostate cancer, but a suggestive
17 elevation for stomach cancer at the highest level.

18 And then you mentioned some of the geographic
19 information studies. These were based on California's
20 very unique Pesticide Use Reporting system and residential
21 patterns in California. And these studies found very
22 mixed results for people living in areas of high methyl
23 bromide use. So they found a higher incidence of prostate
24 cancer for residents at diagnosis. I think this was the
25 Cockburn study, and no elevation in breast cancer risk.

1 And as you mentioned my own studies of childhood
2 cancer using the PUR database found no elevation
3 associated with maternal residence at birth or the child's
4 residence at diagnosis. So, in general, human health
5 studies have had the opportunity to study risk in cohorts
6 within areas of high agricultural pesticide use, but with
7 mixed results, due in part probably to small sample sizes,
8 as is the case for the UFW studies or indirect
9 measurements is the case for population studies using
10 those indirect exposure estimates based on nearby
11 pesticide use.

12 So I think the most robust evidence really does
13 come from the large Agricultural Health Study. Granted,
14 as the exponent reviewers pointed out in public
15 commentary, the relatively small proportion of applicators
16 reporting methyl bromide use, which was just under 15
17 percent, and the small number of specific cancers of
18 interest, could result in what they refer to as sparse
19 data bias. But there -- and in addition there are always
20 problems of multiple testing in these kinds of studies.
21 And the Agricultural Health Study looked at many cancers
22 and many pesticides over time.

23 There does remain nonetheless some evidence for
24 elevated risk of specific cancers among pesticide
25 applicators. And those are the kind of people for whom

1 exposures may still persist under the EPA critical uses
2 criteria.

3 So while there's some human health evidence for
4 cancer risk, hopefully the phase-out in 2016 and
5 continuation of that from the Montreal Protocol and the
6 Clean Air Act will result in future declining population
7 exposures for Californians. And I would agree with you, I
8 would classify this as medium for CIC review. I was going
9 to say medium to low, but we don't have low anymore. So
10 I'll go with medium.

11 Thank you.

12 COMMITTEE MEMBER LOOMIS: Okay. Thanks a lot.
13 Now, Lauren, if you can help me identify anybody else from
14 the Committee who'd like to speak now.

15 DIRECTOR ZEISE: Yes. Dr. La Merrill.

16 COMMITTEE MEMBER LA MERRILL: Yeah. I just
17 wanted to point out that when I looked for tissue-specific
18 mechanisms based on the AHS evidence, I did find that
19 there was on DNA adducts found in stomachs - I believe it
20 was rats - methylguanane, but I haven't see anything for
21 the prostate that's been evaluated positive or negative
22 mechanistically.

23 COMMITTEE MEMBER LOOMIS: Thanks.

24 Anyone else?

25 DIRECTOR ZEISE: Tom McDonald. Dr. McDonald.

1 COMMITTEE MEMBER McDONALD: Yeah. I just had a
2 question for Dr. Eastmond. I noticed in the methyl
3 bromide industry panel comments, there was another set of
4 bioassays that were done about the ministry -- a Japanese
5 Ministry of Labor in 1992 that were also negative. Were
6 those summarized in your analysis?

7 COMMITTEE MEMBER EASTMOND: They were. I didn't
8 have access to those actual studies, but they're
9 summarized in the IARC evaluation. So they are the ones
10 which were negative. It was an inhalation study in mice
11 and they were both negative in male and female mice. In
12 the rats, they were -- the females were negative, the
13 males were negative except for an increase in basically
14 pituitary gland adenomas.

15 And so I think the idea was because there was a
16 similar study done out of a Dutch health ministry in rats
17 and that didn't see an increase. So you've got two high
18 quality inhalation rat studies, one saw an increase, the
19 other didn't, so they tended down, dismiss those results.
20 But there was -- and IARC reported an increase in one of
21 the tumor sites.

22 COMMITTEE MEMBER McDONALD: Thank you.

23 COMMITTEE MEMBER LOOMIS: Anyone else?

24 DIRECTOR ZEISE: Dr. Stern.

25 COMMITTEE MEMBER STERN: I just wanted to add two

1 comments that caught my attention when we reviewing the
2 epidemiological in case they are helpful.

3 I was puzzled by the finding from the
4 Agricultural Health Study for prostate cancer, because
5 they had a decent size in their 2003 study, where they
6 found dose response with a positive significant trend.
7 And then with they added more -- more men to their study
8 with their -- the Barry 2012 study, then the study was
9 null. It was nothing.

10 So I -- you know, that kind of caught my
11 attention. But then I look at the comments that were
12 offered by the investigators. And one hypothesis that
13 they suggest was that the men that were added -- the new
14 cases that were added in the newer study had less -- had
15 used less of the methyl bromide, because it was starting
16 to being phased out or for some other reason. So they
17 speculate that perhaps with the addition of the new cases,
18 there could have been kind of a dilution effect of the
19 association, because these men were not as exposed as the
20 previous study with the men that were diagnosed earlier in
21 the cohort. So I just wanted to add that comment.

22 And the other comment is that the positive study
23 by Cockburn, which was done using the GIS database that
24 Dr. Reynolds explained, they did find a positive
25 association when using residential exposure, but then they

1 also did a cell analysis where they look at the exposure
2 at the address of where the men were living when they were
3 diagnosed, because some of them may have moved after
4 diagnosis. And when they did that did, they did find a
5 dose response with a significant trend.

6 So I agree with Dr. Reynolds that there's some
7 challenge with these type of assessment is not as accurate
8 as what the Agricultural Health Study uses. But I just
9 wanted to add that comment that it's a little bit of, you
10 know, concern about the potential effect on prostate.

11 COMMITTEE MEMBER LOOMIS: Thank you.

12 Anyone else?

13 DIRECTOR ZEISE: Dr. Landolph.

14 Hi. Your mic is not on, Dr. Landolph.

15 COMMITTEE MEMBER LANDOLPH: Okay. Yeah. I
16 think -- yeah, there's some epi data here. There's far
17 less animal data, but not that many tests have been done.
18 There a reasonable amount of genetox data. And I have to
19 point out that carcinogenesis, if you look at the slopes
20 in the dose response curves, it spans them full. So this
21 might fall toward the weaker end. I think we need more
22 data on it, but I'd be comfortable with a medium at this
23 point, mainly because they're an alkylating agent and
24 there are two alkylating agents on there already.

25 COMMITTEE MEMBER LOOMIS: Other comments?

1 DIRECTOR ZEISE: No other -- oh, Dr. Reynolds.

2 COMMITTEE MEMBER REYNOLDS: Oh, never mind. I
3 was just going to comment in that there was an indication
4 that Dr. Sandy might have wanted to make a comment in
5 response to my comment about declining exposure.

6 DR. SANDY: Sure. Thank you very much. This is
7 Dr. Sandy. Just a really quick -- just to follow up on
8 what Dr. Reynolds had said about exposure as was discussed
9 in the summary. The critical uses exemption and the
10 quarantine and pre-shipment uses are still operative right
11 now. So for the most recent use -- year of use 2017, we
12 have data suggesting 1.8 million pounds were used in
13 California for pre-planting soil fumigation, and
14 post-harvest fumigation of commodities, and for treatment
15 of certain plants and trees.

16 Thank you.

17 COMMITTEE MEMBER LOOMIS: Thanks for that
18 information. That's very helpful. I just have a brief
19 comment. I largely find the epidemiologic evidence
20 inadequate with just one indication of positive
21 association of stomach cancer in the AHS and one or two
22 positive associations with prostate cancer, depending on
23 how you interpret the change in the results of the AHS.
24 The animal data appeared inadequate to me too.

25 So in spite of the agent being genotoxic, I would

1 have put it in low priority, if we had low priority. So I
2 guess at the moment, I'll probably stay with medium.

3 So would any other members of the Committee would
4 like to speak before we check on public comments?

5 Sounds like there's no one.

6 So if that's correct, I understand that there is
7 one member of the public whose asked to speak. Clara, if
8 you could verify that and allow that person to speak, if
9 they're there --

10 MS. ROBINSON: Absolutely.

11 COMMITTEE MEMBER LOOMIS: -- that would be great.

12 MS. ROBINSON: Yes. We have a Tracy Heinzman,
13 who has their hand raised. So Tracy, I'm going to go
14 ahead and unmute you from my end. You are -- there you
15 go. Go ahead Tracy.

16 MS. HEINZMAN: Hi.

17 COMMITTEE MEMBER LOOMIS: Let me remind you --
18 before you start -- sorry -- let me remind you that your
19 comment is limited to five minutes.

20 MS. HEINZMAN: Yes. Thank you, Dr. Loomis.
21 Understood.

22 My name is Tracy Heinzman. I'm the Executive
23 Director of the Methyl Bromide Industry Panel. We
24 submitted public comments, which it appears that several
25 of the Committee members have reviewed. I just want to

1 make a couple of points given the discussion.

2 I do want to make it clear that in terms of
3 exposure, the critical use exemptions, they were
4 eliminated or basically phased out in 2015. The last year
5 that the United States applied for critical use exemptions
6 through the Environmental Protection Agency and the State
7 Department was 2015. They have not solicited any critical
8 use exemption request from the applicator or user
9 community since then and there is no indication that they
10 would start those again.

11 The only uses which continue are, what we call,
12 quarantine and pre-shipment uses, which were allowed under
13 the protocol. The vast majority of those uses are in
14 post-harvest fumigation in industrial settings, primarily
15 in ports when commodities are coming in or they're being
16 exported out. There's a very limited pre-plant soil
17 quarantine use for nursery stock. And that is primarily
18 concentrated in Siskiyou County in California where
19 strawberry nursery plants are grown in greenhouses.

20 The -- I do note that from Dr. Sun's earlier
21 presentation in her chart, when you look at the exposure
22 column, it's -- she indicates that, you know, occupational
23 exposure it says very limited and that there is really no
24 general public, consumer, residential type exposure at
25 this point, given the limited uses of the chemical.

1 The other -- you know, we would recommend that
2 this have no priority. We understand that there -- you
3 know, there is some concerns or some points were made
4 about animal data here and also about the epi studies.
5 But there is one important point I wanted to make that was
6 not included in any of the discussion, including the OEHHA
7 document, is -- and that is the Environmental Protection
8 Agency's Office of Pesticide Programs, which is recognized
9 as an authoritative body for purposes of the -- OEHHA's
10 2004 policy on prioritization.

11 And methyl bromide was evaluated for registration
12 review. It had a complete human health risk assessment
13 done in December of 2018. And then in September of this
14 year, the EPA put out its -- what it's called its interim
15 decision on registration review.

16 In both the risk assessment and in the recent
17 September document, EPA concluded it was not likely to be
18 carcinogenic based on the long-term in vivo studies that
19 Dr. Eastmond mentioned. And also EPA's review included
20 review of epidemiological data. There were 44 studies
21 that were reviewed. Some of them are part of the analysis
22 that was done by the OEHHA staff and EPA found that those
23 studies were not -- did not show us a sufficient
24 association to change its view that there was no evidence
25 that methyl bromide causes cancer.

1 So I just wanted to point that that, because in
2 the 2004 policy document, it does make a comment that when
3 there is a recently reviewed determination by an
4 authoritative body, and that body finds insufficient
5 evidence of carcinogenicity, the document does say it's
6 unlikely that a chemical in that category would be
7 proposed for the CIC review.

8 So I don't know if it was so new that the staff
9 wasn't aware of it and it may be didn't show up in some of
10 the other reviews that were done, but I wanted to point
11 that out.

12 And then my only last comment would be that I
13 appre -- we do appreciate the opportunity to submit our
14 written comments and we're glad that the panel reviewed
15 them. And also, we would like to thank you for your
16 service on the Committee. You know, it is time-consuming,
17 and we appreciate that you are devoting your time to this,
18 and that you all have the highest credentials for
19 reviewing toxicology and epidemiology data.

20 And with that, I will take any other questions
21 that anybody might have. I do believe that this is a
22 chemical that has very low exposure potential.

23 COMMITTEE MEMBER LOOMIS: I'm sorry your time is
24 up.

25 MS. HEINZMAN: Okay. Thank you.

1 COMMITTEE MEMBER LOOMIS: Okay. Thanks. Thanks
2 for your comment.

3 Is there any other public comment?

4 MS. ROBINSON: There is no other public comment
5 at this time.

6 COMMITTEE MEMBER LOOMIS: Okay.

7 DR. SANDY: Dr. Loomis.

8 DIRECTOR ZEISE: Sorry for interrupting, Martha
9 Sandy is available to make a comment on --

10 COMMITTEE MEMBER LOOMIS: Yeah, I was going to
11 ask if she wanted to address that.

12 DR. SANDY: Yes, thank you.

13 The U.S. EPA's document review of methyl bromide,
14 there are actually two documents, one is proposed and one
15 is draft. And so for authoritative bodies, we are looking
16 for final documents from authoritative bodies.

17 COMMITTEE MEMBER LOOMIS: Okay. Thanks for that
18 clarification. So we have time for more discussion by the
19 Committee. And again, Lauren, if you can help me identify
20 if anybody would like to speak, that would be appreciated.

21 DIRECTOR ZEISE: Okay. Sure. Dr. Eastmond's
22 hand is up.

23 COMMITTEE MEMBER EASTMOND: I have a question --

24 COMMITTEE MEMBER LOOMIS: Go ahead, please.

25 COMMITTEE MEMBER EASTMOND: -- what we addressed

1 to Martha Sandy -- Dr. Sandy. It seems to me that was
2 helpful to know how the OEHHA dealt with sort of
3 authoritative body determinations from EPA. Let's say
4 that the Committee goes forward and gives this some
5 ranking, either medium, or high, or whatever. If the EPA
6 finalizes their document subsequently, would you use that
7 information to revise your priority or would you just go
8 forward on strictly what the Committee has recommended?

9 DR. SANDY: We do take into account new
10 information as it becomes available on chemicals, even
11 after they've been -- after we've consulted with you and
12 they've been ranked.

13 COMMITTEE MEMBER LOOMIS: Okay. Thanks.

14 Other comments from the Committee, further
15 discussion?

16 DIRECTOR ZEISE: Not seeing any raised hands.

17 COMMITTEE MEMBER LOOMIS: All right. Let's go
18 ahead and poll the Committee then, if there's no further
19 discussion.

20 Dr. Bush?

21 COMMITTEE MEMBER BUSH: Thank you. Medium.

22 COMMITTEE MEMBER McDONALD: Medium -- medium to
23 low. Medium

24 COMMITTEE MEMBER LOOMIS: Sorry, who was the
25 second speaker there. I'm sorry, I can't see you.

1 COMMITTEE MEMBER EASTMOND: That was Dr. Mack.

2 DIRECTOR ZEISE: Dr. Mack.

3 COMMITTEE MEMBER LOOMIS: Okay. Mack. Okay. So
4 medium, medium

5 Dr. Crespi?

6 COMMITTEE MEMBER CRESPI: Medium.

7 COMMITTEE MEMBER LOOMIS: Medium.

8 Dr. Eastmond?

9 COMMITTEE MEMBER EASTMOND: Medium.

10 COMMITTEE MEMBER LOOMIS: Dr. La Merrill?

11 COMMITTEE MEMBER LA MERRILL: Medium.

12 COMMITTEE MEMBER LOOMIS: Dr. Landolph?

13 COMMITTEE MEMBER LANDOLPH: Medium.

14 COMMITTEE MEMBER LOOMIS: All right. Dr.
15 McDonald?

16 COMMITTEE MEMBER McDONALD: Medium.

17 COMMITTEE MEMBER LOOMIS: Thank you.

18 Dr. Reynolds?

19 COMMITTEE MEMBER REYNOLDS: Medium.

20 COMMITTEE MEMBER LOOMIS: Dr. Stern?

21 COMMITTEE MEMBER STERN: Medium.

22 COMMITTEE MEMBER LOOMIS: Dr. Zhang?

23 COMMITTEE MEMBER ZHANG: Medium.

24 COMMITTEE MEMBER LOOMIS: And I said medium.

25 So that finishes that compound.

1 I see we've been going for about an hour. We
2 have two substances left plus some remaining business,
3 which looks like it would not take very much time.

4 I'm wondering if this would be a good time to
5 take a short break, before we finish up the rest of the
6 business. Would anyone object to taking about a
7 five-minute break?

8 DIRECTOR ZEISE: We're seeing thumbs up across
9 the Committee.

10 COMMITTEE MEMBER LOOMIS: Okay. And with luck,
11 maybe the power will come back on here, but I'm not
12 counting on it. We're having a bit of a storm up here.
13 So we'll come back at about 2:03.

14 Thank you.

15 (Off record: 1:58 p.m.)

16 (Thereupon a recess was taken.)

17 (On record: 2:05 p.m.)

18 COMMITTEE MEMBER LOOMIS: Maybe we could go ahead
19 with the first discussant for PFOS and it's Dr. Stern. So
20 if you would please go ahead and give us your summary
21 comments on PFOS.

22 COMMITTEE MEMBER STERN: Sure. I'll go ahead.
23 So just a bit of background, PFOS are industrial -- are
24 present in industrial and household products, including
25 firefighting foams, stain or water resistant coatings for

1 cookware, fabrics, leather, food packaging and paper
2 products.

3 These type of chemicals are extensively used as
4 processing aids in the manufacture of fluoropolymers to
5 produce items such as non-stick surfaces and the other
6 compounds that I just -- the other products that I just
7 mentioned, so they're present in many things that we are
8 exposed on a daily basis.

9 In the U.S., produce was phased in early 2000,
10 but they're still made elsewhere in the world. And with
11 import of products, that means that we're continue -- we
12 continue to be exposed.

13 There is also production still of chemicals that
14 can be transformed or degraded to release PFOS. One of
15 them is a ethylperfluorooctane sulfonamide acetic acid,
16 which I will refer to a EtFOSAA, which is a biological
17 metabolite of the raw material EtFOSE, which is used in
18 the manufacture of packaging and paper products.

19 This compound is a precursor that eventually
20 leads to PFOS, which PFOS itself is highly stable. So
21 it's a persistent product that is not further metabolized.
22 There's also another compound called PF -- PFOSA, which is
23 used to repel grease and water for packing along with
24 other applications. And this one can breakdown to PFOS.

25 So the routes of exposure are several. It can be

1 inhaled, it can enter through mouth, it can enter through
2 dermal absorption. It is readily absorbed into other
3 organisms, so it's present in fish and other foods, as
4 well as drinking water.

5 Studies in different places including L.A. County
6 have found that it's detectable in human specimens. The
7 half-life in humans has been estimated between four to
8 five years and in water it can be more than 92 years.

9 In terms of the biological effects, I will not go
10 into detail. I will let my colleague Dr. Zhang summarize
11 that evidence, but I just want to highlight a few things.

12 One is that PFOS are members of the PFAS family,
13 which are environmental endocrine disruptive chemicals,
14 which means that they can alter normal patterns of tissue
15 organization and interfere with stromal-epithelial
16 interactions. There's a whole host of potential
17 biological effects that can be induced by these type of
18 chemicals.

19 It seems that for PFOS the main target might be
20 the liver, based on animal studies, where it can alter
21 metabolic processes including a reduction or alterations
22 of cholesterol levels. The other studies that have shown
23 that it can be linked to mammary carcinogenesis.

24 So I will focus mostly on the epidemiological
25 evidence. So there were a total of 90 studies that were

1 provided to us by OEHHA. So thank you for those
2 materials, and these included studies that cover about
3 nine different cancer types. And I will give you more
4 details.

5 Now, two of the studies actually reported on
6 patients that had been included in a prior study, so there
7 were actually 17 unique studies that we can comment on.

8 The studies including both case control studies
9 that were nested in prospective cohorts, as well as
10 regular case control studies, and a few cross-sectional
11 studies. There were three cohorts that contributed data.
12 One is an occupational cohort in Alabama that contributed
13 data to -- for several cancers. Then there's also the
14 Danish birth cohort and the Child Health and Developmental
15 Studies Pregnancy cohort that contributed data for breast.

16 So overall, the big picture for the
17 epidemiological evidence is that two of the 17 studies
18 show evidence of positive associations between PFOS and
19 breast cancer. And I'll give you a bit more detail about
20 that. There were five studies of the 17 that show some
21 suggestive evidence with findings that were either only
22 significant among some subgroups or they were not
23 significant for PFOS, but they were significant for the
24 precursors.

25 There were five studies that showed evidence of

1 positive associations, but they were not significant, and
2 four studies that showed no evidence of association and
3 one study that actually showed an inverse association.
4 That was significant. All studies except one use blood
5 measurements of PFOS over the precursors, and the Alabama
6 occupational cohort used job descriptions.

7 So I will focus mostly on the breast cancer
8 studies for which there were some significant findings.
9 There were seven studies that look at breast cancer. One
10 is a nested case control study that was done in France.
11 And it was done in a cohort of women involved in
12 education, called the E3N cohort of about a hundred
13 thousand women. And when they look at all the cases,
14 include all the tumor types combined, they do see -- they
15 did see some evidence of significant associations that
16 were only significant for one of the quartiles, but not
17 dose response trends.

18 However, when they divided the women based on the
19 estrogen receptor status. They found that among women who
20 that estrogen receptive positive tumors, there were
21 significant associations and they saw significant trends.
22 Similar for women that had positive progesterone receptor
23 status.

24 The numbers for estrogen receptor negative and
25 progesterone negative tumors were very small, so the

1 confidence intervals were very wide. So I think those
2 data were very unreliable.

3 The other study was a study done in Greenland
4 among Inuit participants. And one of them -- the
5 motivations to do the study is because of the high
6 exposure based on high intake of marine mammals, which
7 are -- may have high concentrations of PFOS. So in this
8 study, they did see dose response with significant
9 associations with the highest tertile with an odds ratio
10 of five. Most of the women in this study were
11 post-menopausal.

12 So those were the two positive studies. Then
13 there were four additional studies on breast, one case
14 control and three nested case control studies that did not
15 really find strong evidence for an association with PFOS
16 itself, but they did find when they did subgroups. For
17 example, one case control study done in Taiwan found
18 positive associations when taking into account age at
19 diagnosis, but not really when looking at all women
20 combined.

21 Then there was another study that was done as a
22 nested case control study within a pregnancy cohort. And
23 here what they did is they looked at the daughters of the
24 women that were in the cohort, so these are fairly young
25 diagnosis. And what they did here is they did not really

1 find associations with PFOS. They didn't report data for
2 PFOS alone. They look at it in combination with
3 interactions with cholesterol. They didn't see any
4 evidence of association with PFOS, regardless of
5 cholesterol levels, but they did see a positive
6 association with the precursor EtFOSAA when cholesterol
7 was high, but not when cholesterol was low.

8 So another study that was done also show a
9 similar pattern. This was a nested case control study in
10 the Danish birth cohort. They didn't see much evidence or
11 association with PFOS, but they did see association with
12 PFOSA, which is a precursor. And then finally, there was
13 another study where that follow-up on that study where
14 they look at some interactions with metabolism enzymes and
15 they found some evidence of interaction for PFOSA and for
16 PFOS with two metabolic enzymes, but so not really
17 supporting association with the compound itself, but
18 supporting that there could be some Susceptibility in the
19 population.

20 Finally, the Alabama occupational cohort did look
21 at breast cancer and they did find elevated standardized
22 mortality ratios for breast cancer, but there were only
23 two -- two individuals that were diagnosed in the cohort.
24 So very -- very, very wide confidence interval for that.

25 So in conclusion for breast, there's some

1 suggestive evidence that there might be associations, but
2 only among estrogen receptor or progesterone receptor
3 positive cases and some positive associations mostly with
4 the precursors but not with PFOS itself.

5 There were some additional studies -- two
6 additional studies in bladder. One was a case cohort
7 study, the other one was a cohort study with the Alabama
8 cohort. No real evidence of association there.

9 For prostate cancer, the Denmark cohort, they did
10 a case cohort study and they did find elevated odds
11 ratios, but no real evidence of dose response.

12 And then finally there were study on pancreas
13 cancer that didn't find any association.

14 Liver cancer the same. There were only two
15 studies. One was from the Denmark cohort, one from the
16 Alabama. The Alabama cohort, again they found some
17 evidence of elevated mortality ratios, but there were only
18 two deaths reported of liver cancer in the cohort. So
19 very, very low power to be detect a significant increase.

20 There were multiple other cancers that were
21 examined in the Alabama cohort, like esophagus and
22 melanoma, both of which they found elevated mortality
23 ratios, but again very wide confidence intervals because
24 of very small numbers.

25 As I mentioned, there was one study done in

1 cross-section -- it was a cross-sectional study that
2 reported inverse associations with colorectal cancer. And
3 they couldn't quite explain these. And there was another
4 cross-sectional study done in Greece that did not find
5 evidence of association.

6 So pretty much the available evidence seems to
7 support some potential effect for breast cancer,
8 potentially estrogen receptor and progesterone receptor --
9 tumors and no real evidence for other cancers.

10 So I won't go into the details of the animal
11 studies. I will let Dr. Zhang do that. However, just
12 briefly, there's some evidence of association with mostly
13 adenomas, but not carcinomas, no studies that support an
14 association with breast. Only one -- only four studies
15 were reported, one actually considered PFOS as a promoter
16 and not as an initiation agent.

17 In terms of genotoxicity of the 18 studies that I
18 read in the documents, only 11 were positive. There's
19 some evidence that it may induce epigenetic alteration,
20 some evidence of oxidative stress. So that's some
21 supportive evidence that it may have carcinogen activity.

22 The intriguing part is that there are 10 studies
23 that support some -- 10 studies that investigated whether
24 it could modulate receptor-mediated effects. And seven of
25 those studies were positive and they showed data that is

1 consistent with mechanism through the estrogen and the
2 androgen pathways, which is compatible and consistent with
3 the findings from the epidemiological literature.

4 There are also three studies that support effects
5 on proliferation and anti-apoptotic activity. Some
6 studies support chronic inflammation and two studies that
7 support it may have immortalization effects.

8 So overall, my reading of the evidence is that
9 the mechanistic effects are consistent with alterations of
10 estrogen and androgen pathways with some carcinogenic
11 processes. The epidemiological evidence has two studies
12 that support a possible association with breast cancer,
13 particularly estrogen -- positive estrogen receptor,
14 positive cancer and progester -- progesterone re --
15 positive cancers, and very limited and weaker evidence for
16 prostate.

17 So based on all these my -- I'm kind of in
18 between a medium and a high. I think based on the
19 evidence, it feels like more of a medium to me. But
20 because there is still potential exposure through imported
21 goods and because of the potential role it may have on
22 disrupting estrogen, and androgen pathways, and the
23 evidence for breast cancer, I think I'm going to start
24 with a high and I'm going to welcome comments from the
25 panel before I make my final -- my final vote.

1 And I want to stop here.

2 COMMITTEE MEMBER LOOMIS: Thank you very much.

3 Okay. Thanks. Thanks a lot.

4 Dr. Zhang, you're the next discussant.

5 COMMITTEE MEMBER ZHANG: Yes.

6 COMMITTEE MEMBER LOOMIS: It looks like you're on
7 mute.

8 COMMITTEE MEMBER ZHANG: Hi. Can you hear me?

9 COMMITTEE MEMBER LOOMIS: Yeah.

10 COMMITTEE MEMBER ZHANG: Okay. Good.

11 Yes. I want to thank you, Dr. Stern and give us
12 a pretty good re -- overview on the epi study and the
13 general exposure as well.

14 And so I think one thing I wanted to just put
15 here, because this -- we're actually reviewing not just
16 one chemical, not just the PFOS, but also including
17 PFOS -- the salts of the PFOS, you know, for example PFOSA
18 and the other types. So this is one. And also including
19 some precursors. You know some chemicals can, you know --
20 being metabolized too the P -- PFOS. And also PFOS is a
21 major chemical in this PFAS. This is much bigger, you
22 know, including maybe thousands of different PFAS family.
23 But I think PFOS is actually major one -- one of the major
24 PFOA and the PFOS, it's two major ones in the PFAS family.
25 I just want to put this ahead.

1 And also, I'd like to thank OEHHA staff to
2 actually put this review together. And one other thing is
3 all the other exposure on PFOS is from I believe it's from
4 NHANES study that have -- see widespread exposure. And
5 also, the PFOS has been detected in 98 percent of blood
6 sample screened, so that's exposure right there.

7 And from what Dr. Stern already reviewed in human
8 studies to me looks like the breast cancer incidence
9 related with PFOS exposure it seems more stand up than
10 other type of cancers.

11 So my review is going to be majorly focused on
12 animal carcinogenicity data and the findings and the
13 potential mechanisms. So this is a two part.

14 For the animal cancer incidence studies, so they
15 have long term, that's including two years, exposure or I
16 would say medium or shorter term is only like about one
17 year, 52. So -- and I think -- I mostly agreed with what
18 OEHHA staff documents have been pulled together.

19 So to me, the increase -- the incidence of liver
20 adenoma in rats is -- has been, you know, tested and
21 reported in quite a few different studies. And the -- the
22 P value is, you know, about 0.01 or 0.05.

23 So -- and -- so the liver adenoma in comparison
24 with other type of cancer, such as -- also, we see the
25 increase -- is it, by the way, I just want to put it here,

1 my power in the house is shaking. My light is turning on
2 and off, okay, just in case if I'm off. I'm just
3 trying -- I have no idea why I think its -- it's the
4 weather here. So my lights is on and off.

5 Let me back to the animal data. So for the
6 thyroid follicular cell adenoma, also they did see some
7 increase the incidence on that, but mostly at the highest
8 dose tested. That's -- oh, second highest dose tested,
9 and -- but not at the highest dose somehow. So overall,
10 for the thyroid adenoma didn't see the -- you know, it's
11 not statistically significant.

12 But for the short-term studies, the one-year
13 study and the data see thyroid follicular cell adenoma in
14 male rats, you know, but that's only what they see. They
15 had only at the highest dose, 20 ppm PFOS.

16 So anyway, overall, I see the animal studies
17 still kind of limited, but the data showing clearly the
18 liver adenomas seems -- it's pretty real. And another in
19 vitro -- oh, no, that's a study on the tumor promotion
20 study in the rainbow trout, also whether they have
21 using -- using the -- using the 10 ppm, aflatoxin B1 as an
22 initiator and then treat the trout for six months at
23 the -- you know, for the 100 ppm, it's pretty high PFOS.
24 But what they didn't see is the increase -- increase the
25 liver cancer in the trout, so -- and that's very

1 significant.

2 So it looks like what they're showing is a PFOS
3 in that system is acting as a tumor promoter. But if
4 there is no initiator they didn't see increase the liver
5 cancer. So that's what I see -- I sort of see the animal
6 data looks like.

7 So the next I would like to focus on the
8 potential mechanism. So here, OEHHA has used the key
9 characteristics approach, which was, you know, promoted by
10 Smith 2016 paper. So, so far, it's about four or five
11 year, this approach has been use -- applied by IARC and
12 also some NTP and EPA studies as well.

13 So I -- what I'm just trying to -- here to look
14 at what the -- what the -- what so far the PFOS cases
15 reviewed by OEHHA is it looks like it had 8 out of the 10
16 key characteristics of carcinogens it seems reported some
17 kind of studies or positive or potentially positive.

18 So that's 10 of them, except the KC1 and 3,
19 that's -- so I would go into a little bit detail of them
20 just telling you how I am trying to analyze the data.

21 So first, see that OEHHA data, but also for
22 the -- using the KC approach, the Environmental Working
23 Group has also reviewed PFAS, including PFOS and other
24 PFAS chemicals. And they look at the each KCs from 1 to
25 10. So basically trying to compare not just taking the

1 OEHHA documents, but -- but also looking at the
2 Environmental Working Group's report and then having -- I
3 have analyzed -- I have also analyzed the -- particularly
4 on the two immunotoxicity KCs, that's including KC6, KC7
5 as chronic inflammation and immunosuppression, so -- and
6 using the knowledge we have. So this is basically the
7 approach I'm trying to pull ahead. How do I analyze this
8 potential mechanism data.

9 So in general, there are, I think, four KCs has a
10 little discrepancy between different -- different reports
11 or different reviews, my personal opinions.

12 First is the KC2, genotoxicity. Looks like there
13 are some studies we forwarded positive findings in
14 micronuclei induction and DNA strand breaks by comet and
15 also some mutations. And so that's -- that's what -- what
16 we see.

17 But, to me, the data is still pretty weak and the
18 Environmental Working Group actually concluded this
19 genotoxicity is actually null. No genotoxicity. My -- in
20 my opinion would be either weak or weak genotoxic --
21 genotoxicants. So that's a -- that's for the KC2.

22 For the KC 4 epigenetics, there is some strongly
23 suggestive studies. So this KC4 actually I think both
24 OEHHA and Environmental Working Group had put in as
25 suggestive positive. And they base it on, you know, kind

1 of a global hypomethylation using line 1 and some specific
2 gene methylations. But I still think -- I would still
3 think the -- even though the epigenetic has been reviewed
4 all in human studies, animal studies and in vitro study, I
5 still think we need more data to really describe detail of
6 how PFOS can, you know, generate the epigenetic effect.

7 So KC9 immortalization, this is only looks like
8 very limited studies. And there's two reviewed by OEHHA,
9 but Environmental Working Group actually thought it's not
10 sufficient. So I'm just trying to put this discrepancy
11 mechanism first. And KC 10 cell growth and the death
12 is -- most of the studies it looks very positive. But the
13 only problem is all -- almost in in vitro studies. So
14 that's no in vivo studies to support.

15 So now, what we have now is a major or strong
16 KCs, so that's a KCs 5 to 8. And in two of the KC
17 actually is basically -- is a no -- no data at all or very
18 limited data. That's KC1 and 3, so we don't have to talk
19 about.

20 So the oxidative stress, that's a -- that's a KC
21 5 and it looks like very strong evidence in multiple
22 studies. And or -- crossing all three systems in human
23 study, animal studies and in vitro has been seen the
24 reactive oxidate -- oxidative species increase and lipid
25 peroxidation increase the 8-hydroxyguanosine and also

1 gluta -- gluta -- glutathione and glutathione peroxidase,
2 you know, the glutathione depletion.

3 So oxidative stress I think that also could be a
4 way we -- for us to -- for -- you know, to see some weak
5 genotoxicity could also caused by oxidative stress. But,
6 to me, the PFOS itself it may not be a very strong
7 genotoxicant. It could -- you know, after we have more
8 data we -- I think the ep -- though an epigenetic
9 mechanism could be stronger than the genotoxic mechanism.
10 So that's one point I want to put in there.

11 The second is I also want to make sure here we --
12 I have independently reviewed the NTP 2016, the report on
13 immunotoxicity and which include inflammation and
14 immunosuppression of the PFOA and PFOA -- PFOS. So --
15 so -- and also, recently, we have using -- we have
16 systematically reviewed these two immunotoxicity involved
17 KCs in benzene, you know, and benzene induced the cancers.
18 And also, we currently review the PFAS, including PFOS.
19 So -- and I just look at a little bit more details about
20 where PFOS, and the PFOS salts, and other related
21 chemicals can cause immunotoxicity. So, to me, actually,
22 I think the measure and also pretty strong mechanism is
23 through the immunotoxic mechanism.

24 So what -- what are we seeing is increase the
25 cytokine productions, or cytokine activities, ex --

1 especially in the interleukin 6 and also the
2 pro-inflammatory cytokine the, TNF alpha. I mean, this is
3 the two major ones, because that's already reported by
4 multiple different studies.

5 But of course, they are many other cytokines --
6 increase cytokine production mechanism interleukin 1
7 alpha, interleukin 1 beta, and interleukin 8 or 10, et
8 cetera. So that's to me is enough to see PFOS related or
9 induce the chronic inflammation.

10 For the immunosuppression KC 7, what actually
11 most the data showing is a decrease that natural killer
12 cells in multiple studies and also across most three --
13 you know, in vitro and in vivo as well. So here, not only
14 natural killer cells decrease, but also other type of
15 white blood cells, particularly CD 4, CD 8, T-cells, and
16 interferon gamma, et cetera. And so that's -- that's why
17 I actually think PFOS induced the immunotoxicity could be
18 a major one as well.

19 The last I think it's also the most important is
20 the KC 8 is the receptor-mediated effect. If we -- if you
21 remember what Dr. Stern also summarized from the human
22 study, you know, for the breast cancer, and, you know,
23 potential estrogen kind of related cancers, so from this
24 specific key characteristics, what do they have --
25 whatever reported is PPAR-alpha, PPAR-gamma, all the --

1 and ER, AR and other type of receptors that all see they
2 are related with PFOS exposure.

3 And particularly again, this receptor-involved
4 effect has been seen again in the human exposed studies,
5 animal, in vivo and in vitro studies. But, of course,
6 there are still a couple of negative studies, but I see
7 the most evidence is still in the positive studies.

8 So here really make me really thinking is PFOS --
9 if PFOS may act as the estrogen disruptor chemicals. So I
10 think it may -- you know, Michele -- Michele La Merrill
11 could, you know, tell us even more since that's her field.

12 But again, I'm not -- I don't remember if Dr.
13 Stern mentioned this, but I think this is -- Celik studies
14 involved in the estrogen metabolized genes. They also see
15 some of the, you know, polymorphous associations. So
16 that's all chemical supportive.

17 So overall, in summary, I think based on the data
18 reviewed so far, the breast cancer incidence are --
19 associated with the exposure in humans and the liver
20 adenoma incidence in rats, and I would say strong evidence
21 in the key characteristics, 5 to 8, that's including
22 oxidative stress, immunotoxicity, and the
23 receptor-mediated effect in PPAR, et cetera.

24 I would recommend the PFOS and its related
25 chemicals to be high prioritized.

1 Thank you.

2 COMMITTEE MEMBER LOOMIS: Okay. Thank you very
3 much. Let's see if there are comments from the rest of
4 the committee.

5 Dr. La Merrill, I see your hand.

6 COMMITTEE MEMBER LA MERRILL: Hi. Yeah. So to
7 address what Professor Zhang just said, you know, my take
8 on the estrogen receptor data is that it's kind of mixed.
9 It's difficult to understand exactly what's going on
10 without reading the papers in depth to understand what
11 were the differences, because it wasn't always the same
12 reaction that there were a few -- two null studies saying
13 that ER activity was not modulated by PFOS. One was in --
14 one supposedly looked at several human cell lines and one
15 was at yeast-two hybrid assay. But then there was a
16 couple that did. Obviously, selective estrogen receptor
17 modulation is real, because we talk about that with the
18 chemotherapy tamoxifen.

19 And so, you know, there could be some contextual
20 biologically plausible explanations for those differences.
21 And I would really kind of need to look at it more
22 carefully. But certainly in combination with all of the
23 breast cancer studies, it kind of raises my eyebrows so to
24 speak in terms of being interested in looking at the full
25 data more in depth across the different study types.

1 And Professor Stern, I actually had a question
2 for you -- or maybe a couple. I noticed when I looked
3 through the epi that it was the birth cohort in particular
4 where they did not find P-F-O-S, PFOS, significant, but in
5 both of those birth cohorts they instead named the
6 upstream precursor. And one it was PFOSA and the other
7 one it was EtFOSA. And I was just wondering if that was
8 your impression and kind of what was your take? Like, do
9 you think there's anything significant about that? I
10 thought it was interesting that those were the only 2
11 birth studies, in that the PFOS was not significantly --
12 wasn't positively associated, excuse me, not
13 insignificant.

14 And the other question I had for you was the
15 Taiwan Hospital study. Because I know there was a lot of
16 subgroup analyses and the Taiwan one was looking saying
17 PFOS was positively associated with breast cancer in young
18 women 50 years and younger. And I was just wondering was
19 that the nature of their study design or did they have
20 older women as well and just kind of arbitrarily used that
21 division rather than, for example, menopause status?

22 COMMITTEE MEMBER STERN: Yeah. To answer that
23 last question first and then I'll go to the birth cohort
24 study. So I don't know -- I don't recall the motivation
25 for why they stratified by age and not just menopausal

1 status. I noticed that 46 percent of the participants
2 were menopausal -- post-menopausal women.

3 So they had half and half of the women were pre-
4 and post-menopausal. But you're correct, when they --
5 when they look at all the women combined, they don't see
6 evidence of association with PFOS, but when they stratify
7 based on age, they notice that there was a positive
8 association among the younger women, those diagnosed
9 before age 50.

10 And then they did an additional subanalysis
11 combining the age with the estrogen receptor status, and
12 they found that among women that had estrogen-receptor
13 positive tumors and were younger than 50, then it was a
14 positive association that was not observed among the other
15 comparison groups.

16 But the rationale for why they stratified by
17 young diagnosis, I don't recall -- I don't remember if
18 they mentioned something. I do remember that they
19 mentioned some concern about increasing rates of breast
20 cancer in Taiwan. So maybe they are seeing higher rates
21 with potentially some increasing numbers of younger
22 diagnosis. And maybe that's why they wanted to do that.
23 I would have to pull the paper and look at it again.

24 And then your second comment was for the birth
25 cohort, the Danish birth cohort. And, yes, you are

1 correct they did not see an association with PFOS, but
2 they did -- they saw an association with PFOSA, which is
3 considered to be a precursor, with an association with the
4 highest quintile.

5 Let me see what else. In this -- in this
6 particular cohort, most of the women that were studied,
7 were premenopausal. Was that the study you referred to?

8 COMMITTEE MEMBER LA MERRILL: Yeah. I just -- it
9 was that one and CHDS. I was just curious, you know, if
10 anybody in general thought that there might be a reason
11 why the precursor -- I just thought it was strange that
12 the only studies that had precursors that were significant
13 were the two birth cohorts. If we -- can't

14 COMMITTEE MEMBER STERN: Yeah, so there was a
15 study -- or, sorry. Go ahead.

16 COMMITTEE MEMBER LA MERRILL: That's okay.

17 COMMITTEE MEMBER STERN: I was going to offer an
18 explanation, but go ahead follow-up on your thought, and
19 then I'll answer that.

20 COMMITTEE MEMBER LA MERRILL: No. No. No. It's
21 fine. I just -- you know, it could be due to chance.
22 It's not a large N of a cluster. It's -- I just thought
23 well is this something we need to consider?

24 COMMITTEE MEMBER STERN: One of the studies,
25 because I think there's several studies that were done

1 with -- so they're independent studies, not all done with
2 the same cohort. There are a few independent studies that
3 have the same pattern, no association with PFOS, but
4 association with the precursors. So one of the studies
5 which was the pregnancy cohort done in California, which
6 look at the daughters of the women involved in the cohort,
7 they offer a potential explanation. You, know in none of
8 the studies I saw that they could understand why they see
9 the association with the precursor but not with the actual
10 compound.

11 But one explanation that they offer is that maybe
12 there's faster metabolism of the -- of the PFOS and that's
13 why it's -- it's harder to detect it, but maybe the
14 precursor that has a different metabolism and maybe that's
15 why it's easier to detect it, and that's why we tend to
16 see the positive association.

17 But I was curious the to the fact that there are
18 several studies that have that finding of not having an
19 association with PFOS, but having an association with a
20 precursor.

21 COMMITTEE MEMBER LA MERRILL: And I'm saying,
22 just to clarify it, all of the experimental work was done
23 with PFOS not the precursors, correct, all the cases? It
24 seemed like it was all PFOS when I looked.

25 COMMITTEE MEMBER STERN: I think so. I'm going

1 to let Dr. Zhang confirm.

2 COMMITTEE MEMBER ZHANG: Yes.

3 COMMITTEE MEMBER STERN: My understanding is,
4 yeah, they all look at -- at least the ones that we were
5 provided, they all were based on PFOS and not the
6 precursors.

7 COMMITTEE MEMBER ZHANG: Yeah.

8 COMMITTEE MEMBER STERN: One thing I want to
9 mention that was not part of the materials that we were
10 given, but this is something that I found that was
11 interesting is that there is some growing literature
12 showing that PFOS may -- or PFOS in general -- or perhaps
13 PFOS, they may have a relationship with nonalcoholic fatty
14 liver disease, which as we know is a precursor of liver
15 cancer. So this is something to consider that might be
16 interesting to look at in more detail if we move forward
17 with evaluation of this carcinogen later on, because of
18 the rising trends of liver cancer among Hispanics here in
19 California and the relationship with nonalcoholic fatty
20 liver disease.

21 COMMITTEE MEMBER LOOMIS: Okay. Let's take a
22 note of that and see whether any other members of the
23 Committee have preliminary comments. Anything to add?

24 Not seeing any hands raised at this point.

25 I believe we have one person who's asked to make

1 a public comment. So, Clara, if you could allow that,
2 please.

3 MS. ROBINSON: Absolutely. It looks like we have
4 Steve Risotto with his hand raised. And, Steve, I've
5 unmuted you, so go ahead.

6 MR. RISOTTO: All right. Thank you very much.
7 Can you hear me okay?

8 MS. ROBINSON: We can.

9 MR. RISOTTO: Okay. Awesome.

10 Good afternoon, Dr. Loomis and Committee members.
11 I'm Steve Risotto, and I am a Senior Director at the
12 American Chemistry Council. I'm here to comment on the
13 Committee's consideration of Perfluorooctane sulfonate, or
14 PFOS.

15 ACC has submitted written comments and I'd like
16 to briefly summarize those comments for you now. For
17 starters, PFOS does not appear to meet the screening
18 criteria for consideration by the Committee laid out by
19 OEHHA staff this morning.

20 Referring to the information in Table 2 of page
21 six of the prioritization document prepared by the staff,
22 we note that there is only one animal cancer bioassay
23 available for PFOS. And the results of that study do not
24 report evidence for tumors at multiple sites associated
25 with PFOS exposure.

1 In addition, OEHHA staff have provided minimal
2 evidence of tumor promotion for PFOS. And finally, PFOS
3 is not structurally similar to any chemicals that have
4 been identified as carcinogens under Prop 65.

5 In response to Dr. Sun's earlier remarks
6 perfluorooctanoic acid, or PFOA, has not been identified
7 as a carcinogen under Prop 65. And a look at the
8 structure of tetrafluoroethylene and the fact that it is
9 readily metabolized reveals little similarity to PFOS.

10 As noted in the staff summary, the
11 epidemiological evidence for PFOS is generally negative.
12 However, recent case control studies have suggested an
13 association with hormone receptor status among women with
14 breast cancer in France and Taiwan. In both cases, the
15 association with estrogen receptor -- was with estrogen
16 receptor positive tumors, the most commonly diagnosed
17 tumor type, while the association of overall breast cancer
18 incidents was less clear.

19 Both studies were based on a single blood sample,
20 which in the case of the study among French women may have
21 been taken several years before diagnosis and the
22 concentrations reported very significantly between the two
23 studies.

24 In addition, while the increase was observed
25 among older women in the French study, there was no

1 similarly observed increase among women over 50 years old
2 in the study in Taiwan.

3 Regarding the single cancer bioassay in rats, the
4 reported increase in liver adenomas and carcinomas was
5 accompanied by an increase in the incidence of the liver
6 cell necrosis and hypertrophy similar to that reported in
7 short-term studies of PFOS.

8 As a result, the authors concluded that the liver
9 effects were consistent with activation by nuclear
10 receptor for PPAR-alpha and CAR PXR and that the available
11 data do not provide support for cancer risk for an
12 exposure to PFOS.

13 The other tumor types reported in the bioassay a
14 lack dose response and had a comparable incidence across
15 dose groups, including among the control animals.

16 Based on negative results of a large series of in
17 vitro and in vivo short-term tests of genes, chromosomes
18 or DNA repair, PFOS and its salts are not considered to be
19 genotoxic. However, the prioritization document provides
20 information on studies examining the effects of PFOS on
21 six other characteristics that have been associated with
22 carcinogenic potential.

23 While the application of these characteristics
24 may be useful for identifying and organizing relevant
25 data, it is critical that they be combined with an

1 understanding of the plausibility and causal linkages of
2 the key events and biological responses involved in
3 carcinogenicity -- carcinogenesis.

4 Without a critical evaluation and integration of
5 the mechanistic evidence, application of the identified
6 characteristics is of limited potential, limited value in
7 supporting the scientific defense of a conclusion of
8 carcinogenic potential.

9 Given the limited animal and human evidence and
10 the uncertainty about the significance of mechanistic
11 information, ACC recommends that PFOS remain a medium
12 priority for consideration as a Proposition 65 carcinogen.

13 Thank you, and I'd be happy to answer any
14 questions.

15 COMMITTEE MEMBER LOOMIS: Thank you for that
16 comment and for staying within your time limit.

17 Let's go back to the Committee now and see if
18 there's any further discussion on PFOS. I see several
19 hands. Let's go to Dr. McDonald who hasn't spoken yet.

20 COMMITTEE MEMBER McDONALD: Yeah. I just wanted
21 to make a point that, you know, the nature of PFOS is --
22 in the body is very, very long lived. And so a single
23 measurement can serve as an integrated measure of years of
24 exposure. So I just wanted to add that point.

25 COMMITTEE MEMBER LOOMIS: Okay. Thanks. I saw

1 Dr. Zhang had her hand up again. Go ahead, please.

2 COMMITTEE MEMBER ZHANG: Yes. I support what Tom
3 McDonald just said. And not only PFOS has a long
4 half-life time and also is not only persistent in the
5 body, it's also persistent in the environment as well.

6 One other comment I may want to ask OEHHA staff,
7 I -- somehow I heard from Dr. Sun is PFOA -- P-F-O-A, PFOA
8 has already been listed as a high priority. Is that -- is
9 that the case? Because PFOA and PFOS is the two measured
10 compounds in the PFOS. So I just want to make sure, is
11 that -- is that true, PFOA has already been listed as a
12 high priority, and, if yes, when? That's just my
13 question.

14 COMMITTEE MEMBER LOOMIS: You're on mute.

15 DR. SUN: Sorry. Yeah, to answer your question,
16 Dr. Zhang, PFOA is currently a high priority chemical and
17 we can look up the year that it was ranked by your
18 Committee and get back to you. But currently it's high.

19 COMMITTEE MEMBER LOOMIS: Okay. Other comments?

20 Dr. La Merrill.

21 COMMITTEE MEMBER LA MERRILL: Yeah. I just want
22 to briefly point out that PPAR-alpha is found and
23 modulated in its activity when it's the human form as well
24 as the mouse form by PFOS. That's been published. And so
25 there has been some -- I think earlier on in the

1 literature there was some questioning on whether or not
2 the peroxisom proliferation was relevant to humans by the
3 PFOA and PFOS. And I think that with PFOA, which is very
4 chemically similar, they have found that you get a very
5 similar profile, even when you use a humanized PPAR-alpha
6 in a mouse. And it's been shown that PFOS can bind the
7 humanized one, so to take note of that.

8 And also although there was a number of subgroup
9 analyses that kind of dampened my enthusiasm about the
10 breast cancer in humans somewhat, I will -- I would like
11 to point out that people in the breast cancer community
12 really feel that each of the breast cancer subtypes are
13 really distinct diseases. So to look at ER positive and
14 PR positive breast cancer as a -- as a subgroup, I think
15 is -- is like saying that in contrast we should just
16 combine all hematopoietic cancers. And we know that's not
17 appropriate either. And so I do feel that that wasn't
18 kind of overly cherry-picking in that context.

19 COMMITTEE MEMBER LOOMIS: Okay. Thanks. I'm
20 going to add a comment myself. You know, I was also
21 struck by the epidemiologic data. And while acknowledging
22 that there are a number of puzzling findings and -- that
23 some of the results come from subgroup analysis, you know,
24 having looked at a lot of putative endocrine disruptors
25 with an eye to these endocrine-related tumors, we don't

1 often see this kind of vindication of an association. So
2 although I still wouldn't call this sufficient evidence of
3 carcinogenicity, I do think it's -- it is kind of
4 compelling.

5 Go ahead, Mariana.

6 COMMITTEE MEMBER STERN: Oh, sorry. I didn't
7 hear you.

8 I just want to add one comment in response to the
9 comment from the public comments that we heard regarding
10 the epidemiological study from the cohort study which is,
11 in my view, is I think the strongest evidence that we have
12 from all the epidemiological studies that we were. That
13 we reviewed.

14 This is the France study which is a nested case
15 control study in a cohort. This is a large cohort of a
16 hundred thousand women. And in the public comment we
17 heard concern about the fact that the measurement was done
18 years before the cancer developed, but I want to highlight
19 that from my perspective that's the strength of this data,
20 because typically we want to measure the exposure before
21 the disease developed, so that we can eliminate any
22 potential concern about reverse causation bias. So I
23 think that adds strength to the study that the samples
24 were obtained before the women were on -- went on to
25 develop breast cancer, which we know may take many years

1 to develop. So I just wanted to add that perspective to
2 the study.

3 And I agree with -- with the comment made by Dr.
4 La Merrill that I think looking at epidemiological studies
5 of breast cancer, looking at associations, stratifying by
6 estrogen or progesterone receptor is pretty much expected.
7 We always do that, because we do consider that the risk
8 factors can be different for these two subtypes of
9 diseases.

10 COMMITTEE MEMBER LOOMIS: Thanks. That's a very
11 good comment about the exposure assessment. I had the
12 same observation and I agree with it.

13 Let's see, Dr. Zhang another quick comment.

14 COMMITTEE MEMBER ZHANG: Sorry. Yeah. A quick
15 comment. Also, I think the breast cancer studies, Dr.
16 Stern, you know, reminds us. But also I see -- I see
17 the -- generally, for the stratified exposure different
18 category and they see kind of a P trend, positive P trend,
19 you know, in this epi of human studies. It's difficult,
20 but I think you'll hear for the breast cancer they -- a
21 couple of the studies they did show the significant P
22 trend. I think that's also convince -- and make me
23 convinced that, you know, the -- the cancer incidence
24 related with exposure is right there. Just want to make
25 that point.

1 COMMITTEE MEMBER LOOMIS: Thank you. Let's see
2 if there's any more discussion from the Committee before
3 we go around and take a vote.

4 I'm not seeing any other hands.

5 So unless anybody wants to jump in really
6 quickly, let's go ahead in the order in which I see you on
7 the screen.

8 Dr. Bush?

9 COMMITTEE MEMBER BUSH: I'm going to say high.

10 COMMITTEE MEMBER LOOMIS: Dr. Eastmond?

11 COMMITTEE MEMBER EASTMOND: I'll probably go with
12 medium to high, so put me at medium.

13 COMMITTEE MEMBER LOOMIS: Medium you say?

14 COMMITTEE MEMBER EASTMOND: (Nods head.)

15 COMMITTEE MEMBER LOOMIS: Dr. La Merrill?

16 COMMITTEE MEMBER LA MERRILL: High.

17 COMMITTEE MEMBER LOOMIS: Dr. Reynolds?

18 Can't hear you, Dr. Reynolds

19 COMMITTEE MEMBER REYNOLDS: Oh, high. I didn't
20 hear you.

21 COMMITTEE MEMBER LOOMIS: Okay. Dr. Stern?

22 COMMITTEE MEMBER STERN: High.

23 COMMITTEE MEMBER LOOMIS: High.

24 Dr. Zhang?

25 COMMITTEE MEMBER ZHANG: High.

1 COMMITTEE MEMBER LOOMIS: High.

2 Dr. Crespi?

3 COMMITTEE MEMBER CRESPI: Voting for high.

4 COMMITTEE MEMBER LOOMIS: Dr. Landolph?

5 COMMITTEE MEMBER LANDOLPH: Medium. Medium.

6 COMMITTEE MEMBER LOOMIS: Dr. Mack?

7 Dr. Mack?

8 CHAIRPERSON MACK: High.

9 COMMITTEE MEMBER LOOMIS: High. Okay. I heard
10 that.

11 And Dr. McDonald?

12 COMMITTEE MEMBER McDONALD: Medium.

13 COMMITTEE MEMBER LOOMIS: Medium.

14 Okay. And so I think we go to high.

15 Let's see, we've been going an hour on that
16 compound and I'm still without power here, so I'm going to
17 have to change back to my phone. So I'll suggest another
18 five-minute break, so let's reconvene at about seven
19 minutes after 3:00.

20 (Off record: 3:02 p.m.)

21 (Thereupon a recess was taken.)

22 (On record: 3:07 p.m.)

23 COMMITTEE MEMBER LOOMIS: Okay. So let's go
24 ahead with the last substance then. That is trifluralin.
25 And Dr. Bush is the first discussant.

1 COMMITTEE MEMBER BUSH: All right. Thank you.
2 Good afternoon, colleagues. I'd say last, but not least,
3 right? It's been a interesting afternoon.

4 I do want to thank OEHHA staff for providing the
5 brief and other review materials. And thank you to the
6 public commentary from the Gowan Company. I have read
7 your 12-page comment document and have factored that into
8 my wing of the evidence of trifluralin.

9 So I'll briefly discuss the major contributing
10 factors to my deliberation of the toxicological and the
11 mechanistic data and I'll leave the details of the epi
12 data to be explained by Dr. Loomis. But, in summary, I
13 found the collective epi data to be limited.

14 So some quick background. As the brief
15 indicated, usage in California is about 347,000 pounds as
16 reported in 2017 by DPR. Nationwide, the available data
17 is around 14 million pounds, but that -- the data that I
18 could see was last reported around 2001, so presumably
19 it's more than that at this point. So that makes
20 trifluralin one of the most widely used herbicides in the
21 country.

22 And now trifluralin, like other members of the
23 dinitroanilines is an antimitotic compound that affects
24 presumably microtubule depolymerization, thus interfering
25 with mitosis, particularly in the meristematic regions of

1 plant roots, since it's generally applied to the soil.

2 We know that, as a consequence, mitotic spindle
3 doesn't form, that causes misalignment, chromosome
4 segregation artifacts, and potential some
5 non-disjunctions.

6 As a class, the dinitroanilines have different
7 affinities to tubulin proteins. Of course, the basic
8 component of cellular microtubule networks for those in
9 the audience. Dinitroanilines, like trifluralin,
10 generally have high affinity for plant tubulin. But I'm
11 going to remind people that tubulins are one of the most
12 conserved proteins across eukaryotic cells and that
13 includes animal cells and human cells.

14 Considering the putative chemical degradation of
15 trifluralin, you can see that it promotes dealkylation of
16 the amino group. This herbicide tends to receive two
17 oxygens -- excuse me electrons. So a suggestion of some
18 electrophilicity. We know that that, of course, increases
19 the toxicity. And this facilitates this compound to bind
20 with the polar groups, particularly of cellular membranes.

21 So there's some alternative mechanisms suggested
22 that trifluralin may interfere with the permeability -
23 excuse me - of plasma and mitochondrial membranes. This
24 can change the quantity of particularly calcium flow
25 within the cytoplasm. And it's been noted in the

1 literature that trifluralin action can alter
2 calcium-dependent biochemical and physiological processes.

3 Furthermore, biodegradation derivatives like
4 anilines and halogens from this group are known to induce
5 meta-hemoglobin formation and thus also be toxic to
6 kidneys and liver, either in vitro or in vivo. And this
7 is -- you know, that possibly contributes to the fact that
8 trifluralin is known to be acutely toxic in fish.

9 So moving on into the animal carcinogenicity
10 bioassays, basically, the brief provided us with a total
11 of seven studies, four in rat and three in mice. Two of
12 the initial long-term studies from the late '70s I'm not
13 going to use in my calculus, because of potential
14 contamination of the trifluralin with carcinogenic NDPA,
15 which is presumably an off-reaction that occurs in this
16 class of chemicals during synthesis. So that effectively
17 gives us five animal studies.

18 I'll briefly go through them. The -- there was a
19 1966 study evaluated by the U.S. EPA in 1986 on
20 Sprague-Dawley rats. There was effectively no
21 treatment-related tumor findings, but the highest
22 concentration in that feed study was around 2,000 ppm.
23 That was followed up in 1980 by studies on 344 rats, so
24 that's a two-year study. That showed some statistically
25 significant high dose response at 6,500 ppm within the

1 feed. That led to some increases in urinary tract and
2 combined thyroid tumors in males and urinary bladder
3 tumors in females.

4 Now, some of this information is disputed by the
5 public comments and it was speculated in the U.S. EPA
6 report that those tumors that we're seeing are a result of
7 non-target organ effect, but I didn't see any data to
8 directly support that -- that conclusion.

9 Moving on. In '87, there was then a 28-month
10 study in Wistar rats that identified that identified some
11 benign brain and liver tumors. But this was suggested to
12 be related to age and not due to treatment.

13 And then there are two mice studies. Another one
14 in 1980 that showed effectively no treatment-related
15 findings in either sex and then a different strain of mice
16 in '87 with NMRI mice. There was no treatment-related
17 findings in female mice, but some liver and lung tumors by
18 pairwise comparisons with the control, but no
19 statistically significant trend in dose response in the
20 males.

21 So taking that information, I would say that the
22 data is limited for the animal studies and suggests to me,
23 you know, a low to medium priority.

24 But delving into the key characteristics of
25 carcinogens, it gets interesting. The data is mixed

1 again. But unlike the public comments from Gowan, I
2 largely see a positive association with genotoxic, genomic
3 instability. That includes some chromosomal
4 abnormalities, potential DNA damage in human and other
5 mammalian cells. That would fit with the mechanism of
6 interfering with the mitotic spindle.

7 In general, mutagenicity is negative, and it --
8 particularly in bacteria. You wouldn't expect that since
9 we don't really have -- don't really have conventional
10 tubulin, thus this wouldn't be a target for them.

11 There's some recent published studies
12 demonstrating positive correlations with altered DNA
13 repair and induction of reactive oxygen species, again in
14 both human and rodent cell models. And that suggests then
15 mechanistically that there is something going on, at least
16 in my reading of the data.

17 So mechanistically, we know that there's some
18 modulation of various hormone receptors, both in vivo, and
19 in over 10 percent of the 883 ToxCast assays. That
20 included responses from estrogen receptor, pregnane X
21 receptor, thyroid hormone. And that's particularly
22 disconcerting towards the dysfunction in key pro-growth
23 signaling cascades. And that may validate some of the
24 carcinogenic effects we're seeing in some of the animal
25 studies.

1 OEHHA staff identified trifluralin positive in 4
2 out of the 10 key characteristics of carcinogens from
3 table 2 in the brief that they gave us. I'd argue that
4 the degradation pathways kind of bring in a potential
5 fifth characteristic of electrophilic nature.

6 So when I take this information and consider
7 that, along with the structural similarities to other
8 dinitroanilines that have been listed as carcinogenic
9 under Prop 65, in particular, oryzalin. If you look at
10 the structure of oryzalin and trifluralin basically
11 overlap them, taking those into consideration, the
12 evidence becomes a lot more compelling, even without
13 considering the limited epi data.

14 So considering the available data in the context
15 of the key characteristics of carcinogens. And I'm going
16 to get on a soap box just a little bit. Those key
17 characteristics carcinogens as unified in Smith et al. in
18 2016 and adopted by IARC. There's two concepts that come
19 to mind and I'll quote from the paper directly.

20 First, the description by Hanahan and Weinberg of
21 hallmarks of cancer is predicated not on morphology or the
22 impact of carcinogens, but on changes in gene expression
23 and cell signaling.

24 Secondly, in 2012, participants at the two
25 workshops -- and some of the committee may have been

1 there. Participants at the two workshops convened by IARC
2 in France extensively debated the mechanism by which
3 agents, identified as human carcinogens, produce cancer,
4 that is the Group 1 carcinogens. They concluded that
5 these carcinogens frequently exhibit greater than one of
6 the 10 key characteristics. To me, trifluralin is
7 exhibiting at least five of these characteristics.

8 Now, integrating the streams of evidence using
9 the IARC model, I see trifluralin as probably carcinogenic
10 to humans, and thus, I would rank it as a high priority.

11 Thank you.

12 COMMITTEE MEMBER LOOMIS: Okay. Thank you for
13 that summary. That's very helpful.

14 I won't -- I don't have very much to add. I'm
15 the second discussant. I don't have very much to add to
16 my colleague's summary of the key characteristics, except
17 to say I was at those meetings and I think, you know, that
18 concept of the key characteristics of carcinogens has been
19 really useful for IARC and now I'm happy to see it applied
20 elsewhere.

21 And I also noted those characteristics in my
22 review, but I'm going to focus on the epidemiologic data,
23 which is spotty. Essentially, we have information on a
24 lot of different cancers from the Agricultural Health
25 Study, as I count them, five different case control

1 studies of different adult cancers in the U.S., midwest.
2 A case control study by Dr. Reynolds of childhood cancer
3 in California and another study of ovarian cancer in
4 Italy.

5 So we have data on about a dozen different
6 cancers, but there are only a few for which data has been
7 reported in more than one study, so I'll highlight those.

8 Non-Hodgkin lymphoma was increased in men who
9 ever use trifluralin in two case control studies in the
10 U.S. midwest but not associated with increasing the
11 lifetime exposure to trifluralin in the Agricultural
12 Health Study. All types of leukemia combined, which we've
13 already discussed not really the way we like to do things
14 any more, but that's the way it was reported in many
15 studies, not associated with any measure of exposure to
16 trifluralin. But in the childhood cancer study in
17 California, acute lymphocytic leukemia was elevated, but
18 not statistically significant in high-use areas.

19 Brain cancer was associated with every use of
20 trifluralin in a study of adult male farmers in the U.S.
21 midwest, but the authors noted that that association was
22 primarily in subjects who are -- who's information was
23 obtained in interviews with proxies rather than with the
24 subject himself. Brain cancer wasn't associated with
25 trifluralin in the childhood cancer study.

1 So there's data for a number of other cancers,
2 cancer of the stomach, esophagus, and colon, rectum and so
3 on, but those findings were reported in one study each,
4 most of those in the cohort analysis of the Agricultural
5 Health Study and those findings are basically
6 unremarkable.

7 So we have, in essence, rather sparse data that
8 doesn't demonstrate, as I see it, any consistent
9 association with cancer at any site in exposure to
10 trifluralin. So exposure response data are available only
11 from the Agricultural Health Study and the Childhood
12 Cancer Study. And the only significant trend was observed
13 for colon cancer in the Agricultural Health Study, but
14 that wasn't -- that cancer wasn't studied in any other
15 case control study or cohort study.

16 So the data are, I would say, on the border
17 between inadequate and limited in consideration of the
18 animal and mechanistic data. I also was on the point
19 somewhere between medium and low priority. After hearing
20 Dr. Bush's summary, I think I would move up to medium.

21 Now, let's see whether there are any comments
22 from other members of the Committee, if someone could
23 again help me and identify anyone who wants to speak.

24 DIRECTOR ZEISE: Okay. Dr. La Merrill.

25 COMMITTEE MEMBER LA MERRILL: Yeah, I have a

1 question.

2 COMMITTEE MEMBER LOOMIS: Go ahead, please.

3 COMMITTEE MEMBER LA MERRILL: Thank you. I have
4 a question for Dr. Zhang. Since you do work with
5 hematopoietic origin cancers, I was wondering if you could
6 comment. You know, I don't love the way that they were
7 aggregated, but we just heard from Dr. Loomis that it
8 sounded like there might be something going on there. Do
9 you think the mechanisms that Dr. Bush told us about would
10 support that?

11 COMMITTEE MEMBER ZHANG: See, I think
12 hematopoietic cancers little bit complicated, because
13 that's all, you know, many come from a stem -- stem
14 cells -- you know, hematopoietic stem cells. But it also
15 depends on the health in -- you know, mechanistically
16 health in where? It's in early stem cell or a little bit
17 of later or in the progenitor stem cells.

18 So that's -- that's a little bit difficult to
19 say, because I think using the ICT -- ICD code, they still
20 could classify different type of cancer. But I think
21 mechanistically for me I would really think, you know, if
22 we had had it in an early primitive stem cells, I would
23 say that could -- you know, it could go from top of stem
24 you can go either lymphoma, you know, headed in lymphoid
25 stem cell or myeloid stem cell. So that still could be,

1 you know, generate either lymphomas or leukemia.

2 So that's why I think previously NCI would have,
3 you know, kind of together to say what's across -- the
4 lympho -- lympho myelo -- you know, so put them all
5 together, right.

6 So it really depends. I would say if we have
7 limited studies or limited cases, I think it's okay to put
8 them all together or if we understand the mechanisms, the
9 head is at the earlier progenitor, or the later
10 progenitor, or stem, then you can separate them. I don't
11 know, Michele, did I -- did I answer your question.

12 COMMITTEE MEMBER LA MERRILL: Yeah. Thank you.

13 COMMITTEE MEMBER ZHANG: Yeah. That's the point.
14 Okay.

15 COMMITTEE MEMBER LOOMIS: Are there any other
16 comments from the Committee? Again, somebody please help
17 me.

18 COMMITTEE MEMBER EASTMOND: This is David.

19 DIRECTOR ZEISE: Dr. Eastmond. Go ahead, Dr.
20 Eastmond.

21 COMMITTEE MEMBER EASTMOND: I've got one.

22 I just want to mention trifluralin, these
23 compounds do induce aneuploidy (inaudible) spindle
24 apparatus. That doesn't necessarily mean they're
25 carcinogenic. A couple of evidence -- certainly

1 colchicine and albendazole are well known inhibitors of
2 mitotic apparatus that aren't associated with rodent
3 cancer or human cancers to our knowledge.

4 We did a -- I recently did a fairly major review
5 of this with a group -- with the International Working
6 Group on Genotoxicity Testing, and -- anyway, it appears
7 that some types of aneuploidy-inducing agents. If that's
8 the sole change they make, it doesn't appear to be
9 associated with cancer. They're frequently associated
10 with other types of effects, so there's multiple type of
11 modes action, and then aneuploidy does play a role, and
12 can play a role in carcinogenic, but it's usually combined
13 with something else. I thought I'd mention that.

14 COMMITTEE MEMBER LOOMIS: Thank you.

15 Further comments?

16 DIRECTOR ZEISE: Not seeing any hands.

17 COMMITTEE MEMBER LOOMIS: All right. Seeing no
18 hands, let's see whether there are comments from the
19 public. I'm not aware that anyone has asked to speak, but
20 Clara, can you verify that.

21 MS. ROBINSON: Yes I can verify that we do not
22 have any hands raised at this time. Again, just a
23 reminder, if you do want to make a public comment, go
24 ahead and click on the hand raise feature please and thank
25 you.

1 COMMITTEE MEMBER LOOMIS: Okay. We'll wait a
2 moment to see if anyone raises their hand.

3 MS. ROBINSON: And no one has.

4 COMMITTEE MEMBER LOOMIS: No one has, so let's
5 then take another moment and see whether there's any final
6 discussion from the Committee before we proceed to a vote.

7 Okay. Not hearing anything, let's go down the
8 roll again.

9 Dr. Bush?

10 COMMITTEE MEMBER BUSH: I appreciate Dr.
11 Eastmond's comments. I'm still going to stick with high,
12 given the broad use of this chemical and it's very similar
13 structural similarity to oryzalin.

14 COMMITTEE MEMBER LOOMIS: Okay. Dr. Crespi?

15 COMMITTEE MEMBER CRESPI: Medium.

16 COMMITTEE MEMBER LOOMIS: Dr. Eastmond?

17 COMMITTEE MEMBER EASTMOND: I'm going to go with
18 medium.

19 COMMITTEE MEMBER LOOMIS: Dr. La Merrill?

20 COMMITTEE MEMBER LA MERRILL: It's tricky. I
21 think I'll go with -- how about medium.

22 COMMITTEE MEMBER LOOMIS: Medium. Okay. Seems
23 to be the middle ground.

24 Dr. Landolph.

25 COMMITTEE MEMBER LANDOLPH: Medium.

1 COMMITTEE MEMBER LOOMIS: All right. And I put
2 it at medium.

3 Dr. Mack?

4 CHAIRPERSON MACK: I started out with low, but I
5 think I've come up to medium, so medium it is.

6 COMMITTEE MEMBER LOOMIS: Medium.

7 Dr. McDonald?

8 COMMITTEE MEMBER McDONALD: Medium also.

9 COMMITTEE MEMBER LOOMIS: Okay. Dr. Reynolds?

10 COMMITTEE MEMBER REYNOLDS: I'm with Tom, I've
11 moved up to medium.

12 COMMITTEE MEMBER LOOMIS: All right. Dr. Stern?

13 COMMITTEE MEMBER STERN: Medium.

14 COMMITTEE MEMBER LOOMIS: And Dr. Zhang?

15 COMMITTEE MEMBER ZHANG: Medium.

16 COMMITTEE MEMBER LOOMIS: Medium. Okay. I think
17 we've settled on medium.

18 So that concludes discussion of the seven
19 substances that we had on the agenda for today. The next
20 agenda item on the preliminary agenda that you may have
21 seen was a consent item, but that item has been removed,
22 as there isn't anything for us to approve at this time.

23 So with that, we can move on to the last item --
24 next to last item, which is updates -- a set of updates
25 from the staff. So I'll ask Julian Leichty and Carol

1 Monahan Cummings, if she's still here, to give those.

2 MR. LEICHTY: Thanks. Mario is going to be
3 stepping in for Carol I think, but I'll start with this
4 update on chemicals we have administratively added since
5 the Committee's last meeting. You can see on the first
6 slide bevacizumab was added for developmental toxicity and
7 female reproductive toxicity.

8 P-chloro-a,a,a-trifluorotoluene,
9 2-amino-4-chlorophenol, 2-chloronitrobenzene,
10 1,4-dichloro-2-nitrobenzene, 2,4-dichloro-1-nitrobenzene,
11 n,n-dimethylacetamide, para-nitroanisole were added for
12 cancer.

13 Next slide, please.

14 --o0o--

15 MR. LEICHTY: Chemicals currently under
16 consideration for administrative listing are molybdenum
17 trioxide, indium tin oxide for cancer under the Labor Code
18 mechanism.

19 Next slide.

20 --o0o--

21 MR. LEICHTY: And as you can see here, since the
22 Committee's last meeting, we've adopted four safe harbor
23 levels into regulation, a no significant risk level of 0.7
24 micrograms per day for bromochloroacetic acid, a no
25 significant risk level for 0.95 micrograms per day of

1 bromodichloroacetic acid, and a maximum allowable dose
2 level of 28,000 micrograms per day for the oral route of
3 exposure an 20,000 micrograms per day for inhalation for
4 n-hexane, and a maximum allowable dose level of 0.58
5 micrograms per day for the oral and inhalation routes of
6 exposure, and 7.2 micrograms per for the dermal route of
7 exposure for chlorpyrifos.

8 And on the next slide --

9 --o0o--

10 MR. LEICHTY: -- you can see that we've also
11 proposed safe harbor levels for four chemicals. We're
12 still in the regulatory process for a safe -- for safe
13 harbor levels for p-Chloro-a,a,a-trifluorotoluene,
14 trichloroacetic acid, dichloroacetic acid, and
15 dibromoacetic acid.

16 And with that, I'll turn things over to Mario.

17 --o0o--

18 SENIOR STAFF COUNSEL FERNANDEZ: Okay. Thank
19 you. Good afternoon. And these are some of the recent
20 completed and open rulemakings. The coffee regulation
21 became operative last October. And under that regulation,
22 exposure to listed chemicals in coffee from the roasting
23 of coffee beans or brewing a coffee are not considered to
24 post a significant risk of cancer.

25 We also modified the Article 6 Clear and

1 Reasonable Warnings, in particular the responsibility to
2 provide warnings for consumer products. And in that
3 regulation, we clarified the responsibility of the
4 intermediate parties in the supply chain to provide Prop
5 65 warnings. That became effective this past April.

6 We also have an open rulemaking for another
7 amendment to Article 6. And the main change is to conform
8 the alcoholic beverage tailored warnings to a consent
9 judgment related to the delivery of alcoholic beverages.
10 And we're close to finalizing that rulemaking.

11 And we are currently reviewing public comments
12 for a proposed rulemaking related to chemicals created
13 during cooking or heat processing. And in that regulation
14 if a chemical is formed from cooking or heat processing,
15 and the chemical is reduced to the lowest level currently
16 feasible, there's no exposure. And we also have included
17 a list of concentration levels for acrylamide. And those
18 are primarily based on settlement levels.

19 Next slide, please.

20 --o0o--

21 SENIOR STAFF COUNSEL FERNANDEZ: Okay. Next,
22 I'll talk about the Prop 65 related litigation.

23 Wheat growers v Zeise is a case related to
24 glyphosate. It's a federal case. The district court
25 found that warnings to glyphosate were in violation of the

1 First Amendment limitations on compelled commercial
2 speech. OEHHA is no longer a party to that suit and the
3 Attorney General has appealed that to the Ninth Circuit.

4 The next case is American Chemistry Council v
5 OEHHA. And that is the BPA case. And this was a
6 challenge to the listing to BPA -- listing of BPA as a
7 developmental toxicant via the authoritative bodies
8 listing. The listing was upheld by the trial court and
9 the court of appeal.

10 And then the next one is the ACC v OEHHA. That
11 is a DINP case. And the main issue was whether the
12 Committee followed written guidance when it made its
13 decision during the meeting. The trial court and the
14 court of appeal both upheld the listing and the California
15 Supreme Court has declined review.

16 And the next is the Council for Education and
17 Research on Toxics v OEHHA. And that's related to
18 warnings for coffee and associated Public Records Act
19 requests. CERT, the Council for Education and Research
20 Toxics, dismissed all but the PRA claims. And the court
21 entered judgment against CERT on the PRA requests.

22 Another challenge is the -- from CERT is CERT v
23 Starbucks. And that was related to the coffee regulation
24 as part of an enforcement action. And the trial court
25 upheld the regulation and entered judgment for the coffee

1 companies.

2 And finally, we have Physicians Committee for
3 Responsible Medicine v Newsom. And this was a challenge
4 based on OEHHA's decision to not list processed meats.
5 And a hearing is scheduled for February 21st of 2021.

6 And that concludes the regulatory and litigation
7 updates.

8 COMMITTEE MEMBER LOOMIS: Okay. Thanks, Mario
9 and Julian. Thanks.

10 And we move on to the final agenda item and that
11 is a summary of committee actions. And for that, I'll
12 turn back to Director Zeise.

13 DIRECTOR ZEISE: Okay. Thank you. We do have a
14 slide that summarizes the Committee decisions.

15 Thank you.

16 So the Committee considered seven chemicals for
17 recommending -- and recommended priorities for
18 consideration for future review by the Committee for
19 possible listing.

20 And those selected will be -- we would develop
21 hazard identification materials on. So two of the
22 chemicals the Committee ranked as high bisphenol A and
23 Perfluorooctane sulfonate and its salts and transformation
24 and degradation precursors.

25 Five chemicals -- four chemicals were ranked

1 medium, chlorpyrifos, decaBDE, methyl bromide and
2 trifluralin.

3 And one chemical, coal dust, was given the rank
4 of no priority.

5 So that summarizes the Committee's decisions
6 today -- or recommendations today.

7 And I guess I would just like to add, at this
8 point, my thank yous on behalf of OEHHA to the Committee
9 for all the hard work that you did today at the meeting,
10 as well as all the preparation prior to the meeting. It
11 was a lot of work and really appreciate all the thoughtful
12 review that you provided to us and the consultation. So
13 thank you so much.

14 I'd also like to thank the Reproductive and
15 Cancer Hazard Assessment Branch and our new Deputy
16 Director for Science for all the work in preparing for the
17 meeting, pulling the materials together. That, too, was a
18 lot of work. So thank you for all of that.

19 And then to Implementation team, Julian, Esther
20 Tyler, Monet for all their work in pulling this meeting
21 together, as well as our IT staff to -- it sounds -- it's
22 beginning to sound a little like the Academy Awards, but
23 it does take a lot of individual effort to pull off a
24 meeting like this. And I'd also like to thank Clara
25 Robinson for her excellent facilitation of the virtual

1 meeting and also to our Legal staff, Carol and Mario.

2 And with that, I'll turn it -- and also just wish
3 you all good health and a good Thanksgiving next week, and
4 be well and safe. Safe journey if you are going to be
5 traveling.

6 And I'll turn it back over to Dana.

7 COMMITTEE MEMBER LOOMIS: Thanks, Lauren.

8 DIRECTOR ZEISE: Dr. Loomis.

9 COMMITTEE MEMBER LOOMIS: Well, I'm Dana.

10 (Laughter.)

11 COMMITTEE MEMBER LOOMIS: Well, I'd just like to
12 echo those thanks to the colleagues on the Committee for
13 your efforts pulling together this review, and thoughtful
14 comments on the chemicals we considered today, and for
15 working through these circumstances, which are not optimal
16 certainly.

17 And thanks too to the staff for all of the work
18 they did in the background to make this meeting possible,
19 and to the members of the public for their interventions.

20 And so, with that, I think we can adjourn the
21 meeting. And I will give my apologies for my rather
22 awkward participation by phone here and go look for some
23 candles, because it's already getting dark.

24 So we are officially adjourned.

25 DIRECTOR ZEISE: Great. And I should have added

1 a special thanks to Dana for filing in. So thank you.
2 Yes.

3 COMMITTEE MEMBER LOOMIS: You're most welcome.
4 (Thereupon the Carcinogen Identification
5 Committee adjourned at 3:46 p.m.)
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

