

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
CARCINOGEN IDENTIFICATION COMMITTEE

SACRAMENTO CITY HALL
915 I STREET
CITY COUNCIL CHAMBERS
SACRAMENTO, CALIFORNIA

WEDNESDAY, NOVEMBER 5, 2008

9:36 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES

COMMITTEE MEMBERS

Dr. Thomas M. Mack, Chairperson

Dr. David A. Eastmond

Dr. Solomon Hamburg

Dr. Martin L. Hopp

Dr. Darryl Hunter

Dr. Joseph Landolph

Dr. Anna H. Wu

STAFF

Dr. Joan E. Denton, Director

Mr. Allan Hirsch, Chief Deputy Director

Dr. George Alexeeff, Deputy Director

Ms. Carol Monahan-Cummings, Chief Counsel

Dr. Jay Beaumont, Cancer Toxicology & Epidemiology Section

Ms. Fran Kammerer, Staff Counsel

Dr. Kate Li, Cancer Toxicology & Epidemiology Section

Dr. David Morry, Cancer Toxicology & Epidemiology Section

Ms. Cynthia Oshita, Proposition 65 Implementation

Ms. Lindsey Roth, Safer Alternatives Assessment and
Biomonitoring Section

Dr. Martha S. Sandy, Chief, Cancer Toxicology &
Epidemiology Section

APPEARANCES CONTINUED

STAFF

Dr. Lauren Zeise, Manager, Reproductive and Cancer Hazard
Assessment Branch

ALSO PRESENT

Mr. Stanley Landfair, McKenna, Long & Aldridge

Dr. Linda Malley, DuPont

Dr. J. Morel Symons, DuPont

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

INDEX

	PAGE	
I	Welcome and Opening Remarks by Director Denton	1
II	Consideration of Chemicals as Known to the State to Cause Cancer	
	A. N,N-Dimethylformamide	
	Staff Presentation by Ms. Roth and Dr. Morry	3
	Committee Discussion	26
	Public Comments	41
	Committee Discussion and Decision	119
	B. 2,4,6-Trinitrotoluene	
	Staff Presentation by Dr. Li and Dr. Beaumont	130
	Committee Discussion	143
	Public Comments	149
	Committee Discussion and Decision	149
III	Update of the Section 27000 List of Chemicals Which Have Not Been Adequately Tested as Required	
	Staff Presentation by Chief Counsel	
	Monahan-Cummings	155
	Committee Discussion	161
	Public Comments	161
	Committee Discussion and Decision	162
IV	Staff Updates	
	Prioritization: Applying Epidemiology Data	
	Screen and First Animal Data	
	Screen by Dr. Sandy	165
	Chemical Listings via the Administrative Listing Mechanisms and Safe Harbor Level Development by Ms. Oshita	172
	Proposition 65 Litigation by Chief Counsel Monahan-Cummings	173
V	Summary of Committee Actions and Closing Remarks By Director Denton	175
	Adjournment	176
	Reporter's Certificate	178

1 PROCEEDINGS

2 DIRECTOR DENTON: Good morning. I'd like to call
3 the meeting to order. So If everyone would take their
4 seats.

5 Good morning to everyone. We appreciate the
6 panel members and the audience appearing at 9:30
7 post-election day. I'm sure there are a few people that
8 are sleep deprived, including myself. But I wanted to
9 tell Dr. Mack that he is sitting in the chair of our new
10 mayor. He is the first person to sit in the chair of our
11 new mayor.

12 (Laughter.)

13 DIRECTOR DENTON: Yeah, former NBA star, Kevin
14 Johnson. Someone said that he might come by this morning,
15 and I said I didn't think so.

16 (Laughter.)

17 DIRECTOR DENTON: At any rate, this is a meeting
18 of the Prop 65 Carcinogen Identification Committee. And I
19 want to make some quick introductions, and then I will
20 turn the meeting over to Dr. Mack.

21 To my left is Dr. Mack, the Chair of the
22 Committee. Next to him is Dr. Marty Hopp, then Dr. Joe
23 Landolph, and then Dr. David Eastmond. To my right, Dr.
24 Anna Wu, then Dr. Solomon Hamburg. And to his right is
25 Dr. Darryl Hunter.

1 So welcome to you all.

2 I think all of you have copies of the agenda.
3 The agenda and the handouts and the overheads and the
4 PowerPoint presentations and the sign-up sheet are all
5 available when you came in.

6 So with that I think, knowing that we have two
7 items on the agenda plus some staff discussions for the
8 panel, I will turn it over to Dr. Mack.

9 CHAIRPERSON MACK: This, of course, is new
10 technology, and it's going to take me awhile to get used
11 to it.

12 It's nice to see all of your enthusiastic faces
13 sitting there. So there must be a lot of other people who
14 are sitting dejected somewhere else. But that's okay.

15 (Laughter.)

16 CHAIRPERSON MACK: Who is the staff person that's
17 going to take the lead on the first compound, which is
18 N,N-Dimethylformamide?

19 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

20 SANDY: Dr. Mack, that will be Lindsey Roth and David
21 Morry.

22 CHAIRPERSON MACK: Thank you.

23 All right, Martha. Let them proceed.

24 (Thereupon an overhead presentation was

25 Presented as follows.)

1 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

2 SANDY: Okay.

3 MS. ROTH: Okay. Is this close enough?

4 DIRECTOR DENTON: I think you have to turn yours
5 off.

6 MS. ROTH: Is this all right?

7 All right. Oh, who's shaking their head no?

8 All right. Is this okay?

9 All right. Today, we're going to discuss the
10 evidence of carcinogenicity for Dimethylformamide or DMF.

11 --o0o--

12 MS. ROTH: All right. We see here the physical
13 and chemical data for this solvent.

14 --o0o--

15 MS. ROTH: DMF is used in a variety of
16 industries. Studies in aircraft repair, leather tanning,
17 and manufacture of acrylic fibers and tint of plastic
18 sheeting will be discussed here.

19 The U.S. production volume -- the
20 non-confidential U.S. production volume was estimated to
21 be 100 to 500 million pounds in 2002. And the air
22 emissions in California for 2006 reporting year were
23 estimated to be 5.6 tons under the California Toxics
24 Inventory.

25 --o0o--

1 MS. ROTH: All right. For the carcinogenicity
2 studies in humans, there were studies in two industries, a
3 cluster investigation in each, leather tanners and Navy F4
4 aircraft repairmen, and a case-control and cohort study
5 follow-up in the leather tanners. There were also studies
6 of case-control and cohort at DMF production and use
7 facilities among workers there.

8 --o0o--

9 MS. ROTH: There are also studies in animals.
10 There's an older drinking water study in rats. There are
11 also two sets of long-term inhalation studies in male and
12 female mice, and two sets of long-term inhalation studies
13 in male and female rats.

14 --o0o--

15 MS. ROTH: The original cluster started with
16 testicular germ cell tumors among Navy F4 aircraft
17 repairmen. There were 3 males with -- of cases among 153
18 workers at one facility. And the investigation found four
19 more cases at another F4 repair facility. There were no
20 cases at a third facility where there was no DMF exposure.

21 The cases were exposed for 4 to 19 years. And
22 the repairmen dripped a solvent mixture containing 80
23 percent DMF onto cables and resulted in dermal and air
24 exposures that were likely.

25 There were no DMF air measurements. But Frumin,

1 et al., speculated that the exposures -- the air exposures
2 were greater than 10 ppm.

3 --o0o--

4 MS. ROTH: Another cluster investigation was
5 performed with the same type of testicular germ cell
6 tumors at a leather tannery and found three cases. These
7 men were exposed for 8 to 14 years. They worked on a
8 spray line where they spread dyes on leather using paddles
9 while leaning close to the hide, resulting in dermal and
10 air exposures.

11 There were no DMF air measurements. But Frumin
12 speculated that the air exposures were greater than 10 ppm
13 before being removed from the process.

14 --o0o--

15 MS. ROTH: A follow-up study was conducted, a
16 case-control study by Frumin, in the whole county that the
17 leather tanner cases were found. And the cases were
18 obtained from the New York State Cancer Registry and were
19 diagnosed with testicular germ cell tumors from 1974 to
20 1987. This resulted in seven additional cases, for a
21 total of ten in the county.

22 The control group consisted of 129 men who
23 developed another type of cancer during the same years.
24 And 50 percent of the cases and 13 percent of the controls
25 were in leather-related occupations. This resulted in an

1 odds ratio of 5.8, significant when compared against 1.

2 --o0o--

3 MS. ROTH: Brought to our attention by comments
4 from DuPont, there is a nonpublished report from New York
5 State Department of Health, and it reported the same
6 information as the Frumin study. There was a slightly
7 larger control group, but it included a description and
8 discussion of the controls and cases that was not in the
9 Frumin paper. Many controls were missing occupation
10 information and therefore removed from the analysis. And
11 this was more prevalent among younger controls.

12 Because the controls were obtained from other
13 cancer diagnoses, the controls were likely older -- were
14 older than the cases, less likely to have testicular germ
15 cell cancer, and therefore potentially overestimates the
16 risk of testicular cancer.

17 But the authors mention that there may be --
18 percent of leather tanners may be high in the controls in
19 comparison to the cases and therefore potentially obscure
20 the effects from leather tanning occupational exposure.
21 This results in a potential bias in an unknown direction.

22 --o0o--

23 MS. ROTH: A follow-up study of the leather
24 tanners, a cohort study this time at the leather tannery
25 consisting of 80 workers. The expected number of cases

1 were calculated using New York State cancer incidence
2 rates and person-years at risk from 1975 to 1987. The
3 Standardized Incidence Ratio was significant at 40.5 when
4 compared against 1.

5 --o0o--

6 MS. ROTH: At the DMF production and use
7 facilities studies, there was two: A cohort study by Chen
8 involving one plant with the manufacture of acrylic
9 fibers; and a case control study by Walrath that involved
10 four plants, one DMF production plant and three
11 manufacturing plants including the cohort from above.

12 --o0o--

13 MS. ROTH: Here is some information about the
14 different plants. Plant C is the cohort study by Chen.
15 And we notice there are different exposures by plant; and
16 this includes the type of facility, the percent of workers
17 exposed, and the average DMF levels.

18 --o0o--

19 MS. ROTH: In the cohort study, the plant
20 manufactures acrylic fibers. There was acrylonitrile
21 co-exposures for some employees. And acrylonitrile is a
22 known carcinogen. This involved two cohorts that are not
23 used for the DMF study -- or the DMF consideration.

24 There was also a DMF-only cohort where the
25 workers were not exposed to acrylonitrile and then a

1 cohort where workers were exposed to neither acrylonitrile
2 or DMF.

3 Cancer cases were obtained from the DuPont Cancer
4 Registry. And they were cancers that were diagnosed only
5 while the employees were employed at DuPont.

6 There is 47 cancer cases among 2,530 exposed for
7 the DMF-only cohort, and 17 cancer cases among 1,130
8 unexposed in the control cohort that did not have DMF or
9 acrylonitrile exposures.

10 The exposure classification was grouped as "ever"
11 versus "never" and occurred between 1950 and 1970.

12 --o0o--

13 MS. ROTH: All right. The expected counts were
14 based on the internal DuPont cancer incidence rates and
15 resulted in one significant association in the DMF-only
16 cohort. This was the buccal cavity and pharynx. And the
17 authors broke down the employees by payroll class. So we
18 see that it was significant for the wage category, but not
19 the salary category. But it was also significant in the
20 combined group. There are confounding exposures of
21 alcohol and smoking for this particular endpoint.

22 Other cancers were examined but reported no
23 significant associations in the paper.

24 --o0o--

25 MS. ROTH: Using National Cancer Institute's

1 Surveillance Epidemiology End Results cancer incidence
2 rates also resulted in some significant associations.

3 The buccal cavity and pharynx, which was nine
4 cases, had significantly higher than expected association
5 with the expected 3.3 cases. The authors note that six of
6 the cases had high exposure and three of the cases had
7 moderate exposure to DMF.

8 Malignant melanoma was also significant using
9 these expected cancer incidence rates, with 5 cases
10 compared against 1.6 expected. And all five of these
11 cases were in the high DMF exposure category.

12 The expected counts with SEER rates were not
13 significant for the other cancers in this cohort and were
14 not provided.

15 --o0o--

16 MS. ROTH: In the case control study by Walrath,
17 four plants were included, the three manufacturing and the
18 one production. The cases were also obtained from the
19 DuPont Cancer Registry from employees diagnosed while
20 employed at DuPont.

21 Co-exposure to acrylonitrile was not discussed,
22 even though we know it occurred in Plant C.

23 Controls were matched by plant, age, sex, and
24 payroll type. The activities varied by plant. And plant
25 was used as a surrogate of exposure.

1 The five cancers examined were buccal cavity and
2 pharynx, liver, prostate, testis, and skin.

3 The odds ratio was reported by plant as well as
4 the combined odds ratio for all plants.

5 --o0o--

6 MS. ROTH: There was a small number of cases for
7 each cancer in this particular study. Prostate cancer,
8 which had four cases at Plant D, was the only significant
9 association, with an odds ratio of 8.

10 The authors also noted that there was a logistic
11 regression trend from malignant melanoma by increasing
12 exposure category.

13 --o0o--

14 MS. ROTH: There are exposure differences between
15 the different industries. And this could be informative
16 about the end results -- the end cancer results. There
17 was dermal and air exposure in leather and aircraft repair
18 industries. And dermal exposure is relatively unknown in
19 the production use facilities.

20 A study examined the body burden of DMF using two
21 urinary biomarkers, DMF and a metabolite, NMF. And they
22 examined -- or took measurements using personal air and
23 dermal DMF measurements in several occupational
24 industries, including synthetic leather, which has
25 significant air and dermal exposure, and a copper laminate

1 circuit board industry, which had air exposure only.

2 And there was a one-day study to examine the
3 effect over one day and a one-week exposure study to
4 examine the cumulative effect over one week.

5 Higher levels of the metabolite, NMF, for the
6 workers with dermal exposure was found. And the authors
7 conclude that dermal DMF exposure results in
8 bioaccumulation.

9 --oOo--

10 MS. ROTH: For the analysis of Chen. Chen
11 reported using a Poisson distribution with two tails and a
12 .1 cutoff. When using the Poisson distribution using a .1
13 cutoff with one tail and a -- I'm sorry -- a .1 cutoff
14 with two tails and a .1 cutoff -- I'm sorry -- .05 cutoff
15 with one tail is identical.

16 It was unclear why some of the associations
17 reported in the publication were not significant and they
18 were not reproducible.

19 In comments received from DuPont after releasing
20 the HID, it was mentioned that Standardized Incidence
21 Ratios were used to calculate the effects. But
22 Standardized Incidence Ratios, or SIRs, were not mentioned
23 in the publication and not reported in the tables. And
24 this includes both the effect level, confidence intervals,
25 or P-values.

1 There are -- if a Standardized Incidence Ratio is
2 used, there are -- you can calculate a confidence interval
3 or there are two distribution methods to see if the SIR is
4 significantly different from one. One is the Poisson
5 distribution, which has the mean of the distribution as
6 the expected count. And then we're interested in the
7 probability of an observed count or greater. A priori,
8 this is testing the association of cancer with DMF, in
9 that, we aren't interested if it prevents cancer. So one
10 tail assumption is appropriate. The authors, Chen, et
11 al., in fact say, in quotes, "The initial objective of
12 this study was to determine whether exposure to DMF and
13 acrylonitrile, separately or in combination, was
14 associated with higher-than-expected cancer incidence."

15 Another distribution method is the chi-squared
16 distribution with expected counts greater than two. And
17 this is inherently two tailed.

18 --o0o--

19 MS. ROTH: Both of these distribution approaches
20 provide qualitatively similar results.

21 The significant associations in the DMF-only
22 cohort were buccal cavity and pharynx and the stomach for
23 the Poisson distribution using the DuPont expected rates.
24 However, from malignant melanoma, it was only significant
25 using the SEER expected counts.

1 With a chi-square distribution we find
2 significant associations for the buccal cavity/pharynx,
3 malignant melanoma, prostate and stomach.

4 Based on the methods described in the paper,
5 additional significant associations are found. In fact,
6 the confidence intervals provided by DuPont in the
7 comments have a change of significance on several
8 endpoints, six total in both directions, and are noted
9 with footnotes in their appendix.

10 --o0o--

11 MS. ROTH: All right. Here is the observed and
12 expected counts with P-values for the chi-square
13 distribution. And this was used to try to replicate the
14 results in the paper and see why some associations were
15 significant and others were not.

16 You can see with the chi-square approach, the
17 buccal cavity and pharynx, malignant melanoma, prostate
18 and stomach were all significant in at least one of the
19 wage categories -- or payroll categories.

20 --o0o--

21 MS. ROTH: With the Poisson distribution we see
22 very similar results. The malignant melanoma in the wage
23 category, which -- whoops -- right here was significant
24 when SEER rates were used instead of the DuPont internal
25 rates. And we see that the malignant melanoma for wage

1 and the prostate for salary, which were significant for
2 Poisson, are close to being significant.

3 In fact, with this limited cancer registry, one
4 or more cases could increase the statistical power and
5 likely bump some associations to being significant.

6 --o0o--

7 MS. ROTH: All right. The limitations. There
8 were limitations in all of the Epi studies. Specifically,
9 for Navy F4 and leather tanning workplaces the DMF
10 exposure was not quantified.

11 In the DMF production and use facilities there
12 was a very limited cancer registry where cases were from
13 only employees diagnosed while employed. And this
14 resulted in a limited number of cases.

15 There was truncated follow-up.

16 The data collected on duration and intensity of
17 DMF exposure was not used in most analysis. And, in fact,
18 they were matched -- the controls and, depending on the
19 study, were matched on plants, and DuPont's internal
20 incidence rates were used for comparison.

21 There was limited statistical power in these
22 studies, and the results were unable to be reproduced.

23 There is confounding exposures in all of the
24 studies -- all of the Epi studies. Workers were exposed
25 to many chemicals along with DMF in the leather tanning

1 and aircraft repair. There were also co-exposure to
2 acrylonitrile that was not addressed in the case control
3 study of the production and use facilities. And other
4 non-acrylonitrile exposures were addressed in either of the
5 production and use facilities studies.

6 --o0o--

7 MS. ROTH: So there are exposure differences
8 among the industries, and this may explain the variable
9 findings in cancer.

10 Higher levels of DMF were likely in the Navy F4
11 repair and leather tanning occupations.

12 Dermal exposure was associated with
13 bioaccumulation of DMF. And this is especially likely in
14 the leather tanning and aircraft repair industries.

15 Air level experience in the production and use
16 facilities were all fairly low, with an average air
17 concentration of less than 10 ppm.

18 --o0o--

19 MS. ROTH: So, in conclusion:

20 There were clusters of testicular germ cell
21 tumors in two distinct occupationally exposed groups.

22 Case control and cohort studies of leather
23 tanners found an association of testicular germ cell
24 tumors among workers exposed to DMF.

25 There is some evidence of cancer risk among DMF

1 production and use workers.

2 But definitive well-conducted studies are needed.

3 --o0o--

4 DR. MORRY: Okay. Let's go onto the animal
5 studies -- is this working good? -- go onto the animal
6 studies of testing of Dimethylformamide in mostly rodents.

7 First, there was a drinking water study that was
8 done in '67. It's a very brief report in a German
9 journal. And this -- it was a small number of rats that
10 were given up to -- given Dimethylformamide in drinking
11 water up to a total dose of 37 milligrams per kilogram
12 body weight. And they did not observe any tumors in this
13 study.

14 Then we have two sets of studies -- inhalation
15 studies in mice.

16 First, there was a study by Malley, et al., from
17 DuPont who did male and female CD-1 mice exposed to doses
18 of 0, 25, 100, and 400 ppm for 18 months.

19 And then later there was a study by Senoh, et
20 al., from Japan who did a study again in male and female
21 mice, this time a different strain BDF1 mice. And they
22 did 0, 200, 400 and 800 ppm. And the length of the
23 experiment was for 24 months.

24 There were also some rat studies, which I'll
25 mention later on.

1 --o0o--

2 DR. MORRY: Okay. To look at the mouse studies,
3 first of all Malley, et al.:

4 They found no effect on survival in either male
5 or female mice.

6 The body weights increased in both male and
7 female mice for the top dose group, the 400 ppm.

8 There were increased liver-to-body weight ratios
9 in the 100 and 400 ppm males and in the top dose females.

10 They observed centrilobular hepatocellular
11 hypertrophy and hepatic single-cell necrosis at the two
12 highest doses in both sexes. So these are indications of
13 toxicity to the liver.

14 They observed no treatment-related increase in
15 tumor incidence at the P less than .05 level. There were
16 tumors, but there were not a statistically significant
17 increase over the controls.

18 --o0o--

19 DR. MORRY: The Senoh, et al., studies -- more
20 recent studies in mice:

21 Again, they found no effect on survival in either
22 sex.

23 The growth was suppressed in the exposed groups.

24 The liver-to-body weight ratio increased with
25 exposure in all the exposed male and female mice.

1 Again, centrilobular hypertrophy, and they
2 observed nodules in the exposed mice of both sexes.

3 They observed hepatocellular adenomas and
4 carcinomas which were statistically increased in male and
5 female mice in the exposed groups.

6 --oOo--

7 DR. MORRY: So here's the data from the Senoh
8 study.

9 And we see that for hepatocellular adenomas,
10 there's statistically significant increases at the 200,
11 400 and 800 dose levels, with a high statistical
12 significance by pairwise comparison. And there's very
13 high statistical significance for the trend test.

14 Likewise, with carcinomas, statistically
15 significant in all the exposed groups by pairwise
16 comparisons using the Fisher exact test. Also, for
17 hepatoblastoma.

18 And then when you combine all the tumors, it's
19 highly statistically significant by pairwise comparison at
20 all the exposed levels, not just the top dose, at the same
21 levels that were the top -- at the same level that was the
22 top level in the Malley study and a highly significant
23 trend test. This is for the male mice.

24 When we look at the female mice, we see similar
25 results, statistically significant by pairwise comparison

1 for both adenomas, carcinomas, not for hepatoblastomas.
2 And then when you combine the tumors, highly statistically
3 significant trend test and statistically significant
4 increases at all three exposure levels by pairwise
5 comparison.

6 --o0o--

7 DR. MORRY: Okay. There were two sets of rat
8 studies: A male and female CD rats exposed at 0, 25, and
9 100, and 400 ppm for two years. And this, again, is
10 Malley, et al. And, again, there was a Senoh study of
11 rats, male and female, F344 rats exposed to 0, 200, 400,
12 and 800 ppm, again for two years. So the same dose levels
13 as in the mouse experiment.

14 --o0o--

15 DR. MORRY: Survival was not affected by DMF
16 treatment in the rats in the Malley study. Body weights
17 were reduced in male rats exposed to 100 and 400 and in
18 female rats exposed at the top dose. There were relative
19 liver weight increased in the male and female rats exposed
20 at the 100 and 400 ppm levels. They saw centrilobular
21 hepatocellular hypertrophy in all the exposed groups in
22 both sexes. But they saw no treatment-related increase in
23 tumor incidence at the .05 level.

24 --o0o--

25 DR. MORRY: The Senoh, et al., study was similar.

1 Survival was unaffected in male rats. There was a reduced
2 survival in female rats exposed at the highest dose level
3 due to liver necrosis. And body weights were reduced in
4 both sexes at the 800 ppm dose. There was an increase in
5 liver-to-body weight ratios in the rats of both sexes at
6 all exposure levels.

7 Centrilobular necrosis was seen in both sexes at
8 the highest dose, but it was significant only in the
9 female rats.

10 And, again, tumors were found at statistically
11 significant levels. Hepatocellular adenomas and
12 carcinomas were increased in both male and female rats. I
13 should mention that all these experiments, both the Malley
14 and the Senoh studies, were done according to OECD
15 guidelines.

16 --o0o--

17 DR. MORRY: So here's the data for the male rats
18 in the Senoh, et al., study. We see statistically
19 significant increases in adenomas at the 400 and 800 ppm
20 levels was a highly significant trend. Increase in
21 carcinomas statistically significant at the 800 ppm level,
22 highly significant trend test. And the combined tumors we
23 see increases statistically significant at both the 400
24 and 800 ppm exposure levels and a highly significant
25 trend.

1 --o0o--

2 DR. MORRY: For the female rats, we have
3 statistically significant increases in adenomas and
4 carcinomas and statistic -- also significant by the trend
5 test and statistically significant when the tumors are
6 combined, both by pairwise comparison at the high-dose
7 level and by the trend test.

8 --o0o--

9 DR. MORRY: So the conclusions we can draw from
10 the animal studies are that, as I mentioned before, there
11 were no tumors seen in the drinking water study by
12 Druckrey, et al. There were hepatocellular adenomas and
13 carcinomas, which increased with the positive trend in
14 both male and female BDF1 mice in the Senoh study.
15 Hepatocellular adenomas and carcinomas also increase with
16 the positive trend in male and female F344 rats in the
17 Senoh study. There were no treatment-related tumor
18 increases observed in the studies in mice and rats by
19 Malley, et al.

20 --o0o--

21 DR. MORRY: So the differences between the two
22 studies -- since the results are so different, we might
23 wonder what the differences might be that would account
24 for those. They differed in several ways. One was, for
25 the mouse study there was a difference in the duration.

1 The Malley study was only for a year and a half; the Senoh
2 study was a two-year study.

3 The highest dose was different. The Malley
4 highest dose was 400 ppm and the Senoh highest dose was
5 800 ppm. But keep in mind, that the Senoh study saw
6 increases in tumors also at 400 ppm and the Malley study
7 did not.

8 The strains of animals that were used were
9 different:

10 Mice: The Malley study used CD-1 mice; the Senoh
11 study uses BDF1 mice.

12 And in rats: The Malley study used CD and the
13 Senoh used F344.

14 So there might be some difference in the
15 sensitivity of the strains that could be partly
16 responsible for the different results.

17 --o0o--

18 DR. MORRY: The metabolism of DMF, it is similar
19 in all mammals that have been studied, humans and rodents
20 and cynamologous monkeys. It begins with hydroxylation by
21 CYP2E1 to produce N-hydroxymethyl N-methylformamide, which
22 then, without benefit of an enzyme, loses a formaldehyde
23 molecule and becomes N-methylformamide, which is the NMF
24 that Lindsey was mentioning earlier.

25 Then the metabolism continues. And at the end

1 result -- or at the end of the chain, there's a cysteine
2 conjugate, which is formed. And that's a significant
3 metabolite in humans. It's also found in rodents, but to
4 a lesser extent.

5 So there's some differences between humans and
6 rodents, not in the pattern of this metabolism, but in the
7 amount of the metabolites that may accumulate in tissues.
8 And this is not perfectly understood at this point in
9 time.

10 --o0o--

11 DR. MORRY: So looking at some other relevant
12 data bearing on the carcinogenicity of this chemical, we
13 have genotoxicity data. Dimethylformamide is negative in
14 most experimental systems ranging all the way from
15 bacteria to mice, as reported in IARC. Some evidence
16 of -- there was some evidence of weak genotoxic activity
17 in mouse lymphoma assay; unscheduled DNA synthesis,
18 indicating DNA damage in rat hepatocytes; and
19 clastogenicity in saccharomyces yeast. So there's some
20 positive and some negative in the genotoxicity data.

21 --o0o--

22 DR. MORRY: Now, looking genotoxicity data for
23 humans, we have three studies to look at.

24 Chromosomal gaps and breaks in peripheral
25 lymphocytes were increased from .4 percent in controls to

1 1.4 percent in exposed workers in a study by Berger, et
2 al. But these workers were also exposed to methyl amines.
3 So we have a possible confounding factor there.

4 Chromosomal and aberrations were increased in
5 peripheral lymphocytes of workers exposed to DMF. But
6 these workers were also exposed to trace amounts of other
7 chemicals.

8 And then the final study -- or the final one on
9 this slide is sister chromatid exchanges were increased
10 significantly in high and medium DMF-exposed groups of
11 women workers in a study by Seiji, et al. And in this
12 study there was no co-exposure. They were exposed only to
13 DMF.

14 So we have some evidence from humans and some
15 evidence from lower organisms, as they're called.

16 Other relevant data. Other effects on the liver,
17 we saw in the rodent studies that there were changes in
18 liver-to-body weight ratios. And there were histological
19 changes. So there was hypertrophy, there was
20 centrilobular necrosis, and there were altered cell foci
21 seen in all the studies and rodents. So indicating that
22 DMF is a chemical that's toxic to the liver.

23 --o0o--

24 DR. MORRY: So, thinking about the possible
25 mechanisms of action for DMF, we can't rule out

1 genotoxicity, since it -- it's not positive in all
2 systems, but it seems to have some genotoxic activity both
3 in humans and in test and in experimental systems.

4 Another possibility is that through its toxicity
5 to liver cells, it kills liver cells, which then
6 stimulates cell proliferation due to either cytotoxicity
7 or apoptosis of liver cells. That would be another
8 mechanism of action that could make it carcinogenic.

9 And then there's also an idea that DMF might work
10 by facilitating the permeation of other chemicals into
11 target tissues. A lot of the recently published studies
12 on DMF have to do with its use as a vehicle for carrying
13 drugs into tissues. So apparently DMF is a very good
14 solvent, not only on airplanes but also on people. It can
15 carry drugs into people. So it may facilitate entry of
16 carcinogens into tissues where they would work. And, of
17 course, the mechanism of action could be a combination of
18 any of these and maybe others we haven't thought of.

19 --o0o--

20 DR. MORRY: IARC did a review in 1999, which was
21 before the Senoh, et al., studies were published in 2004.
22 They concluded that there was inadequate evidence of
23 carcinogenicity in humans and suggested -- that the data
24 suggested a lack of carcinogenicity in animals. So they
25 classified it in the Group 3 as not classifiable as to

1 carcinogenicity in humans.

2 Keep in mind, of course, that this was before the
3 Senoh results.

4 --o0o--

5 DR. MORRY: So to summarize the evidence for the
6 carcinogenicity of DMF:

7 In human studies we have limited, but suggestive
8 evidence, from the occupational studies that Lindsey
9 described.

10 In animals we have hepatocellular adenomas and
11 carcinomas, which were seen at statistically significant
12 levels in both male and female F344 rats.

13 Then we also have hepatocellular adenomas and
14 carcinomas in male and female BDF1 mice and at
15 statistically significant levels, and also hepatoblastomas
16 at significant levels in the male mice.

17 And for other evidence, we know that DMF was at
18 least weakly genotoxic in both rodents and humans.

19 That concludes the talk.

20 CHAIRPERSON MACK: Thank you very much. We will
21 be having an opportunity to weigh in on our opinions a
22 little bit later. But right now we can ask questions of
23 fact about the material that's been presented.

24 Do you have any, Marty?

25 COMMITTEE MEMBER HOPP: Yeah.

1 Is this on?

2 I have some questions about the human studies,
3 particularly in the case controls. My concern is the
4 controls in these large number of people for alcohol,
5 cigarette and chaw exposure among the controls and the
6 workers. Can you tell me a little bit more about that?

7 MS. ROTH: Are you referring specifically to the
8 production and use ones --

9 COMMITTEE MEMBER HOPP: Yes.

10 MS. ROTH: -- or the leather tanning?

11 The production and use?

12 COMMITTEE MEMBER HOPP: Yes.

13 MS. ROTH: Yes, that's a -- alcohol and smoking
14 are known to be confounders. And so that could very well
15 be part of what's going on in that particular endpoint.

16 COMMITTEE MEMBER HOPP: But in looking at those,
17 how were they controlled from the patients who developed
18 tumors versus the case -- the non --

19 MS. ROTH: I don't believe they were controlled
20 for, but it was mentioned that that was possible.

21 COMMITTEE MEMBER HOPP: Yeah, that was my
22 impression, that there wasn't any controls in the studies
23 for alcohol or cigarettes use or chaw, and yet the primary
24 tumors that they reported were the buccal mucosa, which is
25 a very common site for chaw and alcohol. And, also, if it

1 was a solvent you would find that it would be developed in
2 the buccal mucosa.

3 COMMITTEE MEMBER HOPP: I have another question.
4 Can I go on?

5 CHAIRPERSON MACK: Anybody else?

6 COMMITTEE MEMBER HOPP: I had another question.

7 CHAIRPERSON MACK: Oh, you have another one?

8 COMMITTEE MEMBER HOPP: Yeah, another question,
9 regarding the animal studies.

10 Again, these -- since the human studies were more
11 a buccal and pharyngeal tumors, which would suggest more
12 topical or direct toxicity in carcinogen activity as a
13 direct carcinogen as opposed to necessarily a systemic --
14 a metabolic carcinogen, are there any animal studies where
15 this was just painted on the skin of mice as opposed to
16 being inhaled or being in the drinking water?

17 DR. MORRY: I don't remember any skin painting
18 studies for DMF. There's been injection studies and, as I
19 mentioned, a drinking water study. The only studies that
20 really reported any significantly -- statistically
21 significant increase in tumors were the inhalation
22 studies.

23 CHAIRPERSON MACK: Okay. I have a couple
24 questions.

25 You know, we're very quick to dismiss clusters.

1 But, in this case, it's much more complicated than a
2 cluster. And I think there's some pieces of information
3 that we ought to get on the record.

4 First of all, these first two clusters were in
5 Navy men, as opposed to industrial employees. And the
6 presumption that I would have is that their welfare was
7 not probably looked after quite as much as it might have
8 been had they been working in a company. I don't know if
9 that's true or not. But it sounds like that might be true
10 from the way they were distributing the material, because
11 they were just dripping it over objects. Is that fair?

12 MS. ROTH: Yes.

13 CHAIRPERSON MACK: Okay. The second question --
14 and this is really important with respect to clusters --
15 is how they came to be noticed. The first one --
16 presumably it almost doesn't make any difference how it
17 came to be noticed, whether it was because of the men
18 themselves or a person in the Navy who noticed it or
19 whatever. But the question I have is, over what period of
20 time did the three cases occur. Do you know?

21 MS. ROTH: Just a minute.

22 CHAIRPERSON MACK: And a related question is --
23 I'm just verifying -- they were all the same cell type of
24 testicular cancer?

25 MS. ROTH: Yes, all the same cell type.

1 I believe it was over the short course of a
2 couple years.

3 CHAIRPERSON MACK: A couple years, ten, or a
4 couple years, three, or --

5 MS. ROTH: Let's see here. They all occurred
6 between 1981 and 1983.

7 CHAIRPERSON MACK: Okay. Then the second
8 question is -- the second cluster in the other military
9 facility was uncovered by the epidemiologist who observed
10 or worked on or worked up or investigated the first one.
11 And is it true that he looked at that facility strictly
12 because it was the same kind of exposure circumstances,
13 not because anybody reported cases from that other
14 facility to him independently?

15 MS. ROTH: Yes. They decided to look at two
16 other facilities, one which is this Navy F4 repair
17 facility that performs the same operation to see if there
18 were cases there; and then the third facility where they
19 found no cases, which did not have the DMF exposure. It
20 was a different type of aircraft that they were repairing
21 so the procedure was different.

22 CHAIRPERSON MACK: And do we know that those cell
23 types of those four cases in the second facility were also
24 the same as the ones in the first facility?

25 MS. ROTH: I believe so.

1 CHAIRPERSON MACK: Martha's coming to your
2 assistance.

3 MS. ROTH: They're all reported as germ cell.

4 CHAIRPERSON MACK: Okay. Next question: Over
5 what period of time did those occur?

6 MS. ROTH: Those occurred from 1970 to 1983.

7 CHAIRPERSON MACK: Okay. So that's a longer
8 period of time.

9 MS. ROTH: A little bit longer.

10 CHAIRPERSON MACK: Okay. Now, the third question
11 is with respect to the cluster among the tanning workers.

12 Can I presume that that cluster came to the
13 attention of the State of New York in ignorance of the
14 naval clusters? Or did they, in fact, look for it because
15 of the naval clusters?

16 MS. ROTH: I believe the men were actually
17 working together and found it them -- or maybe -- hold on
18 just a second.

19 CHAIRPERSON MACK: My recollection is that the
20 people themselves reported it --

21 MS. ROTH: Yes.

22 CHAIRPERSON MACK: -- through the union.

23 MS. ROTH: Yes. Yeah, they worked together on
24 one shift -- it was a night shift. And over the course of
25 finding out they're having the same treatment, they

1 brought it to the attention of investigators.

2 CHAIRPERSON MACK: And then the final question
3 relates to the cohort study that was done in that tanning
4 operation in New York. It was said that seven or some
5 proportion of the ten cases -- 50 percent of the ten cases
6 had exposure in the leather industry. Do we know -- now,
7 of course, there's lots of jobs in the leather industry,
8 and some of them may and some of them may not involve DMF.
9 And the question is, do we know any more about the jobs
10 that were involved and whether or not they were likely to
11 have had exposure?

12 MS. ROTH: No, they -- because of the way that it
13 was determined what their occupation was, it was very
14 general. And it also didn't go back very far. Often it
15 was just the previous -- the previous job. And so there's
16 not more information. And that's the best they could do
17 in the grouping, was to say leather-related occupations.

18 CHAIRPERSON MACK: Okay. Thanks a lot.

19 MS. ROTH: You're welcome.

20 CHAIRPERSON MACK: Does anybody else have any
21 more questions about -- David.

22 COMMITTEE MEMBER EASTMOND: Well, let me follow
23 up on a couple things.

24 With regard to the -- I guess these were the
25 pharyngeal/buccal tumors. The public comments had

1 indicated in the Chen, et al., that all of those had
2 occurred in heavy smokers that had smoked for like 20
3 years; is that correct?

4 MS. ROTH: I don't recall if it was all of them,
5 but it was a majority.

6 COMMITTEE MEMBER EASTMOND: Okay. And that was
7 just one I just wanted clarification.

8 MS. ROTH: But that also was the study where
9 there were a lot of limitations.

10 COMMITTEE MEMBER EASTMOND: Okay. The other
11 question has to do with kind of these possible mechanisms
12 of action -- and we'll get to this. But my impression
13 that there were a large number of short-term genotoxicity
14 studies done, something in the neighborhood of 40 or 50.
15 And there were like 4 or 5 that were positive. Is that
16 correct? I mean the IARC tables go on for several
17 pages --

18 DR. MORRY: The ones that were positive seem to
19 be more in the realm of the -- like clastogenicity, both
20 in humans and the animals. So it seems to be negative
21 usually in mutation assays, but positive for
22 clastogenicity.

23 COMMITTEE MEMBER EASTMOND: Okay. Thanks.

24 The other thing is kind of a clarification. The
25 mechanism which I thought was quite intriguing is it

1 facilitates permeation of other chemicals. That's really
2 only relevant for the human studies. The animal studies
3 are going to be the direct chemical itself, correct?

4 DR. MORRY: That's probably right. But there's a
5 possibility that there could be some carcinogens lurking
6 around even in a sterile clean laboratory, or they could
7 come from inside the animal itself. Like chemicals that
8 are normally sequestered in one tissue could be
9 facilitated to move to another tissue and could have
10 carcinogenic activity that way.

11 COMMITTEE MEMBER EASTMOND: One of the things I
12 also found was kind of intriguing was this idea that there
13 was this co-exposure to chromate-type compounds. And that
14 was kind of the ideas, that maybe these were facilitating
15 the penetration of these chromates. The question I had
16 is, are -- do you know if chromates are associated with
17 these sorts of germ cell tumors in humans?

18 DR. MORRY: I don't know.

19 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

20 SANDY: I'm not aware of that. And Dr. Jay Beaumont is
21 shaking his head, who's reviewed the literature on
22 hexavalent chrome.

23 MS. ROTH: Back to your first question about the
24 smoking and alcohol. It turns out 11 of the -- all 11
25 were heavy smokers, but only 2 were heavy drinkers.

1 COMMITTEE MEMBER EASTMOND: Okay. Thanks.

2 CHAIRPERSON MACK: Anna.

3 COMMITTEE MEMBER WU: I have a question about the
4 New York State Cancer Registry case control study. If I
5 read that paper correctly, they interviewed the cases to
6 assess exposure, but they didn't interview the controls.
7 Is that correct?

8 MS. ROTH: I believe they interviewed as many
9 people as they could. Sometimes they were deceased and so
10 they would interview the families. But, correct, I don't
11 think they were able to interview everybody.

12 COMMITTEE MEMBER WU: Did they also --

13 MS. ROTH: But they used -- go ahead.

14 COMMITTEE MEMBER WU: Did they also mention
15 whether they matched the cases and controls in terms of --
16 I can't remember what they tried to actually match for.
17 It wasn't very clear. Do you remember? Because I think
18 it was a very heterogeneous group of diagnosis among the
19 controls. But I couldn't tell what they were actually
20 trying --

21 MS. ROTH: Yeah, I don't see what was matched off
22 the top of my head at the moment.

23 COMMITTEE MEMBER WU: And they didn't give what
24 percent of controls were actually -- they managed to
25 interview versus using a surrogate. Because the cases,

1 they actually managed to interview more of them, right?

2 MS. ROTH: That sounds -- yes.

3 COMMITTEE MEMBER WU: Okay. Thank you.

4 CHAIRPERSON MACK: Joe.

5 COMMITTEE MEMBER LANDOLPH: Hi. I enjoyed your
6 presentation.

7 I had a couple of questions. One was for the
8 leather tanners. What other chemicals are in that
9 industry besides DMF? I think chromium is one that's
10 occasionally used. Is that true?

11 MS. ROTH: I'm not sure about exactly what's
12 used. But they did say, I believe it was in the NIOSH
13 report, that they were moving away from lead-based dye.
14 So I know that lead was possible as well.

15 COMMITTEE MEMBER LANDOLPH: Okay.

16 COMMITTEE MEMBER HOPP: I'm sorry. Did they
17 control for aniline dyes when they were looking into it
18 also at that time?

19 MS. ROTH: I don't recall if they mentioned that.
20 They might have, but not mentioned it.

21 COMMITTEE MEMBER LANDOLPH: And for the leather
22 tanners, the odds ratio of 5.8, it seems pretty high in
23 the Frumin study and the SIR in the Calavert study is
24 40.5. So these are pretty big numbers. And I don't know
25 if our epidemiologists would comment on them. But I want

1 to see if you can make them go away in my mind. I'm not
2 prepared to dismiss them yet. Do you have any doubts
3 about those numbers or any criticisms of them from your
4 point of view?

5 MS. ROTH: Well, there is the confounding issue
6 of other exposures, the exposure classification. They
7 didn't necessarily have as good of classification as
8 they'd like. But whether that would completely remove the
9 effect, I'm --

10 COMMITTEE MEMBER LANDOLPH: And are there any
11 other confounding exposures which you think could be
12 ascribed to the tumors that are induced, the testicular
13 tumors, the malignant melanomas, et cetera? Is there
14 anything definitely you could point to that would convince
15 you?

16 MS. ROTH: Well, besides co-exposures that we've
17 already discussed.

18 COMMITTEE MEMBER LANDOLPH: Okay. Just one more.
19 And I guess this is more to Dave.

20 So, Dave, I was struggling with those two
21 different animals, but I think your summary table's very
22 good. It seems to me in the Senoh studies, yes, I agree
23 with you, there was longer exposures, 24 months versus 18.
24 And Senoh pushed it to 800 parts per million versus the
25 400 that Malley stopped at. And then, in addition,

1 there's a different genetic background of the rats. And
2 Senoh's had positive in male and female of the mice and
3 the rats they used. And Senoh uses the Fisher 344 rats,
4 which the NTP studies use. So I think I can reconcile, in
5 my mind, the difference between those and still accept the
6 Senoh as positive.

7 What is your opinion of that?

8 DR. MORRY: I think you just summed it up very
9 well. Those are the factors that we can look at that
10 might account for the difference in the results. But, you
11 know, when talking about the higher dose in the Senoh
12 study, keep in mind that they did find statistically
13 significant increases at the same -- at the lower doses,
14 at 400 and below, which didn't show up in the Malley
15 study. So it can't be explained totally by just going to
16 the higher dose.

17 COMMITTEE MEMBER LANDOLPH: No. But also the
18 fact that you've got a trend, which was statistically
19 significant in a dose response in the Senoh studies makes
20 me unable to throw those studies away. Plus, the fact
21 that you've got them in males and females of both mice and
22 rats. That's a composite. It's a lot of data. Do you
23 agree with that?

24 DR. MORRY: Yeah. You know, they're four very
25 positive studies.

1 COMMITTEE MEMBER LANDOLPH: Yeah. Thank you.

2 CHAIRPERSON MACK: Thank you.

3 Sol, do you have anything?

4 COMMITTEE MEMBER HAMBURG: Yeah. One question
5 for the staff.

6 Is there any way to reconcile in the Senoh study
7 that the maximal tolerated dose would have been exceeded
8 because of the significant weight loss found in the mice,
9 as well as in the rats, and say that the 800 parts per
10 million was -- exceeded the maximal tolerated dose?

11 DR. MORRY: I think there's a question about the
12 maximum tolerated dose with regard to the female mice,
13 because they experienced more toxicity -- liver toxicity
14 than the male mice or the rats. So I think that's a
15 question for the female mice. But I don't think that's a
16 problem for the other animals.

17 COMMITTEE MEMBER HAMBURG: Despite the fact that
18 there was a significant weight loss in all the groups, I
19 believe, at the end of the study which was beyond 10
20 percent?

21 DR. MORRY: But there wasn't a decrease in
22 survival.

23 COMMITTEE MEMBER HAMBURG: No, there was not.
24 But one of the criteria, as stated by DuPont, is a
25 significant weight loss. And I think Senoh dismisses

1 that, but I want to know what your feelings are about
2 that.

3 DR. MORRY: I think Senoh dismisses it for the
4 other animals, but for the female mice they acknowledge
5 that that might -- that high dose might exceed the maximum
6 tolerated dose for the female mice.

7 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF
8 SANDY: If I could add. The question of whether the dose
9 is adequate or the dose is excessive has been addressed by
10 the U.S. EPA in their 2005 cancer guidelines. And they
11 suggest that, to make sure there's been adequate dosing,
12 you do want to see some weight loss. They also say that
13 excessive weight loss may be an indication of excessive
14 dosing. But I think that "may" is an important
15 qualification.

16 CHAIRPERSON MACK: David.

17 COMMITTEE MEMBER EASTMOND: Just a comment.
18 Maybe you can clarify this. But I went to the EPA cancer
19 guidelines and read this section. And DuPont had
20 excerpted part of it, but they had skipped a sentence.
21 And the sentence basically says, if the test agent does
22 not appear to cause any specific target organ toxicity or
23 perturbation physiological function, an adequate high dose
24 can be specified in terms of a percentage reduction of
25 body weight gain over the life span of the animals.

1 In this case, it appears that the test agent does
2 cause a specific target, organ effect. So there's much
3 more flexibility, I think, in this percent of body weight
4 gain. It's actually reduction in body weight gain rather
5 than loss.

6 So I'm not sure that 10 percent figure should be
7 held as sort of a standard in this case.

8 CHAIRPERSON MACK: Okay. If there are no more
9 questions, we'll go to the "comments" section. And I
10 understand we have a tag team presentation, one from
11 DuPont and the other from -- Stan Landfair and Linda
12 Malley.

13 I presume you're Stan.

14 MR. LANDFAIR: Yeah, thank you, Dr. Mack. Let us
15 get our act together here. Just a second.

16 Do you have our PowerPoints available to you on
17 your screen?

18 (Thereupon an overhead presentation was
19 Presented as follows.)

20 MR. LANDFAIR: Thank you, Dr. Mack; thank you,
21 Joan; and thank you to the remaining members of the
22 Committee.

23 I truly thank you for the opportunity to be here.
24 And we share the post-election glow. And we have brought
25 with you -- to talk to you, two DuPont personnel that I

1 think you'll want to talk to very much. And they had the
2 opportunity to see the country turn from red to blue as
3 they moved from east to west yesterday.

4 (Laughter.)

5 MR. LANDFAIR: My name is Stanley Landfair. I'm
6 from the Law Firm of McKenna, Long & Aldridge. I'm
7 pleased to represent DuPont.

8 Whenever I participate in these proceedings, I'm
9 always very mindful of the fact that I'm a lawyer, not a
10 toxicologist, and this is principally a scientific
11 judgment to be made.

12 What I would like to contribute, before
13 introducing our participants, by focusing just for a
14 minute on the criteria that govern your decision.

15 --o0o--

16 MR. LANDFAIR: And the standard is written into
17 the statute. We define a statute as known to cause cancer
18 if in the opinion of the State's qualified experts -- and
19 that's clearly you -- only if it has been clearly shown
20 through scientifically valid testing according to
21 generally accepted principles to cause cancer.

22 Now, I want to emphasize that, because sometimes
23 that gets lost in the discussion.

24 --o0o--

25 MR. LANDFAIR: And we're here not just to discuss

1 stationary.

2 Well, thank you.

3 It's very important that we go through that data
4 and that we have this opportunity to address this
5 collaboratively with you as well as with the agency, in a
6 way that we feel that if we had had this discussion a long
7 time ago, we would not be having this discussion now.

8 But we have brought before you the principal
9 author of the Malley study. Obviously, we've got a
10 perceived conflict between the results of the Malley study
11 and the Senoh study. The Malley study was commissioned by
12 the NTP. NTP asked DuPont to conduct it and to conduct it
13 according to NTP guidelines. And it was the basis of the
14 IARC conclusion, intending to show that DMF is not
15 carcinogenic. And we would like the same opportunity --
16 or the full opportunity to explain why we don't believe
17 the Senoh study is an adequate basis for changing that
18 conclusion.

19 At the same time, we -- or following that, we'd
20 also like to introduce Dr. Morel Symons, who's the chief
21 epidemiologist for DuPont, who's prepared to address with
22 you, in considerable detail, all of the findings of the
23 Chen study, which again was a DuPont study.

24 And they are not new to this question. They're
25 authorities in this area. And we hope that you will be

1 just as probing with them in their questions to you as you
2 were to the staff, because they have quite a bit of
3 information to convey to you. And we're quite confident
4 that, at the end of the day, they can resolve any concerns
5 that you might have.

6 --o0o--

7 DR. MALLEY: I appreciate very much the
8 opportunity to present our position to the distinguished
9 members of this Committee. And I'm going to be presenting
10 the discussion of the animal studies today.

11 --o0o--

12 DR. MALLEY: And I just want to mention that
13 we've studied DMF toxicity for many years. We have a very
14 robust toxicity database and very complete with regard to
15 both repeated dose toxicity, developmental, reproduction,
16 metabolism, pharmacokinetics, genotox, and the
17 epidemiology study.

18 --o0o--

19 DR. MALLEY: The two studies in question are the
20 Malley study and the Senoh study. And you've already
21 noticed that they've both used the inhalation route of
22 exposure, both rats and mice, both identified the liver as
23 the target organ. But they both ended -- but they ended
24 up with different results at purportedly overlapping
25 exposure concentrations. And I can explain to you today

1 why we ended up with those different results.

2 And we feel that it's the differences in the
3 chamber atmosphere generation technique that Senoh used
4 that has resulted in a much higher systemic dose in that
5 study. We also believe that the MTD was exceeded in the
6 Senoh study, due to the higher concentrations and aerosol
7 deposition on the animals.

8 --o0o--

9 DR. MALLEY: Okay. So I'm sure you're very
10 familiar with the concept of maximum tolerated dose. But
11 I just want to take a second to review with you the EPA
12 and OECD guidelines that specify what it looks like when
13 the maximum tolerated dose has been exceeded.

14 First, as was mentioned, a significant decrease
15 in body weight gain. They also mention significant
16 changes in clinical chemistry; saturation of
17 detoxification and clearance mechanisms; and marked
18 changes in body weight, tissue morphology, and
19 histopathology.

20 And it's important to pay attention to the
21 maximum tolerated dose. Because when you saturate the
22 absorption and detoxification pathways, it can result in
23 tumor formation that's secondary to cytotoxicity.

24 And cancer that is observed only when you have
25 exceeded the MTD does not clearly show that the test

1 substance is a carcinogen.

2 --o0o--

3 DR. MALLEY: Okay. You've already seen the Senoh
4 data, so I'm not going to go through the tumor incidence
5 again. But I do want to call your attention to some other
6 additional parameters that are indicative of saturation of
7 the metabolic pathway and exceedance of the maximum
8 tolerated dose.

9 --o0o--

10 DR. MALLEY: You'll note on this slide that
11 there's a substantial decrease in body weight in the male
12 mice and the female mice, which you'll see on the next
13 slide, at all exposure concentrations in the Senoh study.
14 You'll also notice that the relative liver weight is
15 greatly increased at all exposure concentrations.

16 And Senoh presented the serum chemistry enzymes.
17 He measured three of them. I've only presented an
18 example, one here. But you can see that there's actually
19 a nonlinear change in the serum enzyme response.
20 Hepatocellular single-cell necrosis also has a nonlinear
21 increase incidence, as does the centrilobular nuclear
22 atypia has a nonlinear increase in incidence. And, of
23 course, you can see the nonlinear increase in the
24 incidence of the tumors as well.

25 --o0o--

1 DR. MALLEY: You see the very similar pattern in
2 the female mice, so I'm not going to belabor each and
3 every row.

4 --o0o--

5 DR. MALLEY: But this nonlinear response in the
6 serum enzyme activity, the tumor incidence, and the
7 non-neoplastic and pre-neoplastic changes indicate that
8 there has been a severe impact on the liver function and
9 that the maximum tolerated dose was exceeded at 200 parts
10 per million and above.

11 --o0o--

12 DR. MALLEY: Looking now at the Senoh rat study.
13 We see a similar pattern of effects, although not as
14 severe. Increase in relative liver weight. Increase in
15 serum enzyme chemistry. Increase in pre-neoplastic
16 spongiosis hepatitis. This occurs only in the male rats,
17 because it's a male-specific lesion. And increase in
18 hepatocellular adenomas and carcinomas, as previously
19 mentioned.

20 --o0o--

21 DR. MALLEY: In the female mice, it's a very --
22 or sorry -- female rats it's a similar pattern. In this
23 case, the female rats responded with an increase with the
24 centrilobular necrosis.

25 --o0o--

1 DR. MALLEY: Also, notably in this study, the
2 survival of the 800 part per million rats was
3 significantly impacted, which --

4 --o0o--

5 DR. MALLEY: -- again is another indicator of
6 exceeding the MTD.

7 So we have increased mortality. We have
8 substantially decreased body weight at 400 and 800 parts
9 per million. We have increased hepatic tumors at 400
10 parts per million and above. We have dose-related
11 increases in hepatic enzyme activity in males and females
12 at 200 parts per million and above. And all of these
13 parameters taken together indicate that there is a severe
14 impact on the liver function, which demonstrates that the
15 maximum tolerated dose was indeed exceeded at 400 parts
16 per million and above.

17 --o0o--

18 DR. MALLEY: Okay. As Stan mentioned to you, the
19 NTP conducted the preliminary 13-week studies in rats and
20 mice. And they approached DuPont to conduct the long-term
21 studies, because we had the facilities available that they
22 didn't have. The NTP had originally wanted to co-expose
23 the rats and the mice at the same time in the same
24 chambers at the same exposure concentrations. And they
25 didn't have chambers large enough to do that. And we had

1 the facility to do that, so we undertook this for them.

2 --o0o--

3 DR. MALLEY: So, we used exposure concentrations
4 of 25, 100, and 400 parts per million. And as you already
5 have seen from the data, we did not see any increase in
6 tumor incidence, neither adenomas or hepatocellular
7 carcinomas. We did, however, see an increase in relative
8 liver weight at 100 and 400 parts per million. And we saw
9 an increase in the hepatocellular single-cell necrosis at
10 25 parts per million and above.

11 --o0o--

12 DR. MALLEY: And we saw the same pattern among
13 the female mice as well.

14 --o0o--

15 DR. MALLEY: So, based on the criteria of
16 achieving an MTD but not exceeding an MTD, our study shows
17 that we did, in fact, achieve an MTD without exceeding the
18 MTD, at which there was no increase in the neoplastic
19 lesions.

20 --o0o--

21 DR. MALLEY: Let's look now at the rat study.
22 There was a significant decrease in body weight at 400
23 parts per million in the males and 100 and 400 in the
24 females, increased liver weight at 400 parts per million,
25 increase in serum sorbitol dehydrogenase activity. This

1 is an enzyme that Senoh did not measure. It turns out
2 that it's more sensitive than the enzymes that he did
3 measure. We measured also the aspartate aminotransferase,
4 alanine aminotransferase, lactose dehydrogenase. And we
5 didn't see any increase in those enzymes. The only enzyme
6 that we had an increase in, and it was a very minimal
7 increase, was the sorbitol dehydrogenase activity.

8 We saw an increase in the hepatocellular
9 single-cell necrosis at 400 parts per million. And no
10 increase in the incidence of adenomas or carcinomas.

11 --o0o--

12 DR. MALLEY: And you can see here the data for
13 the female rats.

14 --o0o--

15 DR. MALLEY: To summarize, we saw a decreased
16 body weight, minimally increased serum sorbitol
17 dehydrogenase activity, increase incidences of
18 non-neoplastic microscopic changes at 400 parts per
19 million and above.

20 All of these collectively taken together indicate
21 that we achieved the MTD, but did not exceed the MTD. And
22 we did not increase any neoplastic lesions.

23 --o0o--

24 DR. MALLEY: All right. You've already seen that
25 there's similarities between the studies. But in order to

1 understand what happened and why there's such a difference
2 between our study results and the Senoh study results, we
3 have to do a careful side-by-side comparison of the
4 studies and the techniques that they used and that the
5 DuPont team used.

6 --o0o--

7 DR. MALLEY: First of all, the obvious thing is
8 is the exposure duration for the mice is 18 months. This
9 was specifically guideline driven by the EPA guideline as
10 requested by NTP.

11 The method of atmosphere generation, I'm going to
12 go into great detail about that on the next slide. And it
13 is very important to the discussion. And the dose level
14 selection for the two studies is important. And the
15 differences in the rodent strains is going to be
16 important.

17 --o0o--

18 DR. MALLEY: Okay. So let's go into the method
19 of atmosphere generation.

20 First, I'd like to point out to you that the
21 vapor pressure of DMF is low at room temperature. It's
22 only 2.6 millimeters of mercury. This means that it's
23 very hard to generate this vapor without generating --
24 co-generating an aerosol. And it has a propensity to
25 condense not only upon itself but on cold surfaces.

1 So in order to use these large exposure chambers
2 that we had, the nine cubic meter exposure chambers, we
3 had to develop a method to ensure that we had only vapor
4 present in the chamber. And the reason why you want to
5 have only vapor is because if you end up with an aerosol
6 in the exposure atmosphere, that aerosol is going to
7 deposit on the fur of the animals and on the exposed skin
8 surface area of the animal.

9 And in the case of DMF, which is very extensively
10 absorbed by dermal exposure, this makes a significant
11 difference.

12 So it was very important to prevent formation of
13 aerosol in the exposure chamber.

14 So to do this, we had to use heated air that we
15 pumped into a J tube, which you have a diagram of on your
16 slides. The DMF was dripped down -- literally dripped
17 down the sides of the J tube and the heated air pumped up
18 through the J tube. This formed the vapor that was
19 desired. But we also had to keep the entire apparatus
20 heated while we did this. Otherwise, we found through our
21 experience that we would end up with condensation
22 occurring as the vapor entered the chamber. And we had to
23 ensure ourselves that we didn't have an aerosol in the
24 chamber.

25 We also -- one of the other things we did was to

1 keep the airflow in the chamber very high. We had 1,100
2 liters per minute of air flowing through the chambers.
3 And I don't know if you have any perspective for that, but
4 it was -- that's a very high airflow. It does meet the
5 OECD guidelines for 12 air changes per hour. And this is
6 important, because if you have less than appropriate
7 airflow in the chamber, you can get a buildup of ammonia
8 from the excreta of the animals. So you'd be co-exposing
9 the animals to not only the test material of choice, but
10 also to the high concentrations of ammonia.

11 Okay. So how did we assure ourselves that, we,
12 DuPont, how did we assure ourselves that we did not have
13 an aerosol in the chamber? We used a cascade impacter to
14 demonstrate that we did not have any detectable aerosol in
15 the exposure concentration. Because GC chromatography,
16 which we also used, will not distinguish between an
17 aerosol or a vapor. It will only give you total amount in
18 the air. So, we were assured that our generation
19 technique did not result in any aerosol formation.

20 On the other hand, when I closely examined the
21 Senoh paper, they wrote in their paper that -- in this
22 first bullet, under the Senoh, that they sprayed liquid
23 DMF into the air space of the solvent generation chamber.

24 Now, I don't have a picture of their solvent
25 generation chamber, but I do know from working with DMF

1 that if you spray the liquid DMF into the chamber as an
2 aerosol, if you start out as an aerosol, and you have a
3 low flow through the chamber, which they did, it's going
4 to remain as an aerosol. It is not going to vaporize to a
5 substantial extent. So that you will have a vapor aerosol
6 phase in the chamber.

7 Now, Senoh reports that he used air changes --
8 six air changes per hour. He didn't report the actual
9 airflow through the chamber.

10 But six air changes per hour is not adequate to
11 prevent co-exposure to ammonia. And he apparently also
12 co-exposed rats and mice in the chamber, 50 of each sex.
13 So we're talking about 100 rats and 100 mice in the
14 chamber together for six hours. So the ammonia
15 concentrations are going to get pretty high, unless you do
16 something to make sure that you clear them out.

17 So his -- and he only used GC to sample his
18 exposure chamber concentrations, which would again not
19 have detected the presence of the aerosol in the chamber.

20 --o0o--

21 DR. MALLEY: So, we believe that the delivered
22 dose in the Senoh study is most likely much greater than
23 the measured air concentration, because these animals
24 would have had the aerosol deposit on their fur and the
25 animals would subsequently groom themselves and obtain an

1 The CD-1 mouse, you'll see in its nomenclature
2 here the ICR designation. That designation indicates that
3 this mouse is genetically the same. Whether you buy the
4 mice in Pittsburgh or whether you buy the mice in India or
5 you buy the mice in Korea, they are genetically the same
6 worldwide. They are the gold standard for conducting
7 oncogenicity studies.

8 The BDF1 is a hybrid mouse of the C57BL/6 and DBA
9 strains. This is an uncommon strain. In fact, I tried to
10 find information on the longevity of this strain and the
11 baseline tumor incidence of this strain, and even Charles
12 River, who supplied the mice, did not have a baseline set
13 of tumor -- or baseline tumor profile for these mice.

14 Typically, hybrid mice like this are used for --
15 and I don't -- specific animal models of disease or used
16 for specific therapeutic models that people want to test.
17 They're not typically used in hazard identification
18 studies, such as the one that we undertook. And, in fact,
19 the OECD guidelines specify that you need to use commonly
20 used laboratory strains in your studies.

21 So because this strain is uncertain with regard
22 to its response to both noncarcinogens and carcinogens,
23 the applicability of this strain for risk assessment is
24 really not clear.

25 Okay. You're going to have to...

1 --o0o--

2 DR. MALLEY: Okay. Well, I was going to talk
3 about genotoxicity after this anyway.

4 As was presented, DMF has been well studied with
5 regard to genotoxicity. And, in fact, there are over 66
6 genotoxicity studies, both in vitro and in vivo. They've
7 tested bacteria, yeast, insects, mammalian derived cell
8 lines, and in vivo.

9 It was negative in approximately 20 in vivo,
10 mammalian, and insect assays. And it was positive in only
11 6 in vitro assays.

12 Now, this was extensively reviewed, as was
13 brought out by the IARC Committee in 1999. And IARC
14 concluded that it was -- the negative -- the results have
15 been consistently negative in well controlled studies.
16 The six positive in vitro studies all had issues with them
17 that made them not -- to be considered not well
18 controlled.

19 --o0o--

20 DR. MALLEY: So, to summarize. DMF only induces
21 hepatic tumors in situations where the metabolism is
22 saturated and there is evidence of severe hepatocellular
23 cytotoxicity. We've already demonstrated and mentioned
24 that the liver is the target organ. And we've presented
25 data that it's not genotoxic.

1 It was brought up about two human studies in
2 which there was genotoxicity information suggestive that
3 DMF exposure caused an increase in mutations. But there
4 was -- it was confounded by a co-exposure to other
5 chemicals.

6 There was one study in human workers that had an
7 increase in chromosomal aberrations. The problem with
8 this study -- I did review this study. The problem with
9 it is that it did not take into account the smoking
10 history or the alcohol consumption history of these
11 people. And it was a very small, extremely small sample
12 size.

13 So to conclude, based on that piece of evidence
14 alone, that DMF is genotoxic or weakly genotoxic is not an
15 appropriate conclusion.

16 Are there any questions, at this point, on the
17 animal data before I turn the podium over to my colleague,
18 Morel Symons?

19 COMMITTEE MEMBER LANDOLPH: Hi. Thank you very
20 much for your presentation. It's nice to have you here.

21 I had a couple of questions. First one is with
22 regard to strains. Now, the NTP usually has used a B6C3F1
23 mice. And how does your strain differ from that?

24 DR. MALLEY: They're very similar in their tumor
25 response. The NTP used the B6C3F1 strain for their

1 13-week study. And, in fact, the B6C3F1 was used for the
2 metabolism studies that I presented to you that were
3 conducted by Hundley. So the results between the studies
4 of the different -- the B6C3F1 strain, I expect those
5 results to be similar to the CD-1 mouse strain.

6 COMMITTEE MEMBER LANDOLPH: And is there a reason
7 you chose to use CD-1 rather than B6C3F1?

8 DR. MALLEY: It was just based on our own animal
9 husbandry. We have great historical control data for the
10 CD-1 mice and we didn't have as much on the B6C3, and so
11 we felt that we should use the one where we had the better
12 historical control database.

13 COMMITTEE MEMBER LANDOLPH: Thank you.

14 And then I had a question on your male mice
15 studies. I was noticing going down the table that there
16 is a very high frequency incidence of hepatocellular
17 adenomas in the male mice, 13 out of 60 in the untreated
18 control group. Is that unusual according to your
19 historical controls?

20 DR. MALLEY: No, that was within our historical
21 control range.

22 COMMITTEE MEMBER LANDOLPH: Okay. And then the
23 second question, there seems to be a big difference
24 between the male and the female mice, because the female
25 mice get zero out of 63 hepatocellular adenomas in the

1 controls. Is that also consistent with your history? And
2 is it -- you just think it's a sex hormone difference or
3 something causing that?

4 DR. MALLEY: Yes, that's consistent with our
5 historical control data. And, yes, there does appear to
6 be a sex difference. But if you notice, throughout the
7 data I presented to you, there are various sex differences
8 both in the rats and the mice in their response to DMF.
9 So that's not unusual.

10 COMMITTEE MEMBER LANDOLPH: And then in your
11 female mice studies, the hepatocellular carcinomas go --
12 they're clearly negative. But in the males, the
13 hepatocellular carcinomas go zero out of 60, 1 out of 62,
14 4 out of 60, 2 out of 59. Did you do statistical analysis
15 of that for the trend test?

16 DR. MALLEY: Yes. And it's not significant.

17 COMMITTEE MEMBER LANDOLPH: But it is an increase
18 over the background for hepatocellular carcinomas?

19 DR. MALLEY: Right. But the background, you have
20 to understand that that's the -- just the control. It's
21 not increased over our historical control range. And an
22 increase of 1 or 2 is biologically insignificant.

23 COMMITTEE MEMBER LANDOLPH: Thank you.

24 CHAIRPERSON MACK: Sol, do you have anything?

25 COMMITTEE MEMBER HAMBURG: Not right now.

1 COMMITTEE MEMBER WU: I just am curious.

2 Actually, when you look at the mice Senoh paper and yours,
3 you know, forgetting about over 400 ppm, if you just look
4 at the lower doses, really the difference is really
5 between the 0 ppm group and the next group. It's really
6 the baseline group that really differ in the two studies.
7 So I'm -- as an example, in your study, the relative liver
8 weight -- and they were pretty consistent in both male and
9 female mice. And in the Senoh studies really the zero
10 group, the baseline group is really different.

11 So I'm wondering -- I just want to see if you
12 have any insights as to what -- it has nothing to do with
13 even, you know, what dose are they using. It's really the
14 baseline group that differs.

15 DR. MALLEY: The relative liver weight that you
16 see there, that's not the absolute liver weight. That's
17 the liver weight divided by the body weight of the animal.
18 So you can't compare the relative liver weight of the mice
19 in the Senoh study directly to the mice in the Malley
20 study, because the body weights are different between the
21 animals, between the different strains. So it's a
22 function of the body weight.

23 Did I answer your question? I'm not sure I did.

24 COMMITTEE MEMBER WU: I'll think about it.

25 DR. MALLEY: Pardon?

1 COMMITTEE MEMBER WU: I'll think about your
2 answer.

3 DR. MALLEY: Okay.

4 CHAIRPERSON MACK: Sol.

5 COMMITTEE MEMBER HAMBURG: Do you have any data
6 for 24 months rather than 18 months at all that you could
7 speak to?

8 DR. MALLEY: In the B6C3F1?

9 COMMITTEE MEMBER HAMBURG: In your study.

10 DR. MALLEY: Oh, in the CD?

11 COMMITTEE MEMBER HAMBURG: Did you extended any
12 further than --

13 DR. MALLEY: No, we did not extend it. We
14 followed the EPA guideline. And we were working in
15 collaboration with the NTP, and that was their
16 specification to end the study at 18 months.

17 The 18 months is a standard regulatory end of
18 study for mice, because of their longevity and age-related
19 diseases that they develop. If you're registering
20 pesticides or other chemicals, you either do it -- you do
21 an 18-month mouse study and a 2-year rat study. So the
22 18-month is typical of what you're supposed to do for any
23 compound, whether it's pesticides or chemicals.

24 COMMITTEE MEMBER HAMBURG: Okay. And a follow-up
25 question.

1 Was there any necropsies done on animals earlier
2 than 18 months at all to look for liver toxicity earlier?

3 DR. MALLEY: Yes. We did -- we had an ancillary
4 group of animals in -- both ancillary group of mice and
5 rats, in which we measured the cell proliferation activity
6 in these animals. I didn't present this data because it
7 was negative. It was not interesting. But we did interim
8 necropsies at, I think it was, 3 months, 6 months and 12
9 months. And not only did we not see any increase in cell
10 proliferation activity in either the rats or the mice; we
11 didn't see any liver pathology either.

12 COMMITTEE MEMBER HAMBURG: Okay. And the reason
13 you max'd out at 400 plus per million rather than 800?

14 DR. MALLEY: Is because of the saturation of
15 metabolism.

16 COMMITTEE MEMBER HAMBURG: Thank you.

17 CHAIRPERSON MACK: David.

18 COMMITTEE MEMBER EASTMOND: I have a number of
19 questions for you.

20 Let me just start with the first one. I found
21 the Malley study a little unusual in that rather than
22 talking about number of tumors per animal, it's number of
23 tumors per tissue examined. And so it was virtually -- it
24 was very difficult to figure out how many animals were
25 actually examined. Is that -- I mean, that seems very

1 unusual to me. I'm assuming that it was one tissue per
2 animal, but it's very unusual they would present it that
3 way.

4 DR. MALLEY: On the slides or the presentation, I
5 have shown the data as per animal.

6 COMMITTEE MEMBER EASTMOND: Okay. Because in the
7 paper it's per tissue examined.

8 DR. MALLEY: Actually, I think it's per animal.
9 It may be written as -- it may be inferred as per tissue,
10 but it is per animal.

11 COMMITTEE MEMBER EASTMOND: Okay. Footnote B
12 says per tissue examined.

13 The other question I have really comes down to
14 this issue about maximum tolerated dose. And I'm trying
15 to follow. You have a couple of arguments here.

16 One is that there's extensive -- there's
17 non-neoplastic toxicity seen in the target organ. But if
18 I look at many other carcinogens, that's very common. For
19 example, with benzene you see myelotoxicity initially, and
20 eventually you'll see leukemia. If you look at hormones,
21 you'll see cell proliferation in a target organ.
22 Eventually, you'll see cancer. So the fact that you have
23 toxicity occurring in a target organ for me doesn't negate
24 the value of that study.

25 And I mean -- I don't know. That's the one

1 issue, and I don't know if you want to respond to it.

2 The second one -- there's actually several of
3 them. I don't quite understand the saturation argument,
4 because I could make the same argument with benzene.
5 Benzene saturates the metabolism in humans. It saturates
6 somewhere between 1 part per million. And yet humans were
7 exposed to much higher concentration of that, and that's
8 where the leukemias are seen.

9 So if you say, well -- if you were establishing
10 animal studies, you say, well, we would discount any
11 studies above 1 part per million, because it's above
12 saturation; well, then you may never have picked up that
13 benzene causes leukemia.

14 So can you elaborate a little more on that, on
15 the saturation issue, why that is particularly relevant in
16 this case?

17 DR. MALLEY: It's relevant because you've altered
18 the physiology of the animal and their ability to handle
19 the test material and other things that they would be
20 exposed to in their environment. And once you've altered
21 the physiology of the animal, the response is not as
22 relevant as if you have an animal that is functioning
23 normally -- in its normal physiological state.

24 Yes, you can see that benzene or, for example --
25 perhaps let's use saccharin as an example. There's a

1 two-year rat study where animals were dosed with high
2 doses of saccharin, which exceeded the maximum tolerated
3 dose, and you ended up with bladder tumors.

4 There's lots of these cases where you have
5 exceeded a maximum tolerated dose and you ended up with a
6 tissue response that is not relevant to the normal use of
7 that material.

8 So, you know, a normal use is not going -- for a
9 normal use with DMF, for example, is prescribed to be
10 capped at 10 parts per million. That's the TLV, that's
11 the DuPont acceptable exposure limit, it's the MAK, it's
12 all -- a number of countries have their own regulatory
13 guidelines capping the exposure concentration at 10.

14 And the guidelines for setting doses say that
15 you're supposed to use realistic exposure concentrations.
16 So if your known exposure is going to be 10, and you're
17 exposing them to 800 parts per million and you get tumors,
18 that's not relevant to what's happening at 10. You
19 understand the --

20 COMMITTEE MEMBER EASTMOND: Oh, yeah, I certainly
21 understand. This is a classic issue with design of animal
22 cancer studies. The animal cancer studies use small
23 numbers of animals. And so, therefore, you use higher
24 doses because you're trying to extrapolate to very, very
25 large numbers of individuals in the populations you

1 expose.

2 So I mean artificially lowering the doses just
3 because you have a TLV at 10 ppm or something is not
4 commonly done for many different types of cancer studies,
5 because you're working with small numbers of animals
6 relative to the population when we exposed.

7 DR. MALLEY: But these animals were exposed up to
8 400 parts per million. And that was above the level of
9 saturation in mice and approached the -- was close to the
10 level of saturation in rats -- metabolic saturation. If
11 we went higher, we would have altered the physiology of
12 how these animals were able to respond to the test
13 material and we would have altered the tumor profile. If
14 we had gone higher, it would have changed the animal's
15 ability to clear the test material from the body and
16 ultimately the damage would accumulate.

17 COMMITTEE MEMBER EASTMOND: Then the Senoh
18 studies, they saw increase in hepatocellular carcinomas at
19 the 200 ppm concentration in the mice. So this is
20 actually -- certainly hasn't exceeded your -- you know,
21 what you said as far as the kinetic profile or where you
22 believe saturation is occurring. So there's a significant
23 increase even at the lowest tested dose.

24 DR. MALLEY: You have to keep in mind that the
25 Senoh study, we don't really know that they got 200 parts

1 per million. They probably got a much higher dose. We
2 just don't know what that dose is.

3 COMMITTEE MEMBER EASTMOND: I have one more.

4 The one other thing was when you went through
5 some of these different agencies that have their maximum
6 tolerated dose that, you know, you referred to, I spent
7 several hours in the library yesterday looking at maximal
8 tolerated dose in reviewing this and looking. And
9 actually, you've kind of selectedly presented that
10 information, both in your written document and your
11 presentation. Because in the EPA cancer guidelines in the
12 2005, it says these may be used or they implied they may
13 not be used, that it really is a judgment call based upon
14 whether these different criteria are seen.

15 And, in fact, that doesn't come across in my
16 mind. And the overheads say these are the sort of
17 criteria -- well, it's left very much in disorder, the
18 judgment call; these may be of interest, they may not be.
19 As I mentioned before, there's a specific sentence where
20 you have target organ specific toxicity that decrease in
21 body weight gain doesn't appear to be as sort of a
22 critical threshold. At least that's in the guidelines as
23 I read them.

24 DR. MALLEY: Yes. But you still had increases in
25 non-neoplastic histopathological changes, indicating that

1 we did achieve an MTD in the Malley studies.

2 The issue of why we wouldn't use the doses that
3 we used was 1) we didn't want to saturate the metabolism
4 pathway, 2) we wanted to stay within the realm of the
5 realistic exposure concentrations. And we didn't want to
6 exceed the maximum tolerated dose, because once you have
7 done that, the ability to interpret the results, it leads
8 you to the exact situation that we're in now. We don't
9 know how to interpret the Senoh results, because they
10 exceeded the maximum tolerated dose. We don't really know
11 what dose they received. And since they've exceeded it,
12 it makes it very difficult to interpret their results and
13 use them for risk assessment. And that's ultimately what
14 we're conducting the study for, is for risk -- the
15 purposes of risk assessment and understanding the risk to
16 human beings who might be exposed.

17 We didn't do this study as a research type of
18 study. We're doing it specifically to address risk
19 assessment and knowing how best to protect people who
20 might be exposed to the chemical.

21 COMMITTEE MEMBER EASTMOND: One last comment.

22 CHAIRPERSON MACK: I think we should probably
23 move on, unless you've got something really --

24 COMMITTEE MEMBER EASTMOND: Just one last
25 comment.

1 Well, it's not critical. That's fine.

2 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

3 SANDY: Dr. Mack, may I ask one question of clarification?

4 CHAIRPERSON MACK: Yes, Martha.

5 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

6 SANDY: Dr. Malley, in your presentation you discuss the
7 method of generation of the DMF vapor by Senoh, et al.

8 But I'm reading their paper on the toxicity due to 2-week
9 and 13-week inhalation exposures.

10 DR. MALLEY: That's where you find that --

11 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

12 SANDY: And if I read it correctly, in their "Method"
13 section the 2-week exposure study they generated that DMF
14 vapor air mixture by spraying liquid DMF into the
15 airspace. However, they say in the 13-week exposure study
16 the vapor air mixture was generated by bubbling clean air
17 through the DMF liquid in the solvent reservoir, further
18 diluting the vapor air mixture with clean air and supplied
19 to the inhalation exposure chamber.

20 DR. MALLEY: The bubbling has the same action as
21 spraying it. If you are bubbling the test material, you
22 get an aerosol. If you spray the test material, you get
23 an aerosol.

24 We worked with the DMF quite extensively during
25 our method development phase for the oncogenicity study.

1 And this was a really tricky compound to generate a vapor
2 without getting an aerosol in the chamber. Any time you
3 bubble air through it, you're going to get an aerosol. I
4 mean, we tried it and we got an aerosol. The only way we
5 could get the air -- the vapor was to drip it down the
6 sides of that J tube that I showed you while blowing air
7 up through the J tube, because we tried a lot of different
8 things during our method development that didn't work.
9 And I remember, anecdotally, the technician called me on
10 the telephone and said, "It's raining DMF in our
11 chambers." And --

12 (Laughter.)

13 DR. MALLEY: -- so, you know, when they tell you
14 that, you know, you have to pay close attention to aerosol
15 versus vapor, because it really is a challenging material
16 to generate.

17 CHAIRPERSON MACK: Dr. Malley, you certainly have
18 gotten our attention. There are a couple more questions
19 even now.

20 Anna.

21 COMMITTEE MEMBER WU: Okay. I'll make it very
22 quick. And I hate to belabor this, but I'm still not
23 understanding.

24 So are you saying that, in fact, the 200 ppm
25 exposure level in the Senoh study is really not 200 ppm?

1 DR. MALLEY: Yes, that's exactly what I'm saying.
2 It is not 200 parts per million.

3 COMMITTEE MEMBER WU: What --

4 DR. MALLEY: It's not 200 parts per million,
5 because they have an aerosol in the chamber. The aerosol
6 is a liquid droplet. And the liquid droplet will deposit
7 on the fur of the animal. And the animals, once they're
8 in the chamber, they're going to groom themselves to
9 remove the deposited aerosol. So not only do you have the
10 inhalation exposure; you have the oral exposure and you
11 have the dermal absorption on the exposed surfaces of the
12 animal, you know, the tail, the paws, the ears and that
13 sort of thing. So you've got absorption by three routes:
14 Oral, dermal and inhalation. So we really don't know what
15 their dose was at any of those doses - 200 was probably
16 not 200, 400 was probably not 400, 800 was probably not
17 800.

18 CHAIRPERSON MACK: Okay. Martin.

19 Well, I have one quick stupid question. And,
20 that is, if the desire to avoid the aerosols is largely
21 because you don't want any dermal absorption because it
22 goes much more efficiently, why has nobody done a sequence
23 of dermal absorption studies, and starting at a very low
24 dose?

25 DR. MALLEY: We have dermal absorption studies.

1 I just -- it wasn't part of this data review, and so I
2 didn't present those data. But we do have dermal
3 absorption data for DMF. We have had an extensive amount
4 of dermal absorption data.

5 CHAIRPERSON MACK: And they have not produced
6 carcinogenic effects?

7 DR. MALLEY: We haven't tested it for -- in a
8 2-year study or in an 18-month study in mice. We do know
9 that from a very old study that subcutaneous injection of
10 DMF did not produce tumors, if that gives you an idea.
11 It's not directly the same, but it's pretty close.

12 CHAIRPERSON MACK: Okay. I think now we need to
13 hear from your colleagues.

14 DR. MALLEY: Thank you.

15 --o0o--

16 DR. SYMONS: Thank you. It's a great opportunity
17 to come speak to the Committee, and I appreciate it. I
18 also appreciate the effort that Lindsey put into it and
19 the consideration that she gave to the comments that we
20 provided.

21 To reiterate, Stan's discussion at the beginning,
22 it is unfortunate that our awareness of the document was
23 not timely enough to be able to work together in this.
24 But I'm hoping that we can use this as a way to do that in
25 future cases if the need arise.

1 Really, I want to concentrate on the history of
2 the epidemiology, noting that what we're looking at is
3 three groups of studies. And Lindsey characterized those
4 very well. But those three studies were all done over two
5 decades ago. And there have been no subsequent
6 epidemiologic analyses that we would consider to be a
7 comparative analysis, either of the case control or cohort
8 design. And one of the reasons for that is I don't
9 believe that there's been much to follow up on.

10 However, when we look at these three studies and
11 then group them, we have the initial cluster investigation
12 in F4 aircraft repairmen conducted by Ducatman and
13 colleagues. And, at that time, Dr. Ducatman was working
14 in the military as an environmental health investigator.

15 The cluster report, and then subsequent extension
16 to that into a case control study, and really what I would
17 qualify as a comparative incidence analysis. It's not
18 traditionally a cohort study in that it did not include a
19 large group of workers; nor did it consider many of the
20 other potential health endpoints that we would look at in
21 a cohort study. It focused exclusively on testicular
22 cancer. And that study is actually more of an industrial
23 hygiene report conducted by NIOSH investigators who
24 collaborated with work -- collaborated with researchers
25 from New York State Department of Health, from Mount Sinai

1 School of Medicine, and also representatives from the
2 workers' union who represented the leather tanner workers.

3 And then finally the cohort studies and case
4 control studies done by DuPont over two decades ago, which
5 I was not around for; but hopefully I can add some
6 perspective on, because they are consistent with protocols
7 that we've used since then.

8 But the central questions we want to address with
9 the human data are: Is the review, reanalysis, and
10 interpretation by OEHHA of the human data correct and how
11 we would look at this through an epidemiologic
12 perspective? And finally, do these human data support
13 listing under Proposition 65?

14 So if we go to the first slide.

15 --oOo--

16 DR. SYMONS: I've also characterized how these
17 studies were conducted, again noting that for the cluster
18 investigations and the leather workers, the focus very
19 early on was on a single outcome, testicular cancer. And
20 this is consistent again with how cluster investigations
21 are done.

22 The Ducatman study in aircraft repairmen did look
23 at seven cases. Among them was 1,300 white males who were
24 at three repair facilities. Two of those facilities had a
25 specific process used that involved a depotting solution

1 that contained DMF. One of those facilities did aircraft
2 repair, but in a different manner.

3 But looking at Ducatman's original report, it's
4 also notable that there was also simultaneous exposures to
5 many other chemicals in this occupation -- aluminum,
6 aluminum alloys, electroplated surface materials,
7 cadmiums, as well as zinc-chromate-based primer paints.
8 And none of these other chemicals were considered in the
9 discussion.

10 The leather workers study, which was published, I
11 think, within months of Dr. Ducatman's original paper,
12 started with an observation by three workers who had
13 testicular cancer at a leather tannery facility,
14 specifically the Pan American Tannery in Fulton County,
15 New York. And as is typical with many occupational
16 studies, that's really how some of these situations come
17 to our attention, workers experience a health outcome,
18 discuss among themselves, and notice some similarities and
19 bring it to the attention of people who then subsequently
20 do the research.

21 But it's also very important to focus on that the
22 research hypothesis that was generated for this study was
23 motivated exclusively by the earlier report in the
24 aircraft repairmen.

25 The case control and comparative incidence

1 studies that followed up on this were described in
2 separate reports and in additional documents that we have
3 provided in our packet and also that Lindsey had noted.
4 But, again, one of the key aspects of this study is that,
5 though the tannery reported historic use of DMF, there
6 were never any levels measured and there were not -- there
7 were no levels detected by NIOSH investigators when they
8 did an industrial hygiene analysis of the Pan American
9 Tannery.

10 And it's very important to establishing, again,
11 that this study focused on whether or not leather work was
12 associated with testicular cancer, not whether DMF itself
13 had any association with the cancer. Because one of the
14 key aspects of this, as we'll discuss, is that there are
15 lots of other chemicals used in leather working that have
16 a tremendous toxicity.

17 Finally, the DuPont studies were designed in
18 order to assess both acrylonitrile and DMF in fiber
19 production facilities. And that was the goal of our
20 cohort studies, those have been published under separate
21 papers detailing the acrylonitrile-exposed workers. In
22 fact, I recently published an update of 25 years of
23 follow-up on those acrylonitrile workers earlier this
24 year.

25 But the case-control study done by Walrath and

1 colleagues was also a part of DuPont's ability to try to
2 contribute to the science of DMF that was being published
3 at that time.

4 So why don't we move on.

5 --o0o--

6 DR. SYMONS: Looking at the cluster studies, the
7 initial report by Dr. Ducatman really details a
8 hypothesis. And, again, it's the great utility of cluster
9 studies and that we use them to posit a hypothesis before
10 we do more detailed analytic studies. And that
11 hypothesis, as Dr. Ducatman notes himself, was really
12 arrived at after eliminating other candidate risk factors
13 for DMF. And some of those candidate risk factors
14 involved family history, trauma, mumps, maternal exposure
15 to diethylstilbestrol, or DES, but did not really consider
16 the full suite of chemicals that these aircraft repairmen
17 were exposed to. And Dr. Ducatman himself concluded that
18 the investigation raised, but did not prove the
19 hypothesis.

20 That was subsequently followed by the report by
21 Levin and colleagues. A letter to the editor of the
22 Lancet describing the clinical history of these three
23 testicular cases at the Pan American Tannery. And they
24 state in their letter -- and I've excerpted the quote
25 here -- that DMF became the focus of concern in light of

1 the report by Ducatman, et al.

2 So we did have a cluster situation in this
3 leather facility, but the researchers themselves posited
4 the hypothesis only because they were aware of Ducatman's
5 recent publication.

6 And, again, I'll go into the details of leather
7 tannery and the workers' exposures. But it's important to
8 realize that that DMF hypothesis was not an original part
9 of the leather workers' investigation. It became informed
10 by what we derived from the cluster report by Ducatman.

11 And one of the notes that I wanted to make here
12 is in both of these case studies -- and I believe Dr. Mack
13 had asked this question earlier -- what was the profile of
14 testicular cancer in these clusters? And they both
15 involved a mix of seminomas and embryonal cell
16 carcinomas -- or embryonal cell cancers. And
17 unfortunately, I don't have enough of a background to
18 understand -- a medical background to understand if
19 there's a distinction -- I believe you on the Committee
20 probably have more of a medical familiarity with the
21 distinctions of testicular cancer. But I did want to note
22 that this is in a mix of testicular cancers in both of
23 these studies.

24 And I would direct your attention to Table 1 in
25 Dr. Ducatman's 1986 paper where he lists the diagnoses,

1 and then also the letter by Levin to the Lancet where he
2 describes the case histories of the three cases and notes
3 that there was a mix of these two testicular cancer types.

4 --o0o--

5 DR. SYMONS: I don't really need to spend much
6 time on the limitations of cluster studies, as they're
7 well known.

8 Again, they are very useful for generating
9 hypothesis. But they do not provide us with any
10 comparative analysis and they don't document any direct
11 DMF exposure for us to assess. And, again, both of these
12 occupations involve a lot of other chemical exposures that
13 were not considered.

14 But I did want to note the last bullet on this
15 slide, which is, if we're talking about high exposures to
16 DMF, we have a very good physiological signal of that, and
17 it's acute symptoms that are consistent with increased DMF
18 exposure usually in the order of greater than 10 parts per
19 million. And those include dermal flushing, or reddening
20 of the face. Alcohol intolerance is also reported by
21 workers who have high exposures to DMF. And liver disease
22 or acute liver damage is a consistent symptom reported by
23 those who are overexposed to DMF. And none of these
24 symptoms are documented in either the Ducatman or in the
25 New York leather tannery worker studies. In fact, the

1 NIOSH report explicitly states that they did not detect an
2 increase in any of these symptoms in the exposed workers.

3 --o0o--

4 DR. SYMONS: So if we look at the extension of
5 the leather workers' study, it's reported actually in
6 three documents: The State of New York's Department of
7 Health report, which subsequently became an abbreviated
8 publication in the CDC's MMWR, with the lead author being
9 Frumin.

10 And then a third study, which I would have to
11 apologize again, I just became aware of this study last
12 week -- and I do believe that we've provided a copy of it
13 to you -- conducted by the New York State Department of
14 Health. Specifically, the lead investigator is Elizabeth
15 Marshall. And this study complements the case-control
16 study and actually extends it beyond Fulton County, New
17 York, to the neighboring Montgomery County, New York, and
18 adds an additional nine cases of testicular cancer to the
19 grouping. So what we're talking about in the Marshall
20 study is 19 total cases of testicular cancer in both of
21 those counties.

22 And we did provide a copy to you. And, as I
23 said, unfortunately I did not become aware of this until
24 after we had already filed our draft response. So it is
25 new information. But I hope to show you some pertinent

1 details from it that may shed light on the follow-up in
 2 the leather tanner workers.

3 Again, it's been noted by Lindsey as well as in
 4 our response, but there is a lack of any exposure
 5 estimates to DMF. It was no longer used at the index
 6 facility at the time the study was done. And there were
 7 no historic samples documenting its presence.

8 And there was no assessment done for any of the
 9 other chemicals used in the leather tannery. In fact, the
 10 NIOSH study has an appendix that lists all the chemicals
 11 that were contained in the inventory of the Pan American
 12 Tannery. And you can see there are quite a number there.
 13 And these include some metals; principally, as Lindsey
 14 noted, lead-based dyes; some synthetic dyes, which contain
 15 benzidine and anilines; as well as glycol ethers. And
 16 glycol ethers are known testicular toxins. They've not
 17 been shown to be carcinogenic, but they do do extensive
 18 damage to the testes.

19 Next slide.

20 --o0o--

21 DR. SYMONS: So when we look at this case-control
 22 study, and this was captured by Lindsey's review, there
 23 are two really strong biases that really impact our
 24 ability to derive an inference from the reported risk
 25 estimate. And those biases, in epidemiology we would

1 classify them as a selection bias; that is, that there's a
2 different age distribution between the cases and controls
3 in this study. Testicular cancer predominantly affects
4 young males, between the ages of 20 and 35. That's been
5 noted.

6 But in the case-control study, we will see that
7 the controls are on the order an average of a decade
8 older. And this leads to an information bias that was
9 raised by one of the questions earlier, which is that the
10 exposure classification for these workers relied on a full
11 case history -- a full work history for the cases. But
12 the most recent occupation, at the time of other cancer
13 diagnosis for the controls, was the only work assignment
14 noted.

15 So what we're looking at is a distinct bias in
16 terms of cases had full work histories taken, including
17 "ever work at leather tanneries?" Whereas, controls only
18 had their work -- their occupational assignment at the
19 time of their diagnosis. And given that the controls were
20 on average older than the cases, they had probably had,
21 first of all, a more extensive work history; but, second
22 of all, may have left leather working as they got -- or
23 leather tannery work as they got older.

24 And so the inference that we derived from odds
25 ratio is biased, and we don't even know the direction of

1 that bias.

2 Since the exposures defined only as "ever working
3 at a leather tannery" and does not comprise any DMF
4 information whatsoever, the only inference we can describe
5 from that risk estimate is whether or not leather work
6 itself, with all of its attendant exposures, is associated
7 with testicular cancer.

8 Next slide.

9 --oOo--

10 DR. SYMONS: So this is the details as I was
11 discussing in a potential selection bias.

12 This table captures both the cases as well as the
13 controls with known occupation in the study and those
14 controls who did not have an occupation listed on their
15 cancer registry or death certificate forms. And you can
16 see right away the average age for the cases is quite in
17 line with what we see, and testicular cancer primarily
18 affecting young males, the average age being almost 32
19 years; whereas the controls, who were selected because
20 they developed another form of cancer, but were also white
21 males, are for those with known occupation on average 47
22 years of age and for those without occupation were 41
23 years of age. And, you know, sometimes an average can
24 kind of smooth out distributional differences.

25 But I've also used the New York State Department

1 of Health information to categorize these by 10-year
2 groupings. And you can see that for the cases, the
3 predominant number of them were below 39 years of age.
4 Whereas for the controls, the predominant numbers were
5 above 40 years of age. And this is a very distinct
6 difference that's going to potentially bias the findings
7 from this study.

8 And if we look at the findings from this study,
9 the primary risk estimate is the odds ratio. And, again,
10 interpreting this odds ratio, you must pay specific
11 attention to the fact that what it indicates is that "ever
12 working in a leather tannery facility" has a 5.8 times
13 probability increase in developing testicular cancer.
14 There is no explicit mention of DMF exposure in this. And
15 again, as I've shown, leather work itself has a whole host
16 of chemical exposures that go beyond just DMF.

17 And so this slide is straight from the New York
18 State Department of Health study, and it shows you, in
19 kind of the simplest fashion, that is, the 2-by-2 table
20 that epidemiologists prefer, how the cases and controls
21 were exposed to this "ever working in a leather facility"
22 designation. And it also notes again that 29 controls
23 were missing any notification of exposure.

24 I've actually taken the liberty to revise the
25 results with just a very simple kind of adjustment, which

1 is: If we assume that those 29 controls had 50 percent
2 exposure to leather work, which would be consistent with
3 the case profile -- so rounding errors to dividing 29 by
4 2, I went with the, you know, kind of more liberal
5 estimate of 15 exposed and 14 not exposed, breaking that
6 group in half, and adding them to the table. And you can
7 see that what this does is it attenuates the risk estimate
8 closer towards a no-effect value of 1.0.

9 But, more importantly, because of the small
10 number of cases in this study, the confidence interval
11 begins to lose its significance. And this is really what
12 we're talking about here. Due to the small number of
13 cases in these studies, questions of statistical
14 significance are our predominant concern. And the
15 inability of this study to maintain statistical
16 significance with this slight adjustment is telling to the
17 potential effects that this bias may have on the odds
18 ratio that was reported in the original study.

19 Next slide.

20 --o0o--

21 DR. SYMONS: Now, turning our attention to the
22 Pan American Tannery itself -- and this is documented well
23 in the NIOSH report -- this study, as I said, it's
24 difficult to describe the cohort study, because it's
25 primarily focused on an industrial hygiene and medical

1 screening report of the 83 workers at this facility,
2 including the three original cases of testicular cancer.

3 It reports, what we call, Standardized Incidence
4 Ratio, an SIR. And I believe one of the Committee members
5 noted earlier that it was excessively high at 40.5. But,
6 again, note that it has a very wide confidence interval.

7 And, again, if we go into the details of this
8 calculation, on its simplest level, an SIR is the number
9 of observed cases divided by the number of expected cases.
10 And so to arrive at an estimate of 40.5, what we're
11 looking at is three observed cases divided by .07 expected
12 cases for this small number of workers over this short
13 time period of almost a decade; basically saying we did
14 not expect to see any cases in this group. So the fact
15 that we saw three is excessively high and does raise some
16 of the questions that prompted the cluster investigation.
17 But it's difficult to attribute this again exclusively to
18 some kind of comparison of workers who were more or less
19 exposed to DMF.

20 Interestingly -- and this is where the Marshall
21 study becomes very relevant -- subsequent follow-up of
22 this group and an additional expansion of the study to
23 include both Fulton County, New York, and Montgomery
24 County, New York, both of which host over 50 leather
25 tanneries at this time period, in the late 1980s, looking

1 at rates for testicular cancer in these two counties from
2 1974 to 1985, Elizabeth Marshall with the New York State
3 Department of Health reported that the expected rate for
4 this population of white males in these two counties was
5 25.7 expected cases for this time period. And their
6 registry only reported 19 observed cases in these two
7 counties.

8 Now, again, it's worth noting that this is a
9 population of the county itself. And though there is a
10 lot of leather tannery facilities in this county, this is
11 focusing on the larger population. But that 19 observed
12 cases and 25.7 expected cases changes dramatically the
13 inference that we derive from a statistic such as the SIR.
14 And it includes, again, a lot more individuals than were
15 at the indexed tannery facility.

16 Specifically, as I noted before, the NIOSH report
17 focuses on industrial hygiene of the facility -- of the
18 tannery as well as medical screening for other workers.
19 And they were able to gain the participation of 51
20 additional workers at the facility out of the 80 total who
21 were not affected by testicular cancer. And that medical
22 screening found no evidence of high DMF exposure
23 consistent with those symptoms that I named before, flush,
24 abdominal pain, alcohol intolerance, or any acute liver
25 disease.

1 --o0o--

2 DR. SYMONS: So really the conclusion that
3 Calavert and colleagues, who were assigned to the NIOSH at
4 that time, derived from this was that based on these
5 findings from the medical evaluation, it is unlikely that
6 overexposure occurred to DMF at the tannery. And we
7 defined overexposure as 10 parts per million or more.

8 Now we can go on.

9 So coming to those conclusions, we have two
10 documented descriptions of the conclusions from the NIOSH
11 investigators. First, is their published form, which
12 again was a letter to the editor of the Lancet published
13 in November 1990. And they state that their investigation
14 confirmed an excess of testicular cancer at the tannery.
15 Again, I think we would all accept the fact that three
16 cases, when .07 were expected, is a tremendous increase.
17 However, they conclude that this adds to concerns about
18 the carcinogenicity of DMF, but these conclusions should
19 be tempered by the lack of detailed information about
20 exposure to DMF, as well as many of the other coexistent
21 exposures to chemicals at the tannery.

22 Interestingly, in their NIOSH report filed ten
23 months earlier, they stated in their summary that because
24 of the large number of these chemicals, the changes in
25 engineering controls, the changes in chemical inventory

1 over time, that identification of the agent responsible
2 for the cancer cluster is impossible. So I think we have
3 to accept these researchers' conclusions that they have a
4 compelling finding of additional cases -- of excess cases,
5 but that the ability to discern whether or not DMF
6 contributed to this is an undertaking that cannot be done
7 in this study.

8 Now, at this time, I'd like to turn your
9 attention to the DuPont studies.

10 --oOo--

11 DR. SYMONS: Again, it's worth noting that the
12 DuPont studies were conducted over two decades ago. The
13 motivation for the Chen cohort study was based on, as
14 Lindsey noted, some simultaneous work that we were doing
15 in an acrylonitrile exposed portion of this work force.

16 Basically, to be brief, the Camden, South
17 Carolina, acrylic fiber factory plant that was the subject
18 of the Chen study, and identified as Plant C in the
19 Walrath study, produced Orlon fiber. Orlon fiber is made
20 from acrylonitrile. DMF is a solvent that's used in
21 preparing the acrylonitrile for spinning into the fiber.
22 And of the 5,000 workers at this Camden, South Carolina,
23 plant, a large proportion of them had documented exposure
24 to DMF.

25 Only one case of testicular cancer was noted in

1 this cohort. And, again, the DuPont Cancer Registry
2 tracks all DuPont active workers during their time with
3 the company. And when we're talking about these
4 occupational cohorts, historically speaking, in the 1950s,
5 1960s, 1970s, many of these workers spent their entire
6 careers at DuPont from the age of 20 until the ages of 50,
7 60, whenever retirement occurred. So we do have very
8 adequate tracking of them for many decades.

9 The main finding from this study was that there
10 were 11 cases of buccal/pharynx cancer. And what was
11 shown in the report was that there was no increasing risk
12 of this cancer with increasing DMF exposure or increasing
13 duration to DMF exposure. And, in fact, all 11 cases
14 reported heavy smoking for greater than 20 years.

15 Now, a question was raised earlier by one of the
16 Committee members as to whether smoking was documented for
17 all of these workers. Unfortunately, it was not. These
18 registry-based studies really rely on work history
19 information and medical screening data that we collect on
20 our work forces. Only in rare situations do we have
21 individual contact with workers. And this is one of those
22 cases where for those 11 workers who were affected with
23 buccal/pharynx cancer, the investigators did do subsequent
24 interviews with them and got a smoking history. But for
25 the remaining members of the cohort, we have no data on

1 smoking or alcohol usage, so we can't adjust for it or do
2 any comparative analyses.

3 Again, to be balanced it's also worth noting that
4 this smoking-alcohol effect was not looked at in the other
5 populations that we're discussing here.

6 You can go to the next.

7 --o0o--

8 DR. SYMONS: So what this led us to was the
9 Walrath study. And this is a very interesting
10 case-control study. And, in fact, some people would say,
11 "Why does it contain such a odd collection of cancers?"
12 And really the rationale is because, as Lindsey noted,
13 some of the findings of melanoma, prostate cancer, and, of
14 course, DMF having a specific target organ of the liver,
15 the investigators wanted to look at cases of cancer in
16 those organs. The buccal/pharynx results were followed
17 up. And then again the testicular cancer cases were added
18 in direct response to the Ducatman and Levin publications.

19 Across these four facilities involved in the
20 case-control study, which included over 8,500 employees,
21 there were 11 cases of testicular cancer noted. And when
22 we looked at these cases, 8 of them occurred at the plants
23 with the lowest exposures to DMF. That would be Plant A,
24 the production facility -- or, I'm sorry -- Plant A is the
25 facility that produced DMF, and Plant D is one of the

1 three plants that used it in manufacturing. And Lindsey
2 provided great details on those -- on the exposures at
3 those four plants.

4 And of these 11 cases, only 3 had documented
5 exposure to DMF. While for the match controls 6 of those
6 22 had documented exposure to DMF. And, very quickly, the
7 odds ratio here is 1.0. Basically, the exposure potential
8 among the cases and controls is exactly similar -- or the
9 exposure probability.

10 --o0o--

11 DR. SYMONS: I will kind of spare the details on
12 this, because I was very appreciative to see that Lindsey
13 did pay full attention to some of the revised statistics.

14 But I want to go to this next table, which shows
15 some of the comparative statistics that we've provided in
16 our documented filing.

17 --o0o--

18 DR. SYMONS: And one thing that's very much worth
19 noting is, not just the P-values, whether or not they were
20 one-tailed or two-tailed, whether they're derived from a
21 Poisson distribution or a chi-square distribution. But
22 really in occupational epidemiology what we tend to look
23 at is the confidence interval. And this, in effect, is
24 inherently two-tailed.

25 The confidence interval is a much more

1 informative metric for judging the significance. Because,
2 again P-values just tell us whether or not a result that
3 we report is significantly different from what we would
4 expect, and that significant difference could be either
5 higher or lower. But a confidence interval gives us a
6 good sense of not only the directionality of the estimate
7 but how wide the interval itself is.

8 And, again, because of the small number of cases
9 for these observed cancer outcomes, we have very wide
10 confidence intervals. And that coincides with the
11 inference that's derived from the Poisson P-value, which
12 most people would say is not significant as the standard
13 except a rate of .05. Again, the confidence interval
14 information should complement the P-value information,
15 such that a nonsignificant confidence interval, i.e., one
16 that overlaps 1.0, would have a P-value greater than .05.

17 And this is really why it's important to focus on
18 the use of these two-tailed confidence intervals, mainly
19 because the investigators compare multiple outcomes. I
20 mean, we're looking at dozens of different health outcomes
21 and different cancer diagnoses. And so one of the results
22 that one always has to pay attention to, in these large
23 cohort studies, is multiple analysis tend to bring in
24 significant results just because of the shear number of
25 comparisons being made. Again, the very basis of the

1 P-value is that you're expected -- if you use a P-value of
2 .05 as your guideline, then you're saying, "I will see
3 significant results five times out of a hundred."

4 So this is one of the problem areas that we run
5 into, which is why the confidence intervals give us more
6 information in order to interpret, quote-unquote, supposed
7 excesses.

8 One of the things that it's worth noting here
9 again is because of the small numbers of cancers for some
10 of these outcomes and the wide confidence intervals, it's
11 very difficult to draw any interpretation as to whether or
12 not a specific occupational exposure was contributing to
13 these.

14 So I would be happy to answer further questions
15 on statistics. But, you know, as I said, I think that
16 Lindsey did a very good job of recapturing the statistical
17 analyses.

18 CHAIRPERSON MACK: I think there are people who
19 have questions for you. But the person who's taking the
20 record and my bladder both would require a few minutes of
21 respect.

22 DR. SYMONS: I have one last slide. How's that?

23 So, in conclusion, from the epidemiologic
24 evidence, we agree with OEHHA that more definitive studies
25 are needed. And the fact that none of these studies have

1 been done in the intervening two decades, I think it's
2 very informative to the fact that there is a lack of
3 confirmatory epidemiologic evidence since the original
4 Ducatman hypothesis.

5 I had the pleasure of meeting with Dr. Ducatman
6 about a month earlier, and I mentioned to him this
7 opportunity to come and address one of his earlier
8 studies. And he was very intrigued that it was being
9 considered because he felt that there was not really
10 anything published since his original discussion of this
11 that would lead him to believe that it was a hypothesis
12 worth pursuing. But, again, that's personal communication
13 that I had with Dr. Ducatman.

14 But, to be fair, all of these studies were
15 reviewed previously by the WHO and by IARC. And I put the
16 conclusions that both of those institutions arrived at for
17 you.

18 WHO in a risk assessment published in 2001 said
19 it's unlikely that DMF is carcinogenic to humans, looking
20 at these same studies.

21 And IARC, as was noted, said that there was
22 inadequate evidence in humans for carcinogenicity of DMF
23 specifically regarding testicular cancer.

24 And, again, these are the same studies we've been
25 talking about.

1 --o0o--

2 DR. SYMONS: So, finally, to wrap up, what we're
3 saying -- and I appreciate again the opportunity to
4 discuss this with you -- that the weight of the evidence
5 does not support a designation that DMF is a carcinogen.
6 There's no evidence that it is associated with testicular
7 tumors in humans. And as Dr. Malley noted, very suspect
8 evidence that it may -- that the Senoh study may have
9 exceeded the maximum tolerated dose. So I don't believe
10 that that study can be accepted to say that it clearly
11 shows the carcinogenicity of the substance.

12 And so I thank you for your attention and your
13 time. And I hope I finished in a timely enough fashion.

14 CHAIRPERSON MACK: Thank you.

15 Ten-minute break.

16 (Thereupon a recess was taken.)

17 DR. SYMONS: I hope I'm still up.

18 CHAIRPERSON MACK: Okay. Let's begin.

19 First, I think we need some legal advice.

20 Where's the lawyer? There she is.

21 CHIEF COUNSEL MONAHAN-CUMMINGS: I could do that
22 after you have the questions for the --

23 CHAIRPERSON MACK: Want to wait till after this?

24 CHIEF COUNSEL MONAHAN-CUMMINGS: I just wanted to
25 do it before you do your deliberations.

1 CHAIRPERSON MACK: Pardon me?

2 CHIEF COUNSEL MONAHAN-CUMMINGS: I just wanted to
3 talk to you before you do your deliberations. So you can
4 finish with the public comments first.

5 CHAIRPERSON MACK: Okay. We're now going to try
6 and address questions to you. And we'll let Dr. Wu begin.

7 DR. SYMONS: I'll be happy to entertain them.

8 COMMITTEE MEMBER WU: Technology deficient.

9 I am -- I flipped my page and I can't find it.

10 This is actually just some background information
11 from you, so I have a better understanding of how these
12 studies are being done in terms of following up workers.

13 So as an example, in the Chen study they, you
14 know, mentioned that there were close to 4,000 workers who
15 were exposed to DMF. And then in the Walrath study, there
16 were roughly 8,000 employees who were exposed.

17 So in terms of the cancer registry, as well as
18 updating this type of study, how does -- what is the
19 procedure? I mean, how do you actually track and follow
20 up what kind of health outcomes, you know, when is
21 something elevated, when is something not? If you can
22 just give me a quick update, because I'm not familiar with
23 how this is actually being done.

24 DR. SYMONS: Okay. I will try to be brief.
25 Unfortunately, you know, I really like what I do, so I

1 might go into too much detail.

2 COMMITTEE MEMBER WU: That's fine.

3 DR. SYMONS: But really your question, Dr. Wu,
4 hinges on the DuPont cancer and mortality registries. And
5 both of these registries were started in the late 1950s by
6 Dr. Sidney Pell, who created the DuPont epidemiology
7 program.

8 And Dr. Pell was still with the program, and
9 you'll see his name on the publications that you refer to,
10 Dr. Chen's study and Dr. Walrath's study in the late
11 1980s.

12 And what the registry involves is it -- focus on
13 the mortality registry, first of all, which is documented
14 in Dr. Chen's other publication on the Camden, South
15 Carolina, cohort but one that we haven't paid as much
16 attention to.

17 A mortality registry. Any time a worker starts
18 work with DuPont, we add them to our HR database. And so
19 moving forward, at this date we have about 280,000 workers
20 in our database that we track by Social Security number.
21 And relying on the National Death Index, we're able to
22 ascertain vital status and then subsequent cause of death
23 for those workers who are no longer with us. And for a
24 company as large as DuPont with the long history, that
25 includes quite a large number of current and former

1 employees, especially among those employees who are now
2 pensioned.

3 The companion piece of that registry is the
4 Cancer Incidence Registry. And, again, it's worth noting
5 the history of the company. In the 1950s, '60s, and '70s
6 DuPont had an extensive medical division; and like many
7 other companies at that time, provided medical care
8 directly to its employees. So when there was an incident
9 cancer diagnosis in an active employee, we were
10 immediately aware of it, because in some cases it was
11 DuPont physicians making the diagnosis.

12 That changed in the 1980s, similar to a lot of
13 companies, when we went to external third-party medical
14 benefits. And, in fact, DuPont provides health insurance
15 to all of its workers.

16 And from the late 1980s until about the year
17 2000, we unfortunately lost our ability to track cancer
18 incidence in workers who were no longer active employees
19 at the time the cancer diagnosis was made because they got
20 their care from other health providers and therefore we
21 had no subsequent follow-up on the reports.

22 But for active workers who had to miss work and
23 then come back, they undergo a medical screening and so we
24 file a cancer report.

25 But, again, our active workers, as is common in

1 occupational epidemiology and is well noted under what's
2 called the healthy worker effect, they tend to be
3 healthier and younger, therefore have less cancer than
4 older workers.

5 Since 2000, our inability to track cancer
6 incidence has been supplemented by a third-party provider
7 who basically takes our health insurance information and
8 goes through it for any diagnoses that involve usage for
9 cancer-related reasons, and then we're able to update our
10 registry.

11 So one of the benefits that this registry gives
12 us -- and we are able to track many thousands of cases of
13 cancer diagnosed in DuPont employees -- is that we become
14 aware of these. But it also suffers from some limitations
15 due to these temporal trends that I noted to you.

16 And I'll leave off there. And any other specific
17 questions about how the registry operates, I'll hope to
18 fill in. I know you probably want to go in the direction
19 of, then how does it lead to a design study?

20 COMMITTEE MEMBER WU: Well, I guess my interest
21 is, you know, the whole question -- I mean, it is very
22 curious when I read this report that, in fact, there was
23 nothing published since this flurry of letters and reports
24 in 1988, 1989. So the suggestion is that it is actually
25 publication biased, that somehow -- because I would

1 imagine that this group of individuals would have been
2 followed and whatever the results are, that there would
3 have been some, you know, report. So I guess my question
4 is: Did DuPont actually do any follow-up studies on this
5 group of individuals who were exposed? Because
6 essentially, given what you just mentioned, you could
7 easily have done -- linked them up in terms of, let's say,
8 finding out what are the mortality outcomes, you know.

9 So, I guess, that's sort of where I'm trying to
10 get a better understanding of, given that this was
11 something that was of interest and potentially very
12 important, you know, what is the follow-up actions with
13 this group of individuals who were exposed?

14 DR. SYMONS: Yeah. For the DMF-exposed cohort,
15 we have not had any subsequent analytic follow-ups, though
16 we have the capability to address some of the questions
17 that you raise. But it's always a question again of
18 resources.

19 We pursue this registry-based surveillance for
20 signal detection. But we also use it to do detailed
21 analytic studies. In fact, a relevant example that was
22 brought up by Ms. Roth -- and I apologize earlier for
23 being so familiar -- was the acrylonitrile worker study.
24 That study I published earlier this year was an update of
25 the sub -- I'm trying to think of the right word -- the

1 subgroup of workers who were exposed to acrylonitrile
2 within both the Camden, South Carolina, plant and the
3 Waynesburg, Virginia plant. And that study I published in
4 May of 2008 in the Journal of Occupational and
5 Environmental Medicine detailed an additional 25 years of
6 follow-up of our acrylonitrile-exposed workers.

7 Acrylonitrile's not the subject of today's
8 conversation, but that study involved again some of these
9 workers who were simultaneously exposed to DMF.
10 Unfortunately, because of the fact that these studies were
11 done over two decades ago, many of the records, especially
12 the computer-based records with exposure, are not
13 accessible to us. They're either stored on data tapes or
14 in storage facilities. And so we don't have a very quick
15 and easy way to just call them up and rerun the analyses
16 or to update the analyses. It would involve a
17 concentrated effort with a lot of resources to be applied
18 to further ascertainment of the cohort, data checking,
19 data validity, as well as in this case, with studies that
20 were conducted over two decades ago, probably the
21 migration of those records to new computer platforms,
22 because I believe they were done on kind of
23 mainframe-based systems that were typically used in the
24 late 1980s. And now we obviously have a lot more power
25 just on desktop alone.

1 So, in that sense, the potential is there. But
2 because of resources and because -- again, I think the
3 conclusion that we drew is that there was nothing that
4 indicated to us that DMF increased the likelihood of
5 cancer in exposed workers, that's why those follow-ups
6 have not been done.

7 CHAIRPERSON MACK: Okay. I have a couple very
8 quickies.

9 DR. SYMONS: Yes, Dr. Mack.

10 CHAIRPERSON MACK: And they all deal with
11 exposure, because I find the differences between these
12 various observations to be fairly profound in respect to
13 exposure.

14 We heard about the sailors who were basically
15 slathering 80 percent DMF all over some materials and
16 doing it all day for a long time. And while there may be
17 other exposures that they had, that sounds like a pretty
18 severe one. And there may be others as well.

19 Now, when it comes to the tannery workers, my
20 understanding was the three cases that popped up that
21 recognized their own likeness, and while they may have had
22 some differences in the histology, the fact is all three
23 -- all what, all seven of them were germ cell testicular
24 tumors. In other words, that covers both seminomas and
25 the others which you mentioned. And that means they had a

1 common source or origin at some point.

2 We were told that they slathered the material,
3 and I presume that that included the chemical we're
4 talking about, over the hides in some way with a paddle.
5 Now, that, to me, doesn't sound like it's going to be a
6 typical exposure of tannery workers generally. So that
7 sounds like a very specific, probably much higher
8 exposure. And it also sounds similar to the Navy people
9 because we're talking about people who actually have a
10 liquid that they are in pretty close contact with. And
11 they had a dermal exposure.

12 But the likelihood of having aerosols, for
13 example, is probably pretty big in both of those
14 circumstances.

15 So I am suggesting that there may be big
16 differences among the tannery workers and that there may
17 well be a very small -- much smaller subgroup who had this
18 kind of exposure. I know we don't know and there's
19 nowhere we're going to find out.

20 Now, with respect to DuPont, can you describe to
21 me, in a little more detail, the actual nature of the
22 exposure that workers would have in the Orlon
23 manufacturing process to this chemical. Because I can't
24 imagine with industrial hygiene practices the way I
25 presume they are at DuPont, that there's going to be a vat

1 of this stuff and the Orlon is being dripped in and out of
2 it like that.

3 DR. SYMONS: Well, I think the key is
4 occupational exposure to DMF regardless of the occupation.
5 And if we look at the aircraft repairmen, it is very
6 compelling to say that they used a solution that contained
7 80 percent DMF, that it was dripped onto exposed wiring in
8 the aircraft and collected in vats just below the
9 aircraft.

10 But as I noted, there are a lot of other
11 exposures used in that occupation that weren't even
12 addressed or discussed. And so it's kind of a
13 coincidental thing to focus on one to the exclusion of the
14 others.

15 With the leather workers, it's the same
16 phenomenon. For those three index cases who worked as
17 swabbers and had direct application of this DMF-based
18 solvent to the leather tannery hides, it does seem, at
19 surface, to be very compelling. But I think the NIOSH
20 investigators do a very good report -- or a very good job
21 reporting the industrial hygiene of the plant on basis of
22 reconstructing that industrial hygiene.

23 As an epidemiologist working in occupational
24 epidemiology, I'm very reliant on industrial hygienists
25 and exposure assessors to provide me with those kind of

1 detailed information as to how processes are done and what
2 are the potential for exposures. And I would say that,
3 you know, the NIOSH report provides a lot of explicit
4 detail, not only about the potential DMF exposure for
5 those workers in the leather tanneries, but also many of
6 the other chemicals that those workers may have come into
7 contact with.

8 And I think the key piece of evidence here is the
9 NIOSH conclusion that there was no report of acute
10 symptoms that we traditionally associate with excessive
11 DMF exposure. And those are documented in a study that we
12 provided by Redlich, et al., investigators from Yale
13 University.

14 So the lack of compelling evidence that showed
15 that any of these abdominal pain, alcohol intolerance, or
16 flush symptoms occurred in these workers gives us some
17 circumstantial evidence that they were not overexposed.

18 CHAIRPERSON MACK: No, I understand that, yes.

19 But when they address the tannery exposures and
20 their diversity, they were talking about all the tannery
21 workers, not about these three guys that popped up in the
22 first place, right?

23 Okay. Anyway, could you describe again the
24 exposure that happens in the DuPont situation. Is there,
25 in fact, open contact between the air and the liquid, or

1 is it all in a confined system?

2 DR. SYMONS: Well, in kind of a basic way, I can
3 speak to that. But, you know, the details were --
4 obviously, the study was conducted many years ago, plants
5 that are no longer producing Orlon fiber. So it's
6 impossible for me to know the full extent. But DMF was
7 used as a solvent in preparing the acrylonitrile. There
8 were process changes over time. I don't immediately have
9 those details accessible to me. But I believe that the
10 industrial hygiene effort and the exposure assessment
11 effort that was conducted to support the Chen studies was
12 a very well validated documentation of potential exposures
13 to DMF.

14 CHAIRPERSON MACK: Okay. Thank you.

15 I don't have any other questions.

16 Anybody else?

17 Joe.

18 COMMITTEE MEMBER LANDOLPH: Yeah, thank you for
19 your extensive presentation and for answering all the
20 questions.

21 On your next to the last slide, that nice table
22 of data you have of selected statistical tests for DuPont
23 incidence study for cohort exposed only to DMF.

24 DR. SYMONS: Yes.

25 COMMITTEE MEMBER LANDOLPH: So is that a true

1 statement, exposed only to DMF, or are there other
2 confounding exposures? Or is that just DMF?

3 DR. SYMONS: This table was prepared in response
4 to what we received from OEHHA in the draft hazard review.
5 Their appendix lists four tables, tables A-1 through A-4.
6 And they use those tables to mirror the report in the Chen
7 study where they break the cohort into subgroups. The
8 first subgroup is those workers who are exposed only to
9 DMF, 2,530 workers. There was another subgroup that had
10 no DMF exposure, 1,130 workers. There was a subgroup that
11 had DMF and acrylonitrile exposure. And then finally a
12 combined DMF-only and DMF/acrylonitrile group, 3,859.

13 You know, again, because of many numbers of
14 analyses, I wanted to focus really on the key ones that
15 were at discussion here. And this slide was prepared off
16 of OEHHA's Table A-1 to show the distinction between the
17 chi-square P-values and the Poisson-based P-values as well
18 as the 95 percent confidence intervals that come with the
19 Standardized Incidence Ratios for those cancer diagnoses
20 that had some circumstantial evidence of increased
21 significance. And that's why we focus only on
22 buccal/pharynx, melanoma, prostate, and stomach, because
23 the remainder of the results, frankly, are not compelling.

24 COMMITTEE MEMBER LANDOLPH: Okay. And I looked
25 at this table and I see four SIRs, all of which are

1 elevated above 1.

2 DR. SYMONS: Yes.

3 COMMITTEE MEMBER LANDOLPH: Three have not
4 reached statistical significance, but the first one has
5 and is at 5.6. So that data seems fairly positive to me.

6 And what is it that you don't like about that
7 data?

8 DR. SYMONS: It's not a matter of liking or not
9 liking. I think to put the inferences that we derive from
10 these results into perspective, the buccal/pharynx cancer
11 was definitely an elevated finding. It was much higher
12 than observed. And that's why the researchers took the
13 next step to document alcohol and specifically smoking of
14 tobacco product usage in these 9 cases in this part of the
15 cohort, but the 11 total that they found at the plant.

16 Again, the SIR in this study is based on a
17 reference population of, what we call, the DuPont employee
18 reference population. And this is a specific technique
19 that we apply to our occupational epidemiology studies to
20 remove the effects of what is known as the healthy worker
21 effect bias. By focusing on a comparison between DuPont
22 workers at the Camden, South Carolina, plant versus
23 expected cancers based on the rest of the DuPont employee
24 population, we're able to remove any kind of confounding
25 effects due to external population comparisons due to

1 healthy workers.

2 So what this result for buccal/pharynx tells us
3 is that, at this plant, we had a greater than expected
4 occurrence of buccal/pharynx. Now, the next question is
5 why. And I think, you know, that is a legitimate topic
6 for further investigation, which is why it was pursued in
7 the Walrath case control study. And, again, you know, the
8 inference that we derived is whether or not buccal/pharynx
9 would be related to DMF exposure. And that's again
10 enhanced by understanding that all of these workers had
11 significant tobacco usage for greater than 20 years.

12 For the melanomas, prostates and stomachs, though
13 the SIRs are increased, again, we're talking about rarely
14 occurring cancers. So three observed cancers for
15 prostate, but you only had an expectation of 0.9, does
16 lead to an excessive SIR. But because of the small
17 numbers, the variability in that estimate, the confidence
18 interval tells us that it's not a significant finding.
19 And therefore, three prostate cancer diagnoses in a cohort
20 of over 5,000 workers, though relatively increased, it's
21 very difficult to draw any inference about the exposure
22 relationship with that.

23 COMMITTEE MEMBER LANDOLPH: Thank you.

24 CHAIRPERSON MACK: Thank you very much. I think
25 DuPont has done a really terrific job of providing the

1 information we needed. And it's a pleasure to have an
2 epidemiologist come and address us, because usually that
3 doesn't happen.

4 DR. SYMONS: Well, we're still few. But we're --

5 CHAIRPERSON MACK: That doesn't mean we're all on
6 your side though.

7 (Laughter.)

8 DR. SYMONS: Well, I did want to note earlier,
9 and interestingly enough, my former dissertation advisor I
10 believe is joining you and your faculty at the University
11 of Southern California. I studied under Dr. Jonathan
12 Salmon.

13 CHAIRPERSON MACK: Okay. Now, let's go to the
14 Committee's judgments. And let's hear from Sol.

15 CHIEF COUNSEL MONAHAN-CUMMINGS: Dr. Mack, just
16 a --

17 CHAIRPERSON MACK: Oh, I'm sorry.

18 CHIEF COUNSEL MONAHAN-CUMMINGS: I'm sorry. Just
19 very quickly I just wanted to clarify something from the
20 earlier slides that DuPont put up when Mr. Landfair was
21 speaking. He was talking about the standard for listing
22 under Prop 65 for this Committee. And he's absolutely
23 accurate in terms of slides 3 and 4, where he's talking
24 about what the statute and the regulations say about
25 listing. And that is basically the same script that Dr.

1 Mack will use when you get to that point.

2 What I wanted to point out to you though is that
3 Slide No. 5 is talking about the guidance criteria for the
4 Committee. You have a copy of the guidance in your
5 binder; and the second tab, I think it is, that says
6 "Guidance Criteria." And I would just suggest to you that
7 you might want to look at that in context. The quote
8 there says chemicals should -- well, it's not a quote.
9 There's a statement there, "Chemicals should be listed
10 only..." -- and then there's a quote. And so I just
11 wanted to be clear that if you look under D in the -- if
12 you look under your tab for guidance and 1.D on the first
13 page, the last sentence, you might want to read that
14 actually in context, because I think it's stated in more
15 mandatory terms here than it's actually intended in your
16 guidance.

17 The other thing I wanted to mention to you is
18 this is guidance. It was adopted by you, or at least
19 predecessors of you, as Committee members. And so it
20 isn't mandatory in the same sense as the statute and the
21 regulations. So I just wanted to clarify that. I'm not
22 saying there's anything wrong with it. I just want you to
23 see it in context.

24 MR. LANDFAIR: If I could address that point
25 briefly. First, I hope you don't find that misleading in

1 any way. "Only" is certainly my inserted word. It's not
2 a part of the quote. So I didn't intend it as a misquote.

3 But, moreover, I think in context it is a
4 perfectly accurate interpretation of the statute and the
5 guidance, that if the criteria are to list a chemical if
6 the weight of the evidence clearly shows that it causes
7 cancer, then, conversely, we don't list a chemical unless
8 it clearly shows; so therefore we list it only if the
9 evidence clearly shows. And I hope that's understood and
10 not perceived as any attempt to mislead.

11 I almost would like to -- I also would like to
12 stick in one sentence of closing argument here that's
13 pertinent to this.

14 You know, if the only data we had before us were
15 the Senoh data, then notwithstanding the --

16 DIRECTOR DENTON: Stan, we're having a little
17 problem hearing you. So maybe you could...

18 MR. LANDFAIR: If the only data we had before us
19 were the Senoh data, then one might be tempted to conclude
20 that it met the standing for listing. But under the
21 circumstances, we think the question is, should the Senoh
22 data be used as the basis for completely reversing all of
23 the previous regulatory determinations on this chemical
24 and the data that underlie them? Is the Senoh study so
25 convincing, are we so sure that it's scientifically valid?

1 Are we not concerned about these identified flaws in the
2 studies that we would disregard the previous findings of
3 the IARC and the WHO indicating that the other data tend
4 to show that it does not cause cancer? We've clearly got
5 to do some balancing here.

6 And it's our view that the Senoh data, which are
7 the only data to show carcinogenicity, just cannot support
8 that type of conclusion.

9 CHAIRPERSON MACK: I'm sure you know that the
10 deliberations at IARC/WHO are committee deliberations
11 also, but in different -- there's one big difference; and,
12 that is, there's a very big diversity of disciplines that
13 are involved, and each has an equal vote. And,
14 consequently, there may or may not be appreciation for the
15 weight of the certain study. You emphasize weight. But
16 weight is, of course, a matter of personal opinion and
17 it's a matter of personal experience and discipline. So
18 while we'd have greatest respect for IARC, we don't
19 necessarily agree with everything they decide. So we will
20 look at these issues very carefully and thoughtfully
21 discuss them.

22 MR. LANDFAIR: I'm confident you will, and I want
23 to thank you for the time and consideration you've given
24 us. Thanks.

25 CHAIRPERSON MACK: Okay. Sol, I think we should

1 go ahead and discuss the animal data.

2 COMMITTEE MEMBER HAMBURG: I have to tell you,
3 I've been very impressed with DuPont's analysis of the
4 Senoh data. I think that -- I do see significant toxicity
5 at the higher levels, 800 parts per million as well as 400
6 parts per million. I think the data is suspicious for
7 having excess absorption of the DMF. I'm suspicious of
8 the significant amount of hepatotoxicity that was noted;
9 particularly at the lower levels of 200 parts per million,
10 they saw significant amount of hepatotoxicity.

11 And I'm not convinced that the Senoh data is
12 enough to undermine the other animal data. And I would
13 agree with DuPont, that at this particular setting, I
14 don't see that there's enough information to list DMF as a
15 potential carcinogen.

16 The epidemiological data is weak as well, I
17 believe. I think this is cluster data. Cluster data is
18 very good for beginning to think about hypothetical causes
19 of testicular cancer. I don't think the data's supportive
20 or strong enough to suggest a conclusive carcinogenic
21 potential of DMF. And I, for one, don't think that we
22 should list this.

23 CHAIRPERSON MACK: Okay. Anna, what do you think
24 about the epidemiologic data?

25 COMMITTEE MEMBER WU: Without rehashing, I think

1 the epidemiology data is limited. But I think it's
2 certainly suggestive that there may be something. But I
3 guess that the part that really was troubling to me was
4 that, in fact, this was not followed up in any other way
5 since the initial reports. And if this is still being in
6 use, I think there is -- I think it's important that I
7 should understand is the different routes of how this is
8 being used. And I think some additional information from
9 that angle would be helpful. But I think the -- I mean, I
10 think that what is missing is really some additional
11 insights as to, you know, occupational groups that are
12 still exposed to this and what type of health outcomes,
13 including cancer outcomes. So I think the Epi data is
14 still limited.

15 CHAIRPERSON MACK: Is what?

16 COMMITTEE MEMBER WU: Still limited.

17 CHAIRPERSON MACK: Okay. Well, let's start over
18 here on the end and hear from David.

19 COMMITTEE MEMBER EASTMOND: Sure. I mean, I
20 think there's some certainly questions about the
21 epidemiological data and how reliable that is. I see that
22 as suggestive, as is common, written up in the document.

23 As far as the animal data, I think it's -- I
24 mean, it clearly causes both benign and malignant tumors
25 in the liver in both male and female mice and male and

1 female rats. So it's really pretty clear evidence in the
2 Senoh study.

3 Now, the difference between these, in the mice
4 certainly you've got a 24-month study, the Senoh study,
5 versus the Malley study, which was an 18-month. And it
6 appears that the early -- the tumors, and that's not
7 uncommon to have increase of tumors at the very end kick
8 in.

9 So the real question comes down to, has the
10 maximum tolerated dose been exceeded? And that's a
11 difficult one, because if you start saying, okay, well, if
12 we eliminate the high dose in the rats -- the female rats,
13 which we have mortality, and then start looking, you still
14 have evidence of carcinogenic effects. And you even go to
15 the lowest dose tested in this, for 200 ppm, you have an
16 increase in cancer. So for me that indicates that, you
17 know -- I don't see -- I can't really discount this. I
18 don't see -- I see there could be potential problems with
19 it because of the toxicity, but those aren't convincing to
20 me. I don't think the species sensitivity issue is
21 convincing. And, in essence, the high dose element where
22 the question was brought up about the dosage, for me
23 that's really kind of a dose response question rather than
24 a hazard identification question.

25 So, for me, I think that the evidence is there

1 that it causes cancer in rodents.

2 CHAIRPERSON MACK: Joe.

3 COMMITTEE MEMBER LANDOLPH: My views are similar
4 to Dave's. I liked the -- I was intrigued by the data for
5 liver tumor incidence in the male mice. It's dose
6 dependent. It's statistically significant in the trend
7 test for combined tumors, for hepatocellular carcinomas
8 and hepatocellular adenomas. All follows a trend test and
9 they're statistically significant.

10 In the females, the tumor data for hepatocellular
11 adenomas and for hepatocellular carcinomas are dose
12 dependent and statistically significant and the trend test
13 is statistically significant. And for the combineds you
14 get a dose-dependent statistically significant effect. So
15 that's in male and female mice.

16 And a similar thing is true in rats in the Senoh
17 study, where you get dose dependence for hepatocellular
18 adenoma statistically significant; trend test is
19 statistically significant; for hepatocellular carcinoma
20 and for the combined the same thing is true. And the same
21 thing is true in the female mice. So it's pretty clear to
22 me that from the Senoh study, that data is pretty solid in
23 terms of dose dependence, statistical significance, and
24 trend test being statistically significant. So it's very
25 difficult for me to argue that away or to ignore it, and I

1 really don't like to do that kind of thing.

2 And it looks like there is a -- certainly higher
3 doses and longer exposure times. More experiments should
4 be done. We never have enough data when we make these
5 decisions because the research is not targeted toward
6 answering these questions. But you've got to go with what
7 you've got, and I think that data is good enough for me.

8 The epidemiology data, I think, is suggestive.
9 The two of them together seem to suggest that DMF can be
10 carcinogenic. So, I think, I know enough -- I never have
11 enough data, but I know enough to make the decision I'm
12 forced to make today.

13 CHAIRPERSON MACK: Thank you, Joe.
14 Marty.

15 COMMITTEE MEMBER HOPP: I think the epidemiologic
16 data here in these clusters are very scary. But as Sol
17 says, cluster data is always scary, and doesn't
18 necessarily mean anything.

19 When I look at the epidemiology data of the other
20 cohorts, I think the controls are weak. But it does seem
21 to suggest to me, when I analyze this, that this is a --
22 DMF is an additive, a solvent that enhances
23 carcinogenicity. I don't see any direct carcinogenicity
24 in these epidemiology studies. It appears to me to be
25 more of an enhancer than causing cancer in humans.

1 The Senoh study at 200 milligrams really bothers
2 me a lot. The increased tumors in mice at that level is
3 hard to discount, because at a lower level, even with all
4 the testing data and the booth -- if you assume that the
5 concentration that they claim they get is wrong as
6 produced by DuPont and that, in fact, aerosolization and
7 other means has a higher concentration in the animals,
8 still at 200 you would expect to have a lower incidence of
9 those tumors. And it's very bothersome to me, at that
10 lower incidence, to have such a high incidence of tumors
11 in those mice. It's hard to discount that data to me.

12 So, I think, to the humans, it's not very clear.
13 If anything, it seems to be about a co-carcinogen or a
14 promoter in the animal data. You know, often promoters
15 can be carcinogenic or at least be so toxic they become
16 carcinogenic. But that 200 milligram level is very
17 bothersome to me.

18 CHAIRPERSON MACK: Darryl.

19 COMMITTEE MEMBER HUNTER: I'm unconvinced that
20 the data that's presented today warrants listing this as a
21 carcinogenic agent. And, hopefully, I haven't put you to
22 sleep with my long opinion.

23 (Laughter.)

24 CHAIRPERSON MACK: Well, I found this actually
25 pretty tough, because I think there's lots of little

1 evidences on both sides.

2 With respect to the epidemiology, I think that
3 the -- I can't get excited about the results of the DuPont
4 studies, although it does -- the throat issue does bother
5 me a bit. But the general probable relatively low level
6 of exposure and the relatively limited follow-up tell me
7 that maybe there is something there, but we don't have
8 enough data to be sure.

9 The controls for the tannery analytic studies I
10 think are, as you have pointed out quite well, are pretty
11 bad. The age difference, the difference in the way the
12 questions were asked, I'm not convinced by that.

13 So what sticks in my craw from the epidemiology
14 is, I hate to say it, but it is the clusters. It's not
15 the presence of a single cluster of three testis cancers
16 in a Naval unit. And it's not the presence of three in a
17 tannery unit. Although the two together add up.

18 But the fact is that the guy who looked at the
19 other Naval station where they were looking at the same
20 exposures found another set of four testis cancers. That
21 to me is the most difficult to completely wash away.

22 So I think there is something in the
23 epidemiology. I grant you that it isn't anything that's
24 going to win a Nobel prize, but it's hard for me to avoid
25 it.

1 When I look at the animal data, I don't see the
2 letters MTD anywhere in the Prop 65 language. So, there
3 are lots of ways to discuss whether or not the mechanism
4 is this or that. And my attitude toward causation is
5 that -- the one definition of cause is if the outcome
6 doesn't occur when the exposure isn't there, that's the
7 cause. And that's the only criteria. Whether it's acting
8 by virtue of genotoxicity or promoting transmission
9 through a membrane or whatever, it doesn't make much
10 difference.

11 And so I can't get excited about washing away the
12 animal studies by virtue of the excessive dose and the
13 presumption that these studies are not reflective of what
14 would happen with mice, if they were given the drug under
15 other circumstances. Because the fact is that the only
16 reason we use animal studies is because they are -- the
17 only reason we use them is because we have to. And we
18 know full well in using them that they are not
19 representative of what's going to happen in people.
20 They're only a suggestion. But the suggestion is
21 imprinted in the Prop 65 language and so I think we have
22 to follow it.

23 So I'm afraid I think that this chemical did
24 cause liver tumors in rats and mice. And by virtue of the
25 fact that it did so, I think we don't have any choice but

1 to list it, even though it may have caused them under
2 unusual circumstances.

3 So that's my bottom line, I guess.

4 So does anybody want to discuss things further?

5 COMMITTEE MEMBER HOPP: No.

6 CHAIRPERSON MACK: Did I hear a no?

7 COMMITTEE MEMBER HOPP: No, you heard a -- you
8 know, I think this -- whoever put together the guidance
9 criteria in this booklet, I'll have to thank, because it's
10 very, very helpful when I looked at it before. It kind of
11 condensed all of our discussions that we've had in the
12 past and had to bring out old -- our records, and now we
13 have a very good guideline as to the conclusions we came
14 to with these questions that, you know, we really do face
15 repeatedly.

16 CHAIRPERSON MACK: We do try to use the weight of
17 evidence and we do try to use clearly shown and we do try
18 to use standardly accepted procedures. If I've misused
19 the words a little bit, you know what I mean.

20 But the fact is that these are all personal
21 judgments. And the only reason there's a committee is
22 because it comes down to a judgment from a group of
23 individuals who are trying to do their best to interpret
24 the evidence. And so now we're going to find out what the
25 actual result is.

1 So the way I have to word that is, Has
2 N,N-Dimethylformamide been clearly shown through
3 scientifically valid testing, according to generally
4 accepted principles to cause cancer?

5 So all those voting yes to that statement, please
6 raise your hand.

7 (Hands raised.)

8 CHAIRPERSON MACK: 1, 2, 3.

9 All those voting no, please raise your hand.

10 (Hands raised.)

11 CHAIRPERSON MACK: 1, 2, 3, 4.

12 The "noes" have it.

13 Are there any abstentions?

14 No abstentions.

15 We have decided not to list N,N-Dimethylformamide
16 on the Prop 65 list.

17 Shall we go onto the next one?

18 Well, that was easy.

19 (Laughter.)

20 CHAIRPERSON MACK: Okay. That's a good question.

21 Do we want to take a break for lunch or do we
22 want to charge through the agenda?

23 We think that TNT probably will not take as much
24 time as this did. And we anticipate that the next
25 question won't either. So should we go ahead and proceed?

1 COMMITTEE MEMBER EASTMOND: I'd just like to slip
2 out for just a second and make a phone call.

3 CHAIRPERSON MACK: Another bathroom visit?

4 COMMITTEE MEMBER EASTMOND: No, it's a phone call
5 this time.

6 CHAIRPERSON MACK: Okay. Ten minute --

7 COMMITTEE MEMBER EASTMOND: No, we don't have
8 to -- I'll just go out of the room for two minutes.

9 CHAIRPERSON MACK: Let's take a ten-minute
10 (Thereupon a recess was taken.)

11 CHAIRPERSON MACK: Okay. Martha, you want to
12 introduce Dr. Li?

13 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF
14 SANDY: Yes. I'd like to introduce the presenters today
15 for the TNT document. And the main presenter will be Dr.
16 Kate Li. And talking about the epidemiology, the author
17 of that portion of the document is Dr. Jay Beaumont.

18 (Thereupon an overhead presentation was
19 Presented as follows.)

20 DR. LI: Okay. I'm going to start a
21 carcinogenicity review of 2,4,6-Trinitrotoluene, or TNT,
22 which belongs to the chemical class of polynitroaromatic
23 hydrocarbon.

24 --o0o--

25 DR. LI: So, TNT is used as explosives in

1 military and industrial applications, including munitions,
2 coal/mineral mining, deep well and underwater blasting,
3 building demolitions. It's also used as a chemical
4 intermediate in the manufacturing of dyes and photographic
5 chemicals. It might occur in soil and surface and
6 groundwater near munition facilities and sites of waste
7 disposal.

8 --o0o--

9 DR. LI: So here is the overall available
10 carcinogenicity studies of TNT. In humans, there is one
11 ecological study one case-control study, one cohort study,
12 and several case reports available.

13 In animals, there are two studies in rats and two
14 studies in mice, which are detailed here. Two-year
15 dietary studies in male and female rats and two-year
16 dietary studies in male and female mice.

17 Here I will pass to Dr. Jay Beaumont for the Epi
18 review.

19 (Thereupon an overhead presentation was
20 Presented as follows.)

21 --o0o--

22 DR. BEAUMONT: There have been three
23 epidemiologic publications regarding TNT-exposed workers.
24 And the first by Kolb, et al., started as an apparent
25 cluster. And we talked about the merits of clusters a

1 little bit this morning. But that's how this story
2 started. In Germany, at the University of Marburg at a
3 hematological clinic the medical people there noticed what
4 seemed like a large number of leukemias, especially from
5 the nearby town of Stadtallendorf. And they conducted an
6 ecological study in which they compared their rates of AML
7 and CML. It was myelogenous leukemia that seemed to be
8 elevated. And they compared the rates in the City of
9 Stadtallendorf with a nearby county called Giessen County,
10 that did not have any TNT exposure.

11 I forgot to mention that in this town of
12 Stadtallendorf there were two major munitions factories
13 operated by the Germans in the period 1937 to 1945, with
14 the highest production in the 1941-45 period. And they
15 were said to have released a great amount of wastewater
16 containing TNT that percolated into the soil locally, but
17 also was sent out through a channel that went through
18 another town that will come up in a little bit later.

19 And you'll see in this slide the results of their
20 ecological study. They presented the results separately
21 from men and women for acute myelogenous leukemia. They
22 found an elevated risk in both men and women in the range
23 of -- or ratio of 3.2 to 3.5, and both statistically
24 significant judging from the confidence interval.

25 For CML, they found an elevated risk --

1 significant elevated risk in men but not women. And there
2 was some problem with small numbers, that the CML ratio
3 for women was based upon just one case in the exposed
4 city.

5 Then about eight years later a group of
6 investigators headed by Kilian, et al., did a study in the
7 same area of Germany. And based upon the hypothesis that
8 they said it was generated by Kolb, et al., they did a
9 case-control study of 18 communities in that general area
10 that included Stadtallendorf, but also another community
11 called Kirchhain through which this TNT wastewater flowed
12 in the channel that they called the long channel. So 2 of
13 the communities had TNT exposure and the 16 others did
14 not. And that was the basis of their exposure/nonexposure
15 classification in their case-control study.

16 And they reported just two categories of cancer:
17 All leukemia combined and then chronic myelogenous
18 leukemia only, which also included MDS, myelodysplastic
19 syndrome.

20 And not on the slide is the fact that the town of
21 Stadtallendorf, that generated the hypothesis, they found
22 no excess risk. And the only excess risk that they did
23 find was in one neighborhood of the town of Kirchhain,
24 where the neighborhood was located right next to that
25 canal that conducted the wastewater with TNT in it. And

1 those are the relative -- or odds ratios that you see on
2 the slide. And they're significant for both all leukemia
3 and CML only.

4 --o0o--

5 DR. BEAUMONT: Then the first data is out of
6 China, a historical cohort study based upon workers at
7 eight munitions plants, two of which manufactured TNT and
8 six of which used TNT. And they looked at both incidence
9 rates and mortality rates. For incidence rates, they
10 compared to other workers at the same eight factories who
11 were not exposed to TNT. For the mortality analysis, they
12 compared the TNT worker rates to Chinese national rates
13 for medium- to large-sized cities.

14 They reported results only for liver cancer
15 despite -- they reported rate ratio estimates only for
16 liver cancer, despite the fact that they reported the
17 numbers of cancers for, I think, 16 different specific
18 cancer categories. And they didn't say why they only
19 reported rate ratios for liver cancer. Maybe because it
20 was the most common cancer. And liver cancer is a very
21 common cancer in China. There's a high background rate.

22 Anyway, so for liver cancer, in the incidence
23 part of the study, overall they found a rate ratio of
24 3.46, which was significant at the .01 level. They did
25 not report confidence intervals. And for the mortality

1 analysis, it was also about a threefold risk and equally
2 significant.

3 I mentioned that there is a high background rate
4 of liver cancer. We don't know if that enters into this.
5 And we know that there are risk factors for liver cancer
6 that they could not take into account, such as Hepatitis B
7 infection, a virus infection in aflatoxin exposure.

8 --o0o--

9 DR. BEAUMONT: And then last, and maybe least,
10 are the case reports, of which there have been quite a
11 few. And they've all been about either liver cancer or
12 leukemia. And so one case of liver cancer was reported by
13 Garfinkel. And then nine cases were reported by
14 investigators in China. And you can see those reports
15 listed.

16 And then, finally, there have been two articles
17 reporting cases of leukemia, one case each.

18 And that's it for the epidemiologic evidence.

19 --o0o--

20 DR. LI: So now I'll review the animal
21 carcinogenicity evidence.

22 So in the study conducted by Furedi of U.S. Army
23 lab, et al, and in a two-year dietary exposure of TNT
24 study in female Fisher 344 rats, there's a significant
25 increase in urinary bladder tumors. And one note here is

1 urinary bladder tumor, it's a rare tumor in rats -- in
2 female rats. Referring to the NTP historical controls,
3 the incidence rates is 2 out of probably 900 control
4 animals.

5 So here we look at the data. Urinary bladder
6 carcinoma in a control is 0 out of 54. Plus, in the
7 highest dose group, it's 12 out of 55, which is
8 statistically significant. In a combination of papilloma
9 and carcinoma, the incidence is 0 out of 54 in controls
10 and 17 out of 55 in the highest dose group.

11 --o0o--

12 DR. LI: And there's no treatment-related tumor
13 in the male rats in the two-years dietary study of male
14 rats.

15 So the study carried also by Furedi, et al., of
16 U.S. Army lab, and in mice, B6C3F1 mice strains, there's a
17 significant dose-dependent increase in leukemia and
18 malignant lymphoma of the spleen in female mice. And the
19 trend is statistically significant.

20 And as we see here, there's 9 out of 54 in the
21 controls. And in the highest dose group, the incidence is
22 21 out of 54, which is statistically significant. Again,
23 there's no tumors induced in the male mice two-year study.

24 --o0o--

25 DR. LI: So in summary, in animals, rare urinary

1 bladder carcinomas and papillomas were induced in female
2 Fisher rats upon TNT exposure. And leukemia and malignant
3 lymphomas of the spleen were induced in female mice.

4 There is no treatment-related tumors observed in
5 male rats or male mice.

6 --o0o--

7 DR. LI: Now I'll move onto the other relevant
8 data. I'll summarize results from pharmacokinetics and
9 metabolism study and genotoxicity study and structure
10 activity comparisons with Prop 65 carcinogens, which I'll
11 show you here.

12 --o0o--

13 DR. LI: So PK and metabolism. TNT might be
14 absorbed in gastrointestinal tract, skin and lungs,
15 through oral and water intake, skin dermal contacts, or
16 respiration.

17 TNT might be distributed primarily through the
18 liver, kidneys, lungs, and fat tissues.

19 It might be eliminated primarily via urinary
20 excretion. Or the biliary excretion, it's another route
21 being reported.

22 Metabolism of TNT. Two major pathways have been
23 reported. Nitroreduction of the aromatic nitro groups of
24 TNT to form hydroxylamino derivatives. That's one of the
25 pathways. The other pathway is through the oxidation of

1 methyl group to form benzyl alcohol and benzoic acid
2 derivatives.

3 --o0o--

4 DR. LI: This is a diagram that described the
5 nitroreduction metabolism pathway. As we can see here,
6 the top is the TNT may be metabolized to hydroxyl
7 aminodinitrotoluene, the two derivatives. And then it may
8 further reduce to aminodinitrotoluene here and here. And
9 then to form the diaminonitrotoluene. That's what we have
10 here. And also I want to indicate here hydroxyl
11 aminodinitrotoluene might form reactive metabolites which
12 have protein binding activity.

13 --o0o--

14 DR. LI: So genotoxicity of TNT. As we see in
15 this slide, in bacterial systems TNT showed positive
16 responses in multiple strains of salmonellas and in the
17 AMES Reversed Mutation Assays. And this indicates
18 either -- frameshift mutation or basepair substitution.
19 And these activities might occur in the presence or
20 absence of metabolic activation. And an additional study
21 also reported that these activities might require
22 nitroreductase and o-acetyltransferase activity.

23 In E. coli SOS chromotest assay, TNT shows
24 positive response in the presence of human placenta
25 microsomal system. But negative results were found in the

1 presence of rat liver S9 system.

2 --o0o--

3 DR. LI: In mammalian system in vitro, here we
4 see TNT actually shows negative response in the rat liver
5 in vitro UDS Unscheduled DNA Synthesis assay.

6 TNT is positive in the mouse P388 lymphoma TK
7 locus mutation assay in the absence of S9. I want to
8 indicate here this TK locus mutation assay, they test both
9 mutation and also clastogenicity.

10 In hamster cells TNT show positive results in the
11 Chinese hamster ovary HPRT mutation assay, either in the
12 presence or absence of metabolic activation. But it's
13 negative in a V79 cell HGPRT mutation assay.

14 --o0o--

15 DR. LI: In mammalian system in vivo, this study
16 we summarize here. In the rats, TNT is negative in the
17 rat liver UDS assay and the bone marrow cytogenetic damage
18 assay. And positive response, as we have here, is TNT
19 induced oxidative DNA damage through formation of oxo --
20 deoxyguanosine in the rat sperm cells.

21 In mouse, a negative result was found in a bone
22 marrow micronucleus assay.

23 --o0o--

24 DR. LI: And one study in workers through
25 occupational exposure to TNT has reported TNT genotoxicity

1 in humans. What they found is there's no difference
2 between exposed and control workers in the level of
3 chromosomal aberrations in peripheral blood lymphocytes.
4 However, among the exposed workers, there was increased
5 chromosomal aberration in the n-acetyltransferase 1 rapid
6 genotype versus the slow acetylator genotype.

7 Among the NAT1 rapid acetylator genotypes,
8 increase in the level of chromosomal aberration is found
9 to be associated with glutathione S transferase M1 null or
10 T1 null genotypes.

11 --oOo--

12 DR. LI: So I describe to you a nitroreduction
13 pathway of TNT metabolism. Here is a summary of
14 genotoxicity of TNT metabolites. This would list here
15 these four metabolites -- aminodinitrotoluene and also
16 diaminonitrotoluene. They are all positive in the AMES
17 salmonella reverse mutation assay. And the
18 4-aminodinitrotoluene also show positive response in the
19 Chinese hamster ovary HPRT mutation in the presence of
20 metabolic activation of rat S9 system. And it show a weak
21 response in the hamster V79-HGPRT mutation assay. And the
22 2,6-diaminonitrotoluene also show a weak positive response
23 in the Chinese hamster ovary HPRT assay.

24 So going down, also look at the hydroxyl
25 aminodinitrotoluene, the first level of nitroreduction

1 metabolite and it can actually induce in vitro oxidative
2 DNA damage through cleavage of DNA at the sites with
3 consecutive guanines and form 8-oxo deoxyguanosine.

4 --o0o--

5 DR. LI: Urine mutagenicity has been reported in
6 rats treated with TNT. And urine is positive in the
7 salmonella mutation assay.

8 In workers exposed to TNT, increased mutagenicity
9 in AMES test -- or salmonella test of the urine has been
10 found. And also there's a higher mutagenicity activity in
11 the NAT1 rapid genotype versus the slow acetylator
12 genotype.

13 --o0o--

14 DR. LI: Structure activity comparisons. TNT,
15 it's compared to a number of structurally similar Prop 65
16 listed carcinogens. The 2,6-dinitrotoluene,
17 2,4-dinitrotoluene, and 2-nitrotoluene, as we see here,
18 these three chemicals induce tumors -- a variety of tumors
19 in rats and/or mice. And they all have the DNA and
20 protein bonding activity. TNT apparently does not share
21 the tumor sites with these chemicals.

22 --o0o--

23 DR. LI: Potential mechanisms of TNT
24 carcinogenicity may act through a genotoxicity mechanism
25 either by mutation or induction of oxidative DNA damage.

1 --o0o--

2 DR. LI: Here are authoritative body reviews. In
3 1993 U.S. EPA has defined TNT as a Group C chemical, which
4 notice possible human carcinogen. U.S. EPA reviewed
5 animal studies by Furedi, which is the U.S. Army lab.
6 And, however, they did not include any human studies. And
7 also several studies on metabolism, genotoxicity and
8 biomarkers of exposure were not included.

9 In 1996, IARC classified TNT as a Group 3
10 chemical, which is not classifiable as to carcinogenicity
11 in humans. IARC did not include Epi studies of Kilian, et
12 al., and Yan, et al., which is published after 2001.

13 And IARC also did not include animal cancer
14 studies, because that's by the U.S. Army lab. It's not in
15 a peer review -- it's not published in a peer review
16 journal. And they did not include several recent studies
17 on metabolism, genotoxicity, and biomarkers of exposure.

18 --o0o--

19 DR. LI: So, in summary, the evidence of TNT
20 carcinogenicity in humans is not adequately studied.
21 However, it is suggested that TNT might induce liver
22 cancer and leukemia based on the case reports and control
23 studies.

24 In animals, rare urinary bladder tumors in female
25 rats. And leukemia and malignant lymphomas of the spleen

1 in female mice were reported.

2 Other relevant evidence include genotoxicity of
3 TNT and its metabolites. And also I show you the
4 structure similarity of TNT to the carcinogens
5 2-nitrotoluene, 2,4- and 2,6-dinitrotoluene.

6 --o0o--

7 DR. LI: So thank you for your attention.

8 CHAIRPERSON MACK: Thank you, Dr. Li.

9 Does anybody on the panel have any questions for
10 either of the presenters?

11 I guess you did a really good job.

12 COMMITTEE MEMBER HOPP: I have a question.

13 CHAIRPERSON MACK: Oh, Marty.

14 COMMITTEE MEMBER HOPP: In this study on the
15 female rats for leukemia and malignant lymphoma, do you
16 have any comment about the high incidence of tumors in the
17 no dose of -- when TNT was zero?

18 DR. LI: Tumors of -- you're talking about
19 control studies.

20 COMMITTEE MEMBER HOPP: Furedi's study of TNT
21 dosage to regions in the female mice.

22 DR. LI: Yes. Yeah, the controls -- yeah, they
23 have -- what we have is a summary of their report, and
24 they report those numbers there in the summary. They
25 didn't mention the historical controls.

1 COMMITTEE MEMBER HOPP: Well, in the ones that
2 received zero dosage, one-sixth of them got tumors.

3 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

4 SANDY: That's correct. There's a spontaneous background
5 rate of leukemias and lymphomas in mice as they age. But
6 what is being seen is a treatment-related effect
7 increasing with dose. But you're correct, that there is a
8 background rate, much like we've seen with other studies
9 with liver tumors.

10 CHAIRPERSON MACK: Any other questions?

11 COMMITTEE MEMBER WU: I just have a question
12 about the liver cancer study in China. Where was -- where
13 was the cohort -- how was the cohort put together and
14 where was that cohort? You may have mentioned it. I just
15 missed it.

16 DR. BEAUMONT: Actually, the investigators did
17 not say where geographically in China these eight
18 munitions factories were. They just said that there were
19 eight factories. Was that all of your question? I can't
20 remember.

21 DR. LI: I remember, yes, there are seven or
22 eight factories. They locate in the northern part. But
23 they are very sparsely distributed.

24 CHAIRPERSON MACK: The difficulty is that liver
25 cancer is --

1 DR. LI: Not in the past operations --

2 CHAIRPERSON MACK: -- very non-randomly
3 distributed. In the south coast of China there's huge
4 incidence rates. And so it would be very interesting to
5 know where it was.

6 DR. LI: Yeah.

7 CHAIRPERSON MACK: Presumably because of
8 Hepatitis B.

9 DR. LI: These are in the northern part. And
10 they are sparse to northern east to kind of west of the
11 country, if I remember the location they mentioned.

12 CHAIRPERSON MACK: Any other questions?

13 COMMITTEE MEMBER HUNTER: Aging male rats and
14 mice don't get malignant lymphomas and leukemias?

15 DR. LI: Aging rats or aging mice?

16 COMMITTEE MEMBER HUNTER: Well, the mice and
17 rats, they were all females that were studied. An earlier
18 question referred to, as they aged there's a certain
19 background the amount that are going to develop these
20 malignancies. Are they not known to be in male rats and
21 mice? Why is this phenomenon being seen in females?

22 DR. LI: Yeah, that's actually a good question.
23 The male rats, they do observe liver hyperplasia and also,
24 if I remember, adenomas, but they're not significant. And
25 you'd talk about a -- leukemia and lymphoma in rats,

1 apparently there's no like incidence of that. They did
2 inspect a number of tissues for both rats and mice, but
3 that's not the situation -- not the case in rats for
4 leukemia and lymphomas.

5 In mice, the background has already been
6 mentioned. Spontaneous when they age. There are
7 instances of leukemia and lymphoma in the controls.

8 COMMITTEE MEMBER HUNTER: So, I mean, is there --
9 there are no studies that looked at this in the male
10 gender at all? It would seem like zero would be an
11 excellent control rate, if --

12 DR. LI: The studies cover -- actually, I
13 mentioned in the previous slide, there are two studies in
14 rats, one in male rats, another in female rats in
15 parallel. Basically, the dosing conditions, everything
16 were the same. But they did not observe this tumor.
17 That's why it wasn't reported. I did not report it here.

18 And the same for the mice study. There are two
19 studies. One in male mice, another in female mice. In
20 male mice there's no significant increase of
21 treatment-related tumors. That's what we summarize.

22 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF
23 SANDY: So maybe if I can clarify. It's most likely that
24 indeed they saw some lymphomas and leukemias in male mice
25 in the control and all the treated groups, but they didn't

1 see any difference in the incidence between the groups, so
2 they did not report that data, because they saw
3 it -- there's no difference between treatment and control
4 groups. Therefore, there's no effect at that site of
5 treatment. What the investigators were looking for were
6 sites where there seemed to be a difference in tumor
7 incidence between the treatment groups and the controls.

8 CHAIRPERSON MACK: Anybody else?

9 DR. BEAUMONT: Excuse me. I'd like to add just a
10 little bit more to Dr. Wu's question. I remember she also
11 asked how the cohort was put together. And the authors of
12 the article did not give any detail, except to say that
13 all the workers were employed for at least one year in the
14 time period 1970 through 1995, and they were followed up
15 for cancer through '95, so some had a very short
16 observation period. They gave no details on the follow-up
17 as to how they determined who had died or gotten cancer.
18 And no statistics on what their success rate was on
19 following up workers, which we normally see in a cohort
20 study. So there weren't a lot of details.

21 And we did estimate, I should add, that even if
22 we -- if the excess liver cancers were subtracted out, it
23 would still appear to be an almost doubled rate of cancer
24 overall in this group of workers that's not explained.

25 So there might be some methodological issues, but

1 I think that might have been the basis of your question.

2 COMMITTEE MEMBER WU: Thank you.

3 CHAIRPERSON MACK: Any more questions?

4 David.

5 COMMITTEE MEMBER EASTMOND: I have a general
6 question that's actually not directly in your document.
7 But in the original report there's lymphomas which --
8 leukemias and lymphomas which are found in the spleen.
9 There's also some increase, almost -- a little bit --
10 about a doubling of leukemias and lymphomas, which was
11 found in the kidney.

12 Just for clarification, is it common to split
13 these out? I know sometimes they keep them separate by
14 organ or to combining those. How does -- do you have any
15 thoughts about that? It was not a statistically
16 significant increase within the kidney, but it was
17 slightly elevated.

18 DR. LI: I have a book chapter here that
19 describes about a lympho-hematopoietic system tumors.

20 And what they define here, it's for, what they
21 call, malignant leukemia origin from a certain organ.
22 They do not combine them.

23 That's a simple way of explaining that.

24 And it might be origin from several major sites,
25 for example, lymph nodes and thyroids and also the liver

1 and spleen and kidneys -- this one is not to described in
2 the book chapter. However, this separately described by
3 the investigator in the Furedi, et al., study, which is
4 consistent with the classification system. Prior to '91,
5 they have an old classification system. And also in '94
6 they redescribed the -- they're pretty consistent, in
7 other words, how you classify leukemia and lymphoma and
8 when they are the origin for an uncertain organ, they do
9 not combine them naturally.

10 COMMITTEE MEMBER EASTMOND: Okay.

11 CHAIRPERSON MACK: Okay. Is there any more
12 questions?

13 I gather there are no public comments available
14 on this material?

15 I guess not.

16 Then it comes to the Committee to decide. And
17 we, of course, are very concerned about this product,
18 because we don't want little kids to be going around it if
19 they can avoid it and get cancer from it.

20 So let's go ahead and begin with David. And give
21 us your comments on the animal studies.

22 COMMITTEE MEMBER EASTMOND: Well, they're pretty
23 much summarized in the document. The key point of this
24 is -- again, there were two-year chronic studies, which
25 were done by contract laboratories, but they were

1 sponsored by the Army. And in the summary reports that
2 were provided, essentially they only provide -- present
3 the data for where they think there may be an association
4 with exposure. So you don't have a lot of the background
5 incidence.

6 But there's a clear increase in papillomas and
7 carcinomas of the urinary bladder seen in female Fisher
8 344 rats. And as indicated, it's a dose-related increase.
9 The spontaneous incidence of these tumors is actually
10 quite low, so it's a fairly rare tumor.

11 And I will say that it's actually occurring at
12 relatively low doses. You know, the high dose is 50
13 milligrams per kilogram. When you're talking with rat
14 bladder carcinogens, that's relatively low. Most rat
15 bladder carcinogens kick in at much higher doses from my
16 experience.

17 So it looks like we have a rare tumor and
18 clear-cut increase in the female Fisher 344 rats.

19 As indicated in the female B6C3F1 mice, there was
20 a dose-related increase, although it was not too
21 impressive -- it was relatively weak -- but a little over
22 a doubling the incidence of leukemias and lymphomas that
23 was seen in the B6C3F1 mice, the females. So, again,
24 there is -- this is a tumor site, which has somewhat
25 elevated incidence in the controls. But it does appear

1 there is a dose-related increase seen with increase in
2 doses of TNT.

3 So, in essence, we have clear increases of the
4 cancer in the urinary bladder in the rats and we have
5 apparent increase in the mice. And so it's in different
6 species, both in females.

7 CHAIRPERSON MACK: Thank you, David.

8 I'm supposed to be the epidemiologic person on
9 this, and that's a pretty easy job.

10 Going backwards, we certainly can't learn
11 anything from the case-control studies. And I think that
12 the Yan study is so confounded, especially by Hepatitis B,
13 but also by aflatoxin, and God knows what else, that it's
14 impossible to interpret it. So I don't think that
15 provides any information.

16 And I think the same is true of the German
17 studies, because there is the kind of cluster report. And
18 a follow-up does exactly what we expect from cluster
19 reports, namely, it's a matter of following your own nose.
20 If you decide that it's A then in the first place, you're
21 going to find A in the second place because that's the
22 only thing you look for.

23 So, I think there is no epidemiologic data and
24 the decision will rest solely on the animal data.

25 So now let's go to Joe.

1 COMMITTEE MEMBER LANDOLPH: Yeah, I agree with
2 everything Dave said on the animal studies. The female
3 Fisher 344 rats data was very clean, near zero tumors in
4 the controls. And the data is very high at the high dose
5 and it's statistically significant. The trend test is
6 positive. And you get a statistical significance in the
7 female mice for the leukemias and lymphomas. It's dose
8 dependent, statistically significant, and the trend test
9 works.

10 And then the other thing that's interesting about
11 this, you got lots of genotoxicity data. So this is more
12 comfortable to deal with than the other one we dealt with.
13 Lots of AMES positive data, as was already pointed out.
14 You've got positive E. coli data. You've got positive
15 oxidative damage data, hydroxydeoxyguanosine. You've got
16 positive P388 lymphoma, TK locus mutation data. You've
17 got CHO-HGPRT mutation data. So it's very good mammalian
18 data.

19 And then, in addition, they've got some
20 genotoxicity in humans, where you've got increased
21 chromosomal aberrations in rapid versus slow acetylators
22 and increased chromosomal aberrations with the GSTM null
23 or GSTT1 null phenotypes. And the metabolites are
24 positive. You know, the metabolic scheme, you've got
25 reduction of the nitro groups to amino groups and then

1 P450 activation of those.

2 There's even data that this compound or its
3 metabolites bind to hemoglobin in humans, which indicates
4 it's very likely to bind to the DNA in humans.

5 So this all fits together pretty well for me from
6 the animal carcinogenesis study and then the genotoxicity
7 study and the binding to hemoglobin in the humans. So
8 it's clearly a genotoxic carcinogen metabolized through
9 nitroreductase and P450s and it's going to bind to DNA.
10 And you've got animal tumor data in two different species,
11 as Dave pointed out. So it's straightforward for me.

12 CHAIRPERSON MACK: Thank you, Joe.

13 Marty.

14 COMMITTEE MEMBER HOPP: There's a limited amount
15 of things you can say about four studies. But I think the
16 epidemiological studies are disappointing, because you'd
17 think such a common material would have some more
18 epidemiological studies, the workers and stuff. And so
19 it's surprising there isn't more data regarding that.

20 The animal studies, you know, I'm concerned
21 regarding the high incidence of leukemias and lymphomas in
22 the zero dosage. But the trend is very clear. But
23 starting out so high, it kind of bothers me a little bit.
24 But bladder tumors, kind of a soft spot for that. And I
25 think it's very clear relative to the bladder tumors.

1 Genotoxicity, it's fairly straightforward. But
2 more impressive to me is the metabolites that come out of
3 it that seem to be very toxic to me and carcinogenic to
4 me.

5 CHAIRPERSON MACK: Anna.

6 COMMITTEE MEMBER WU: I don't really have
7 anything else to add. You know, I think, I agree with
8 what's been said about the Epi studies, and I'll defer to
9 the --

10 CHAIRPERSON MACK: Sol.

11 COMMITTEE MEMBER HAMBURG: I would like to agree
12 with Anna, that I don't have anything to really add. But
13 I would say I'm not surprised that there's not more data
14 about TNT, since there's a secondary motivation to keep
15 TNT underground.

16 (Laughter.)

17 CHAIRPERSON MACK: Darryl.

18 COMMITTEE MEMBER HUNTER: I'd like to add that I
19 also have nothing to add.

20 (Laughter.)

21 CHAIRPERSON MACK: Okay. Let me find my envelope
22 here.

23 CHAIRPERSON MACK: Has 2,4,6-Trinitrotoluene been
24 clearly shown, through scientifically valid testing
25 according to generally accepted principles, to cause

1 cancer?

2 So now I'm calling for "yes" votes. Raise your
3 hand for yes.

4 (Hands raised.)

5 CHAIRPERSON MACK: My God, we're unanimous.

6 No "no" votes and no abstinence.

7 So the answer is, yes, we are deciding that this
8 compound should be listed.

9 Oh, that was easy.

10 (Laughter.)

11 CHAIRPERSON MACK: Now, we're going to have a
12 preamble to the next section?

13 CHIEF COUNSEL MONAHAN-CUMMINGS: I'm just going
14 to get the slides up. Just a second.

15 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

16 SANDY: I think we're having technical difficulties. It's
17 not responding.

18 There it is.

19 CHIEF COUNSEL MONAHAN-CUMMINGS: All right. This
20 is Carol Monahan-Cummings, the Chief Counsel for OEHHA and
21 counsel for the Committee. And I just wanted to explain
22 to you what this particular item is about. It's one that
23 you probably haven't seen before for this group of the
24 Committee. This particular task has been done by OEHHA in
25 the more recent past.

1 But originally the statute and the implementing
2 regulations for Prop 65 actually say that the State's
3 qualified experts have to do this task, and so that's why
4 we've got it in front of you today.

5 The statute -- and a lot of people don't know
6 this -- actually requires the Governor to publish two
7 lists. Okay, the one that you were -- that has a lot more
8 impact and you get a lot more input on is the list of
9 chemicals known to cause cancer or reproductive effects.
10 And that was what you were talking about the individual
11 chemicals this morning and earlier this afternoon.

12 This list, the second list that's required under
13 Prop 65, is a list of chemicals that are required by State
14 or federal law to be tested for potential -- for their
15 potential to cause cancer or reproductive toxicity, but
16 which have not yet been adequately tested as required. So
17 what this really means is that there are certain federal
18 and State laws that require certain chemicals to be tested
19 for their potential to cause cancer or reproductive
20 effects. These are specifically State laws known as the
21 Birth Defect Prevention Act; federal TSCA, which is the
22 Toxic Substances Control Act; and the federal FIFRA, which
23 is the Federal -- let's see if I can say it correctly --
24 Insecticide, Fungicide, and Rodenticide Act, which is --
25 it's a federal law, but it's also enforced in California

1 by the Department of Pesticide Regulation.

2 Okay. So, under the statute and our regulations,
3 every year OEHHA contacts U.S. EPA and the California
4 Department of Pesticide Regulation and asks them to look
5 at the list that's already in the regulations, formerly
6 Section 1400, now Section 2700 and -- or 27000, I'm
7 sorry -- and we ask each of those agencies to tell us
8 whether there are any chemicals that are currently on our
9 list, that they now have all of the adequate testing, each
10 of the studies that they need have been provided to them
11 and are of adequate quality. And, if so, they tell us so
12 that we can take those chemicals off the list or at least
13 take off those requirements for certain kinds of testing
14 to be done.

15 And we also ask them if there's any additional
16 chemicals that should be added now to those lists, because
17 they're required to be tested. Okay?

18 So each year we do that. We gave you a copy of
19 the existing list. These materials should be in your
20 materials that you got today. I apologize, they went out
21 to you a little bit late, and so I sent them to you via
22 Email and then snail mail, and we also gave you a copy
23 today.

24 Is it in the blue binder, Cindy?

25 DIRECTOR DENTON: Yeah, they're there.

1 CHIEF COUNSEL MONAHAN-CUMMINGS: Okay. So what
2 we gave you, there's a copy of the existing regulation,
3 which hopefully looks like this. It says the Excerpt of
4 Section 1400.

5 Can you see that there?

6 And then we also gave you copies of the letters
7 that we had sent to U.S. EPA and to the California
8 Department of Pesticide Regulation asking them for
9 updates. And it included their responses. And then we
10 gave you a draft of the changes we'd like to make in the
11 regulation that is based on the information that they
12 provided us.

13 If you'd go to the next slide.

14 (Thereupon an overhead presentation was
15 Presented as follows.)

16 CHIEF COUNSEL MONAHAN-CUMMINGS: To make this a
17 little bit easier, for you so you don't have to go through
18 the list to figure out what is being struck out and what's
19 being added, we've got a list here, which we'll provide to
20 you. And I'll give it to the court reporter as well.

21 The first list being -- yes.

22 COMMITTEE MEMBER EASTMOND: Can I just clarify
23 something?

24 CHIEF COUNSEL MONAHAN-CUMMINGS: Sure.

25 COMMITTEE MEMBER EASTMOND: In this case, you're

1 talking about the list of chemicals that need to be tested
2 or additional information?

3 CHIEF COUNSEL MONAHAN-CUMMINGS: Right.

4 COMMITTEE MEMBER EASTMOND: So it's not the list
5 that we talked about, the --

6 CHIEF COUNSEL MONAHAN-CUMMINGS: No.

7 COMMITTEE MEMBER EASTMOND: -- Proposition 65
8 list?

9 Okay. So it's just --

10 CHIEF COUNSEL MONAHAN-CUMMINGS: Well, it is a
11 Prop 65 --

12 COMMITTEE MEMBER EASTMOND: Yeah, but it's not
13 usual --

14 CHIEF COUNSEL MONAHAN-CUMMINGS: Right, it's a
15 much --

16 COMMITTEE MEMBER EASTMOND: So this is this
17 compilation here. Okay.

18 CHIEF COUNSEL MONAHAN-CUMMINGS: Right, right.

19 And this list does not -- in this case, you're
20 not determining that any of these chemicals cause cancer.
21 What you're trying to do is determine whether the
22 chemicals still need to be tested to find out if they
23 cause cancer.

24 And there's only a certain number of chemicals
25 that are actually required to be tested. And those are --

1 U.S. EPA and DPR keeps track of those.

2 Because the testing has to be done and provided
3 to them for their decisions like on registration of a
4 pesticide or re-registration of a pesticide or -- under
5 TSCA.

6 So what we have for you is we basically have put
7 together two lists. One, this list that's up on the
8 screen now of five chemicals that U.S. EPA is asking for
9 us to add to a list of chemicals that still need to be
10 tested. And we'll -- Cindy, maybe if you wouldn't mind
11 passing those out.

12 We're calling that Exhibit A for the record. And
13 I'm going to provide a copy of that to the court reporter,
14 because I don't want to try and pronounce these chemical
15 names.

16 So we have Exhibit A, which is the list of
17 chemicals that we're suggesting -- that U.S. EPA wants to
18 add to the list.

19 And we have Exhibit B, which is 48 chemicals that
20 primarily U.S. EPA, but also DPR, have determined they've
21 received the testing for all of the cancer. And when that
22 repro testing is complete, then they can be removed from
23 this list. Now, that's not a finding that these chemicals
24 once again either cause or don't cause harm. It's just a
25 finding that now U.S. EPA and DPR have the test data that

1 they need for their program. Okay?

2 So what I'd like to do -- I certainly can answer
3 your questions here. But this is basically a ministerial
4 act on your part. We just want to be able to update the
5 list that's in the regulation based on the information
6 that's provided from the U.S. EPA. And you can rely on
7 that because it's their program, so you don't have to make
8 independent scientific finding in regard to these
9 chemicals. We're just needing to update the list, and
10 it's supposed to be done by a finding by this group.

11 So what I'd like to do is have Dr. Mack --

12 CHAIRPERSON MACK: Why don't I first ask if there
13 are any questions.

14 CHIEF COUNSEL MONAHAN-CUMMINGS: Sure. Okay.

15 CHAIRPERSON MACK: How long is the list to which
16 these five are to be added?

17 CHIEF COUNSEL MONAHAN-CUMMINGS: Well, it's in
18 the materials that you have there. Right now, there's --

19 CHAIRPERSON MACK: Well, I see the list of ones
20 that have been adequately tested by EPA. So that's the
21 next list. But is there a list --

22 CHIEF COUNSEL MONAHAN-CUMMINGS: Right. These
23 two -- the Exhibit A and Exhibit B are the changes we want
24 to make. But the existing list that's in the regulation
25 is in the materials that you were provided. It's --

1 (Thereupon Exhibits A and B were marked.)

2 CHAIRPERSON MACK: So the one that has a lot of
3 them crossed out, we assume that the ones that aren't
4 crossed out are the ones that remain on the list, and
5 these five will be added to that; is that correct?

6 CHIEF COUNSEL MONAHAN-CUMMINGS: Right, that's
7 correct.

8 CHAIRPERSON MACK: And is it also correct that
9 our expertise in this matter is of no use whatever?

10 (Laughter.)

11 CHIEF COUNSEL MONAHAN-CUMMINGS: Well,
12 unfortunately I think that kind of sums it up.

13 (Laughter.)

14 CHIEF COUNSEL MONAHAN-CUMMINGS: I don't think
15 that we're asking you to make a scientific determination.
16 The statute isn't clear on what criteria. But the
17 regulation just says that we'll ask U.S. EPA and DPR, and
18 they're basically making that call. We're just updating
19 the list.

20 CHAIRPERSON MACK: In short, yes.

21 CHIEF COUNSEL MONAHAN-CUMMINGS: Yes.

22 CHAIRPERSON MACK: So this is an ex officio act
23 that has no a priori meaning for us?

24 CHIEF COUNSEL MONAHAN-CUMMINGS: Pretty much.

25 CHAIRPERSON MACK: All right. Based upon the

1 information you have been provided from U.S. EPA - or by
2 U.S. EPA, if I were writing it -- should the five
3 chemicals noted on Exhibit A be added to the list of
4 chemicals required by State or federal law to be tested,
5 but which have not been adequately tested as required? I,
6 as Chair, then request "yes" votes.

7 Will everybody who agrees to this proposition
8 signify by raising their hand.

9 (Hands raised.)

10 CHAIRPERSON MACK: No? Any noes?

11 Any abstinence?

12 Okay. You have got your protocol satisfied.

13 CHIEF COUNSEL MONAHAN-CUMMINGS: Thank you.

14 And then they have the second list, Exhibit B.

15 CHAIRPERSON MACK: Oh, there's the second one.

16 Based upon the information we have been provided
17 from U.S. EPA and CDPR -- which is the California
18 Department of Pesticide Regulation, I believe.

19 CHIEF COUNSEL MONAHAN-CUMMINGS: Correct.

20 CHAIRPERSON MACK: -- should the chemicals noted
21 on Exhibit B be removed from the list of chemicals
22 required by State or federal law to be tested, but which
23 have been adequately tested as required?

24 The Chair then requests "yes" votes.

25 Would everybody who agrees to that proposition

1 raise their hand.

2 COMMITTEE MEMBER HOPP: I have a comment.

3 CHAIRPERSON MACK: Okay.

4 COMMITTEE MEMBER HOPP: This list includes
5 nicotine and its derivatives and malathion. So this takes
6 those drugs off the possible list of --

7 COMMITTEE MEMBER HAMBURG: No. It's a list of
8 whether it's been tested or not. It's just a list to
9 notify whether it's been tested.

10 CHAIRPERSON MACK: These are chemicals which we
11 are agreeing to say, because we have been told to do so,
12 that the EPA has, in fact, tested these in satisfaction of
13 State and federal law.

14 COMMITTEE MEMBER HAMBURG: Not --

15 CHAIRPERSON MACK: Not that they have anything to
16 do with carcinogenesis.

17 COMMITTEE MEMBER HAMBURG: Just that the test has
18 been done.

19 COMMITTEE MEMBER HOPP: I understand. I'm just
20 pointing out what's on the list, because these are not
21 insignificant chemicals.

22 CHAIRPERSON MACK: Joe.

23 COMMITTEE MEMBER LANDOLPH: Well, so EPA has done
24 the testing?

25 CHAIRPERSON MACK: On the second list, EPA has

1 satisfied itself that the testing has been done. It may
2 not have done it itself.

3 COMMITTEE MEMBER LANDOLPH: Okay. So if they've
4 done it, they've done it. That's all. It's done.

5 COMMITTEE MEMBER HAMBURG: That's the question,
6 have they done it?

7 CHAIRPERSON MACK: I will now re-read --

8 COMMITTEE MEMBER LANDOLPH: Just so it's clear
9 that it's their responsibility, not ours.

10 CHAIRPERSON MACK: Based upon the information we
11 have been provided from U.S. EPA and CDPH, should the
12 chemicals noted on Exhibit B be removed from the list of
13 chemicals required by State or federal law to be tested,
14 but which have not been adequately tested as required?

15 Everybody that agrees to that proposition raise
16 their hand.

17 (Hands raised.)

18 CHAIRPERSON MACK: Noes?

19 And abstinence? No.

20 So you have got your second proposition
21 satisfied.

22 CHIEF COUNSEL MONAHAN-CUMMINGS: Thank you very
23 much. I appreciate it.

24 CHAIRPERSON MACK: Do we have anymore business?

25 DIRECTOR DENTON: Yes, we do. Staff updates.

1 CHAIRPERSON MACK: Staff updates. That must be
2 why Martha moved over to that place.

3 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

4 SANDY: Yes. I was trying to go through the -- make sure
5 you saw and the audience saw all the pictures.

6 So if we can open this again.

7 (Thereupon an overhead presentation was

8 Presented as follows.)

9 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

10 SANDY: Okay. So I'm ready to go if you are.

11 This is an update on prioritization and where we
12 are in applying the epidemiology data screen and the first
13 animal data screen.

14 --o0o--

15 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

16 SANDY: So the prioritization process is shown here on
17 this slide. And I have highlighted the step in the
18 process that we are discussing today. And, that is,
19 performing screens on the candidate chemicals.

20 Candidate chemicals are those chemicals in our
21 tracking database with data suggesting that they cause
22 cancer and have exposure potential in California. We
23 screen them through focused literature reviews. At your
24 last meeting in November of 2007, we presented the results
25 of applying the epidemiology data screen to candidate

1 chemicals in our OEHHA tracking database. And two of
2 those chemicals we brought to you today for listing
3 consideration, TNT and dimethylformamide. The third will
4 come to you at your next meeting.

5 That process, that screening process identified
6 those three chemicals. We also discussed at your last
7 meeting the next steps for prioritization, namely, to
8 screen the candidate chemicals in the database with an
9 epidemiology data screen again, and at the same time to
10 add an animal data screen.

11 So at your last meeting, we presented two options
12 for possible animal data screens for Committee discussion
13 and input.

14 --o0o--

15 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF
16 SANDY: And here they are, if you recall. So at your last
17 meeting, the Committee suggested that we consider merging
18 both the proposed screen 1 and proposed screen 2 into one
19 for use in the next round of screening. So following that
20 advice, OEHHA looked carefully at how we could do that.
21 And we determined that merging the two animal data screens
22 into one would result in a screen that was very time
23 consuming to apply to each candidate chemical, because it
24 would require that focused literature searches and
25 literature reviews be performed covering three types of

1 information for each individual chemical.

2 The first type being animal cancer bioassays.

3 The second being information on structurally similar
4 chemicals that are carcinogenic. And the third being
5 mechanistic information.

6 So as an alternative, OEHHA developed an approach
7 in which focused literature searches and literature
8 reviews are conducted on one type of animal data, namely,
9 the animal cancer bioassay data. What we've done is shown
10 here. This is our animal data screen we're using in 2008.

11 We are just looking at animal cancer bioassay
12 data in this animal screen. And what our screen does is
13 it identifies chemicals with either two or more positive
14 animal cancer bioassays, with positive bioassays defined
15 as those bioassays reporting an increased incidence of
16 malignant or combined benign and malignant tumors.

17 And it also picks up chemicals with one positive
18 animal cancer bioassay, in which the tumors occurred to an
19 unusual degree with regard to incidence, site or type of
20 tumor or age at onset; or chemicals with one positive
21 animal bioassay with findings of tumors at multiple sites;
22 or chemicals with one positive animal cancer bioassay and
23 evidence from a second animal study of benign tumors known
24 to progress to malignancy.

25 So we are currently screening 380 candidate

1 chemicals in our tracking database.

2 --o0o--

3 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF

4 SANDY: This entails reapplying the epidemiology data
5 screen and then applying that 2008 animal data screen.
6 For chemicals that pass either screen, we then conduct a
7 preliminary toxicological evaluation of the overall
8 evidence. And this evaluation includes consideration of
9 the information that we've identified in our screening
10 level preliminary review of available literature on that
11 chemical, such as readily available human data, animal
12 cancer bioassay data, data on mechanisms of action,
13 metabolism and pharmacokinetics and structural similar
14 carcinogens.

15 Now, this is a screening procedure, so we don't
16 want to spend weeks on one chemical. We want to be able
17 to move through quickly. And to date, we have completed
18 the screening of about a third of the candidate chemicals.
19 And we anticipate bringing the results of this screening
20 effort to you at your May 2009 meeting as the next group
21 of chemicals that are in that group called "proposed for
22 Committee consideration." And I'll take you back to the
23 process.

24 So right under that box, "Chemicals Proposed for
25 Committee Consideration," we'll bring those to you at your

1 next meeting.

2 And that's the end of the update. Any questions?

3 COMMITTEE MEMBER LANDOLPH: Hi, Martha. I have a
4 question.

5 So based on a suggestion I made many years ago,
6 and Irva Hertz-Picciotto wrote up again, we thought that
7 it would make sense to try -- and then we had all the
8 prioritization meetings -- we thought it would make sense
9 to use the epidemiology as a screen. But I'm seeing that
10 the epidemiology that's bringing these chemicals forth is
11 not really strong. I mean, the two chemicals we looked at
12 today, the epidemiology was kind of weak on those. So in
13 what you think you have in the tracking database, is the
14 epidemiology about as weak as it was for these two
15 chemicals that we had today?

16 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF
17 SANDY: Well, you know, we screened a smaller number of
18 chemicals the last time. And we found the three chemicals
19 that we brought forward. But as time goes on, more
20 studies are published. So it's very hard to predict what
21 the strength of the evidence will be, you know. So far in
22 our screening effort, we've identified one new chemical
23 based on the human screen. But we're identifying, you
24 know, many more chemicals on the animal data screen,
25 because we've already screened so many for human data.

1 COMMITTEE MEMBER LANDOLPH: All right. So then
2 my follow-up question would be: Say, if you only find
3 one, based on epidemiology, but you find a number of them
4 based on animals, what, will you then bring forward, say,
5 one based on the epidemiology and then drop to the animals
6 and bring one or two more forward? Is that how you're
7 going to proceed?

8 CANCER TOXICOLOGY & EPIDEMIOLOGY SECTION CHIEF
9 SANDY: Actually, we're doing both screens
10 simultaneously -- or sequentially, I should say. And
11 that's consistent with our prioritization document. It
12 was finalized in December of 2004, which your committee
13 approved.

14 So the idea is that we will just continue to --
15 as we add a new screen, we'll apply all the old screens.
16 That effort of reapplying a screen doesn't take very long,
17 because we know we completed a screen a couple years back,
18 so we don't have to search the literature for more than a
19 year or two.

20 COMMITTEE MEMBER LANDOLPH: Thank you.

21 DIRECTOR DENTON: And, Dr. Landolph, you'll
22 remember that the next stage is we would bring these
23 chemicals to you, we would say, "Okay, here's what that
24 screen says about this. Do you advise that we go forward
25 and prepare hazard identification materials to bring it

1 back to the Committee or not?" So --

2 COMMITTEE MEMBER LANDOLPH: Yeah. And I think
3 that's a great idea, because it will save you a lot of
4 work and your staff and hopefully focus on those that are
5 worth having you invest all that labor to prepare the
6 hazard identification document. I think it's a good step.

7 CHAIRPERSON MACK: Any other alert persons with
8 something to say?

9 Thank you, Martha.

10 Are we finished?

11 DIRECTOR DENTON: No. We have Cindy and then we
12 have Carol.

13 CHAIRPERSON MACK: All right. Now, Cynthia.

14 MS. OSHITA: Good afternoon. Since the Committee
15 met last November 2007, OEHHA has administratively added
16 ten chemicals to the Prop 65 list. Seven were added as
17 known to cause cancer. And they include dibromoacetic
18 acid, benthiavalicarb-isopropyl -- excuse my pronunciation
19 here -- mepanipyrim, pirimicarb, resmethrin, gallium
20 arsenide, and oryzalin. And three chemicals were added as
21 known to cause reproductive toxicity. And they include
22 hexafluoracetone, nitrous oxide, and vinyl cyclohexene.

23 There is a summary sheet included in your binders
24 under the staff updates that will list the chemicals along
25 with their effective listing dates.

1 In addition to these listings, there are a couple
2 of other chemicals that are under consideration for
3 listing. And they include 4-methylimidazole as a chemical
4 known to cause cancer, and methanol as a chemical known to
5 cause reproductive toxicity. We've received comments on
6 these chemicals and they're currently under review.

7 Also, included in your binders is a summary sheet
8 of the safe harbor levels that we've adopted during this
9 past year. A no-significant-risk level was adopted for
10 nitromethane. That was effective April 28th, 2008. And
11 for C.I. Direct Blue 218, which was effective September
12 7th, 2008.

13 There was also a maximum allowable dose level
14 that was adopted for di-n-butyl phthalate, which was
15 effective July 23rd, 2008. And we have a rulemaking
16 package adopting MADL for di-n-hexyl phthalate that has
17 been submitted to the Office of Administrative Law. And
18 we await the Office's decision of approval within the next
19 month.

20 Earlier this year, in March, we issued a Notice
21 of Proposed Rulemaking announcing the proposed NSRL for
22 ethylbenzene. We've received written comments, which we
23 are reviewing, and we will respond to them as part of the
24 rulemaking process.

25 Thank you.

1 CHAIRPERSON MACK: Thank you.

2 Carol.

3 CHIEF COUNSEL MONAHAN-CUMMINGS: All right. In
4 terms of litigation update, I wanted to just give you a
5 couple of notes. One is that this group has kind of been
6 following the litigation that was filed for failure to
7 provide warnings for acrylamide exposures in food. If you
8 recall, this group was involved in a lot of different
9 issues related to providing warnings and things like that
10 for acrylamide. And I just wanted to let you know that
11 the Attorney General's cases that were filed against a
12 number of different companies have all been resolved now.
13 And you may have noticed that some restaurants are
14 providing acrylamide warnings now for exposures for foods.
15 And a number of other companies have agreed to reduce the
16 acrylamide levels in their products, including chips and
17 french fries, to levels that don't require a warning. So
18 I just wanted to let you know that that was the outcome of
19 that litigation. We weren't parties and neither were you
20 in those cases, but it was something of interest to you.
21 Acrylamide is listed as a carcinogen. So there's that
22 one.

23 And then the other case I'm sure you're all aware
24 of is the Sierra Club versus Schwarzenegger case, in which
25 this group is one of the defendants. And just to give you

1 a quick update on that. As you know, our -- we had filed
2 a demurrer to the case initially and were unsuccessful,
3 except slightly so for this group in terms of the Court
4 did grant the demurrer in terms of your mandatory duty to
5 list chemicals. But your discretionary duties are still
6 in here. And so, unfortunately for you, you're still
7 defendants in the case.

8 But that case is moving forward. It's in the
9 very preliminary stages. Some discovery has been
10 exchanged and there is a motion that's pending in the
11 court in Alameda County on December the 9th to determine
12 a -- it's a motion for summary adjudication as to listings
13 under the California Labor Code provision of Prop 65,
14 which you're not involved in in this group. But the
15 allegation is that there are about 92 chemicals that
16 should be listed under Prop 65 as either carcinogens or
17 reproductive toxicants that haven't been -- so that motion
18 will be heard in December. And following the outcome of
19 that we'll certainly let you know what's happening with
20 the case. But there is no trial date set yet for this
21 case.

22 CHAIRPERSON MACK: Thank you.

23 DIRECTOR DENTON: I'd like to summarize then
24 what's happened today. By a vote of 3 yes and 4 no, the
25 Committee has decided not to list Dimethylformamide. But

1 by a unanimous vote, the Committee has listed TNT.

2 Also, by unanimous vote, the Committee updated
3 the Section 24000 list as recommended by staff.

4 CHIEF COUNSEL MONAHAN-CUMMINGS: 27000.

5 DIRECTOR DENTON: 27000.

6 I would just like to say that how much we, and I,
7 and I think the panel appreciate the work that's been done
8 by the staff of OEHHA. These meetings are quite time
9 consuming and also labor intensive. And so I want to say
10 how much that I, as the Director, appreciate the work
11 that's done by my staff. And if I could just mention
12 them: Jay Beaumont and Martha Sandy and Susan Luong and
13 Allen Hirsh and Kate Li and George Alexeeff and Cindy,
14 Susan, Lindsey, Fran. Amy Dunn was here earlier. Lauren,
15 Carol. I don't think I missed anybody.

16 Of course, Dave Morry sitting in the back.

17 And I also, on behalf of OEHHA, would like to
18 thank the due diligence and the commitment of this panel
19 and for participating in the work of Prop 65. And we're
20 always very impressed with the quality of the discussions
21 and the commitment and the carefulness with which you
22 consider the work that you do. So with that, I'd like to
23 say thank you very much. And I guess Happy Thanksgiving,
24 Happy Holidays, and Happy New Year, and we'll see you next
25 year.

1 CHAIRPERSON MACK: Happy New Administration.
2 (Thereupon the Carcinogen Identification
3 Committee adjourned at 2:52 p.m.)
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

1 CERTIFICATE OF REPORTER

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, and Registered
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the
6 foregoing California Office of Environmental Health Hazard
7 Assessment, Carcinogen Identification Committee was
8 reported in shorthand by me, James F. Peters, a Certified
9 Shorthand Reporter of the State of California, and
10 thereafter transcribed into typewriting.

11 I further certify that I am not of counsel or
12 attorney for any of the parties to said workshop nor in
13 any way interested in the outcome of said workshop.

14 IN WITNESS WHEREOF, I have hereunto set my hand
15 this 13th day of November, 2008.

16

17

18

19

20

21

22

23 JAMES F. PETERS, CSR, RPR

24 Certified Shorthand Reporter

25 License No. 10063