

---o0o---

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT

---o0o---

ORIGINAL

PUBLIC FORUM

MEETING OF THE DEVELOPMENTAL AND REPRODUCTIVE
TOXICANT IDENTIFICATION COMMITTEE

---o0o---

MONDAY, DECEMBER 13, 1999

---o0o---

HELD AT:

2020 L STREET
AIR RESOURCES BOARD, BOARD ROOM LOWER LEVEL
SACRAMENTO, CA

---o0o---

OFFICE OF ENVIRONMENTAL HEALTH
HAZARD ASSESSMENT
Received

JAN 31 2000

Sacramento

REPORTED BY:

SAHAR DEMOS
SHORTHAND REPORTER

PORTALE & ASSOCIATES (209) 462-3377

1 APPEARANCES

2
3 ---o0o---

4 Development and Reproductive Toxicant
5 Identification Committee:

6 DR. DOROTHY BURK, CHAIRMAN
7 DR. KENNETH JONES
8 DR. CARL L. KEEN
9 DR. HILLARY KLONOFF-COHEN
10 DR. MARION G. MILLER
11 DR. STEVEN J. SAMUELS
12 DR. PATRICIA H. SHIONO

13 Office of Environmental Health Hazard
14 Assessment:

15 DR. JOAN DENTON, Director

16 DR. George Alexeeff, Deputy Director of
17 Scientific Affairs

18 COLLEEN HECK, Chief Counsel

19 Dr. LAUREN ZEISE, Reproductive and Cancer
20 Hazard Assessment (RCHAS)

21 Staff Presenters:

22 DR. JIM DONALD, OEHHA
23 DR. JIM MORGAN, OEHHA

24 WINSTON HICKOX, OEHHA Secretary
25 CYNTHIA OSHITA, Proposition 65 Implementation

OFFICE OF ENVIRONMENTAL HEALTH
HAZARD ASSESSMENT
Received

FEB 16 2000

Sacramento

1 AGENDA

2 ---o0o---

3 ITEM	PAGE
4 I. Introduction and Opening Remarks	
5 By Dr. Joan Denton	4
6 II. Consideration of Chemicals Known 7 To Cause Reproductive Toxicity	
8 A. Quizalofop ethyl 9 Staff Presenter: 10 Dr. Jim Donald	12
11 Public Comments 12 Dr. Hanan Ghantous	33
13 B. Fenbutatin oxide 14 Staff Presenter: 15 Dr. Jim Morgan	66
16 Public Comments 17 None	
18 III. Staff Updates	
19 Chemicals added via the administrative 20 listing mechanism 21 Cynthia Oshita, OEHHA	114
22 Proposition 65 litigation and rulings 23 Colleen Heck, OEHHA	116
24 IV. Summary of Committee Actions 25 Dr. Joan Denton, Director, OEHHA	120

1 SACRAMENTO, CALIFORNIA

2 MONDAY, DECEMBER 13, 1999

3 DR. BURK: Good morning, everyone. I'd
4 like to call the meeting to order. This is
5 the meeting of the DART Identification
6 Committee. And I will introduce first, Dr.
7 Joan Denton, Director of OEHHA, the Office of
8 Environmental Health Hazard Assessment.

9 DR. DENTON: Good morning to you all. I
10 wanted to take a moment to introduce to you
11 the members of the Committee. And we have two
12 new members of the DART Committee who have
13 joined us. This is their first meeting, and I
14 wanted to say a couple of things about them.
15 So I'll just go around the table, here.

16 Of course, Dr. Burk, who is acting Chair.
17 We had heard someone said that they thought
18 that you were a new Chair. But I said, "No.
19 Dr. Hendricks could not attend the meeting
20 today, so Dr. Burk graciously, I guess,
21 accepted the challenge of chairing the
22 Committee.

23 To her right is Dr. Carl Keen, Dr. Linda
24 Roberts, our new -- one of our new members,
25 Dr. Hillary Klonoff-Cohen, and then Dr.

1 Kenneth Jones, who's on the very end.

2 To my left, Dr. Marion Miller, our new --
3 the other new person on the Committee, Dr. Pat
4 Shiono, and then Dr. Steve Samuels rounds out
5 the Committee.

6 I just want to say a couple of things
7 about our new members. Both of our new
8 members are epidemiologists.

9 And I will start with you, Dr. Shiono.
10 Dr. Shiono is an epidemiologist who earned a
11 degree in -- a Ph.D. in epidemiology, and an
12 M.S. in biostatistics. As part of the -- of
13 her curriculum vitae, she was a senior
14 epidemiologist at the National Institutes of
15 Health, where she studied the causes of low
16 birthweight, pre-term, infant mortality, and
17 congenital malformations. She also conducted
18 epidemiology research on things such as
19 cigarette smoke, alcohol, caffeine, and
20 cocaine on birth outcomes.

21 After that, she was a founding member and
22 director of research and grants for
23 epidemiology at the Center for the Future of
24 Children at the David and Lucille Packard
25 Foundation. And in this capacity, she not

1 only managed research grants, but also did
2 epidemiology research in pregnancy and birth
3 outcomes in child health.

4 She has published the results of her work
5 in numerous scientific journals, and has
6 authored medical textbook chapters and also
7 serves as a consulting reviewer and editor to
8 several medical journals.

9 So we're very glad to have you,
10 Dr. Shiono.

11 Then on my right, Dr. Klonoff-Cohen
12 received her Ph.D. from the University of
13 North Carolina at Chapel Hill in 1987. And
14 she is a reproductive epidemiologist.

15 Currently, she is an Associate Professor
16 at UC San Diego, and teaches -- there, she
17 teaches medical and doctoral students. But
18 also, she has conducted and is conducting
19 research on causes of reproductive cancers and
20 obstetrical and pediatric diseases.

21 Dr. Klonoff-Cohen has also published
22 widely, and is the reviewer/editor for
23 numerous first-authored publications, and is a
24 member of several committees at the
25 university, state, and local levels.

1 So again, we're very glad to have you. I
2 would also like to mention that we have the
3 privilege of another distinguished guest in
4 the audience, our agency secretary, Winston
5 Hickox.

6 Winston, I don't think I can give such a
7 thorough background as I gave for the others,
8 but we're very glad that, that you're here.

9 This is the first DART Committee meeting
10 of 1999. And Winston came by as the, you
11 know, as our first meeting to be introduced to
12 the Committee members.

13 We also would like, if, if you'd like,
14 Winston, to say a few words to the Committee.
15 And this is the podium up here.

16 MR. HICKOX: Thanks, Joan. I really had
17 not intended to speak formally, but given the
18 chance to put a microphone in front of me, why
19 not?

20 First of all, let me welcome you here
21 today. This is your first meeting under a new
22 administration. Many of you have served under
23 two prior administrations. This
24 administration, the Gray Davis administration,
25 equally embraces the importance of this

1 Committee and its duties and responsibilities.

2 As many of you must know, in his prior
3 statements, in occupying prior offices in
4 California, and this year, as Governor,
5 Governor Gray Davis has spoken often about his
6 belief in the importance of sound science as
7 the underpinning of the decisions that we make
8 in our regulatory programs.

9 I'd share this with you, which I didn't
10 have a chance to as we met earlier on a
11 one-on-one basis: We have been engaged in an
12 effort to re-think Cal/EPA as an organization
13 structure. I don't really mean that as
14 dramatically as that might sound, but the
15 Governor and the legislature ask that we do
16 that.

17 And in the course, I would share with you
18 that the report that is the end result of that
19 effort in draft form reached me on Friday.
20 And nothing in that report does anything to
21 diminish the importance of this Committee and
22 this approach to the way in which we go about
23 our regulatory functions in protection of the
24 environment. In fact, if anything, it brings,
25 I think, a clear focus to the need to be sure

1 that we adequately assess risk and separate
2 that from risk mitigation responsibilities as
3 part of the regulatory program here at Cal
4 EPA.

5 I'm not going to be able to stay for your
6 entire meeting today. I'm aware of some
7 important subjects before you. I wish you
8 well. I hope that the deliberation leads to a
9 very defensible conclusion, that we find some
10 way in all of this to find a path down the
11 middle, if that's doable, in the arena of
12 science and the finding of truth and the
13 finding of the appropriate answers to specific
14 questions.

15 This administration is, above all, about
16 finding a course down the middle and using
17 sound science as a basis for our decision. So
18 Godspeed, good luck today, and thank you for
19 the opportunity to meet you individually and
20 to address you collectively.

21 Thanks, Joan.

22 DR. DENTON: Thank you, Winston. There
23 are two items on the agenda that the Committee
24 will be discussing today, quizalofop ethyl and
25 fenbutatin oxide.

1 Before I turn it over to you, Dr. Burk, I
2 wanted to mention that this -- the area around
3 the building is notorious for giving people
4 tickets if you run out of time on your meters.
5 So I would just -- just wanted to advise you
6 that if you are on timed meters, that you
7 watch it carefully, because I, myself, have
8 been the victim numerous times of the
9 diligence of the meter people.

10 So with that, I will turn it over to you,
11 Dr. Burk, for the meeting.

12 DR. BURK: Thank you, Joan. The first
13 chemical that we are going to consider this
14 morning is quizalofop ethyl. And I think
15 first, we have a staff presentation by Dr. Jim
16 Donald. Is that the plan?

17 MS. HECK: Yes. Dr. Burk? Colleen Heck,
18 with OEHHA. We were going to have me just
19 give a brief procedural statement before we
20 turn it over to, to Jim Donald.

21 Thank you. Good morning. I wanted to
22 give a brief background and procedural
23 statement regarding these two chemicals,
24 because this will be the first time that the
25 DART Committee is being asked to consider a

1 chemical for listing that was previously under
2 consideration for listing via the
3 Authoritative Bodies mechanism.

4 Both quizalofop ethyl and fenbutatin
5 oxide were formally identified by U.S. EPA as
6 causing reproductive toxicity. On that basis,
7 OEHHA issued a Data Callin Notice for each of
8 these chemicals. OEHHA staff reviewed the
9 information in the record at that time and
10 determined there was a sufficient basis to
11 move the chemical to the next stage in the
12 listing process, the Notice of Intent To List.

13 Once both chemicals were in the Notice of
14 Intent To List phase, the staff at OEHHA
15 determined that the chemicals did not meet the
16 regulatory criteria for listing or the
17 Authoritative Bodies listing set forth in
18 Section 12306 of the implementing regulations.

19 However, that same regulation mandates
20 when such a determination is made at the
21 Notice of Intent To List stage, that the
22 chemical does not meet the listing criteria
23 that it be referred to this Committee for its
24 review.

25 And finally in this regard, the

1 regulation makes it clear what the standard is
2 by which to review the chemical; it's the same
3 standard you would use and do use for
4 chemicals that come to you via the normal
5 route, the non-Authoritative Bodies route.

6 So, that is, you are being asked to
7 determine whether in your opinion the chemical
8 has been clearly shown through scientifically
9 valid testing according to generally accepted
10 principles to cause reproductive toxicity.

11 You're not sitting in review so much of the
12 Authoritative Body as sitting in your normal
13 role as Committee members serving as the
14 Governor's State's qualified experts.

15 Thank you.

16 DR. DONALD: Good morning. My name is
17 Jim Donald. I'm Chief of the Reproductive
18 Toxicology Unit at OEHHA.

19 I'm going to make a couple of
20 presentations on quizalofop ethyl. And
21 normally, at this stage, we would give you
22 just a brief overview presentation of the
23 whole database for quizalofop. In this
24 instance, we received on Thursday of last week
25 a two-generation reproduction study on

1 quizalofop, which we had not previously been
2 able to obtain. And in case you weren't --
3 you received copies of that this morning. In
4 case you haven't yet been able to digest all
5 five hundred-plus pages of the study, we
6 thought we would give you a brief overview at
7 this point.

8 We provided a summary of the study in the
9 same sort of format as we would have in the
10 HID. And I'm just going to walk you through
11 that. So if you'd like to turn to that in
12 your binder, it's behind the quizalofop ethyl
13 HID, behind the first blue divider, I think.
14 Yeah, behind the first blue page. And copies
15 of this are available at the table, at the
16 entrance, to members of the audience.

17 The study appears to be a fairly
18 standard, two-generation, four-litter
19 reproduction study conducted in rats, a
20 Sprague-Dawley derivative. Animals in the F-0
21 generation were exposed to quizalofop for 70
22 days before breeding beginning at age
23 approximately 35 days.

24 In the second generation animals from the
25 F-1A litter that we used for breeding were

1 exposed for 80 days prior to breeding
2 beginning at, at weaning, so approximately age
3 21 days. The animals were exposed via diet.
4 The concentrations in diet were zero for the
5 control animals, 25, 100, or 400 parts per
6 million. 23 males and 23 females were bred in
7 the F-0 generation. And the same number from
8 the F-1A generation were bred to produce the
9 F-2 litters.

10 DR. SAMUELS: Excuse me, Jim. Could you
11 please wait a second while we find --

12 DR. DONALD: I'm sorry. This, this --

13 DR. BURK: It's the last --

14 DR. DONALD: I'm sorry. I got my
15 presentation mixed up. Okay.

16 DR. SAMUELS: Thank you.

17 DR. DONALD: Okay. So to hopefully put
18 it into a little bit of perspective, the
19 approximate intakes averaged over the entire
20 exposure period or the secondary exposure
21 period, as shown in the table, are given here.

22 I won't read all of them, but the
23 approximate levels prior to gestation were on
24 the order of 2 to 3 milligrams per kilogram in
25 the low-dose group, around 10 to 13 milligrams

1 per kilogram in the mid-dose, a hundred parts
2 per million group, and in the order of 40 to
3 50 or perhaps 40 to 60 milligrams per
4 kilograms in the high-dose group. And during
5 gestation, the intakes were around 2
6 milligrams per kilogram in the low-dose group,
7 approximately 8 milligrams per kilogram in the
8 mid-dose group, and 30 to 35 milligrams per
9 kilogram in the high-dose group.

10 There were some effects on bodyweight in
11 the parental rats. But these effects were not
12 terribly consistent and did not show up in all
13 of the periods of exposure for all the
14 treatment groups. Specifically, the high-dose
15 males were significantly lighter than controls
16 during days zero/70 of treatment. And there
17 was a -- reportedly, a significant trend for
18 decreased bodyweight for the treatment groups.

19 During gestation, there were a couple of
20 periods when the high-dose animals, the
21 high-dose females, were significantly heavier
22 than control animals, days 14 to 21 of
23 gestation in the F-0 females during the second
24 mating period, the mating -- the F1B
25 generation, and also in the second matings of

1 the F1A females that produced the F2B
2 generation. And in that generation also, the
3 low-dose animals, the 25 parts per million
4 animals, were also significantly heavier than
5 the control animals.

6 With regard to indices of female
7 fertility, there was a statistically
8 significant effect on fertility index in the
9 25 part per million group, the low-dose group.
10 This is Table 3 in your handout.

11 This effect, or -- a similar effect also
12 showed up in second matings for the F1B
13 generation, although that did not reach
14 statistical significance. However, there was
15 no statistically significant effect on
16 fertility index in the higher-dose groups in
17 either of these matings and no effects for the
18 matings of the second generation animals.

19 There was a statistically significant
20 decrease in the percentage of pups born alive
21 to females in the 400 parts per million group
22 in the first mating, the mating that produced
23 the F1A generation. And there was also
24 reportedly a statistically significant trend
25 for decreased percentage of pups born alive

1 across the dose levels. And that mating --
2 this effect was not reported for any of the
3 other matings.

4 With regard to male fertility, there was
5 a corresponding decrease in fertility index
6 for males in the 25 parts per million group.
7 I apologize for this table. I realize that the
8 dose levels are not identified. The dose
9 levels are in decreasing order in the table.
10 So the top value, the 95.7 percent, is for the
11 control animals, and the 68.2 is for 25 parts
12 per million, and so on down the table.

13 So in the F-0 matings, the males, in the
14 25 parts per million group, were significantly
15 less fertile which corresponds to the
16 significant effect on female fertility. And
17 it's probably a couple-mediated effect. So
18 there's really no way of telling whether it's
19 primarily male or female. And again, this
20 effect did not show up in the higher-dose
21 groups or the second litter.

22 In terms of developmental parameters,
23 Table 5 shows information on live birth,
24 bodyweight at birth, and apparently, postnatal
25 bodyweight. This is up to four days

1 postnatal.

2 There was a significant effect,
3 significant decrease in pup bodyweight at the
4 high-dose group, 400 parts per million in the
5 F1A generation. There was also reportedly a
6 significant trend for decreasing birthweight
7 across the groups, although the basis for that
8 trend is not necessarily apparent.

9 In the F1B generation, there was also a
10 significant decrease in pup birthweight at
11 birth and significant trend for decreased
12 birthweight across treatment groups. No
13 significant effects on birthweight were
14 reported in the second generation, the F2A or
15 F2B litters.

16 At age 4 days postnatal, pup weights were
17 significantly affected or significantly lower
18 in the 400 parts per million group in the F1A
19 and F1B litters, both pre- and post-culling to
20 8 pups per litter. In the F2A generation,
21 there was a significant effect after the cull,
22 but not prior to the cull.

23 There was also -- I believe there was a
24 significant effect on number of pups born
25 alive, but I don't believe that's reflected in

1 the table. Let me refer to my notes. Yes.

2 The mean number of pups born alive was
3 significantly decreased in the 400 parts per
4 million litter in the F2A generation, which is
5 actually indicated, I believe, in the wrong
6 place on the table. And this is somewhat
7 consistent with the effect that decreased
8 percentage of pups born alive in the F1A
9 generation that was reported under indices of
10 female reproductive toxicity.

11 Clinical observations were made on the
12 pups after birth. A number of parameters were
13 reported. The only one that was statistically
14 significantly effected was the incidence of
15 hematoma in pups. In the F1B generation, all
16 of the dose groups at 25 and 100 and 400 parts
17 per million dose groups had significantly
18 higher incidence of hematoma in the pups. In
19 the F2A generation, there was a significantly
20 higher incidence of hematoma in pups in the
21 100 and 400 parts per million groups.

22 Turning to indices of male reproductive
23 toxicity, testes weights are shown in Table 7.
24 There were no significant effects on testes
25 weight in any of the parental animals. One

1 pathological effect that was noted in the
2 report was a single incidence of several foci
3 of nodular hyperplasia of interstitial cells
4 in both testes of one rat in the 400 parts per
5 million group of the F1A generation.

6 Ten animals per group were assessed for
7 pathological effects from those groups in that
8 generation. This effect was noted to be of
9 minimal severity, but it was pointed out in
10 the report that this effect is not usually
11 spontaneous in rats under one year of age.

12 Table 8 shows information on absolute and
13 relative organ weights in the F2B weanlings.
14 This was the only generation in which these
15 parameters were assessed. A number of organs
16 were significantly effected. Also, bodyweight
17 was significantly effected in both male and
18 female pups.

19 With regard to potential subsequent
20 reproductive effects, the absolute weight of
21 the testes was significantly lower in the 400
22 parts per million pups than in controls. And
23 there was reported to be a statistically
24 significant trend for decreased absolute
25 testes weight. But bearing in mind that there

1 was also decreased body weight and a trend for
2 decreased body weight, it's probably not
3 surprising that the absolute organ weights
4 were -- Excuse me. The relative testes
5 weights were not decreased.

6 And finally, the last page is -- and this
7 is not included in the document that you have
8 -- but this is just a very brief summary of
9 the effects that were noted by the study
10 authors as being treatment related.

11 They considered the significant decrease
12 in the percentage of F1A pups born alive and
13 in the number of F2A pups born alive in the
14 400 parts per million group to be perhaps a
15 minimal compound-related effect. They
16 believed that significant and consistently
17 lower weights of the pups in the 400 parts per
18 million group were compound related.

19 And they also noted that decreased pup
20 weights were not a secondary effect of
21 decreased dam weights, since weights were of
22 dams in the 400 parts per million group were
23 comparable to those of the controls during
24 pre-mating and gestation with the exception of
25 the F2B litters.

1 And as I previously mentioned, they noted
2 that the single incidence of nodular
3 hyperplasia in both testes of one male rat in
4 the 400 parts per million group was an effect
5 that was not usually spontaneous in rats one
6 year of age.

7 That concludes my presentation on that
8 study. I'll be happy to try to answer any
9 questions you have, but given the time we had
10 to review the study, I'm not sure I will be
11 able to provide all of the details.

12 DR. BURK: Oh. Linda. Could you please
13 speak into the microphone? I will ask all of
14 you to do that for the sake of our
15 stenographer.

16 DR. ROBERTS: Did they describe the
17 hematomas?

18 DR. DONALD: No, at least not that I
19 could find in the report.

20 Okay. If there are no further questions,
21 I'll move on to the general presentation on
22 the HID, quizalofop.

23 There is a representative from DuPont in
24 the audience, Dr. Ghantous, who may know more
25 about the study than I do. So she might

1 possibly be able to answer questions.

2 DR. BURK: Does anyone on the Committee
3 have any questions at this point? Well,
4 perhaps we will later.

5 Maybe we should just continue with your
6 report, Jim, and then we'll discuss
7 everything.

8 DR. DONALD: Okay. Consistent with the
9 guidance we've had from the Committee in the
10 past, I'm only going to provide a very brief
11 overview of the Hazard Identification
12 Document.

13 Evidence on developmental reproductive
14 toxicity of quizalofop ethyl: This document
15 was released for review by the Committee and
16 also public review approximately 3 months ago.

17 The next slide.

18 As has already been mentioned by Colleen
19 Heck, quizalofop ethyl originally came under
20 consideration for listing because of its
21 formal identification by the U.S.
22 Environmental Protection Agency as a chemical
23 that caused reproductive toxicity,
24 specifically identified testicular atrophy.
25 So it was under consideration for male

1 reproductive toxicity. And subsequent to
2 publication of a Notice of Intent to List, the
3 chemical has been referred to this Committee
4 because the data used by the Authoritative
5 Body did not meet the criteria specified by
6 the regulations.

7 Next slide, please.

8 Quizalofop ethyl is a propionic acid
9 Ester, but the specific name I won't
10 attempt to pronounce. And the chemical
11 formula and molecular weight is shown.

12 Next slide, please.

13 It was formerly used as a herbicide on
14 broadleaf crops in California, but has not
15 been registered for use in California since
16 1993.

17 Next slide, please.

18 Turning to developmental -- potential
19 Developmental effects of quizalofop
20 ethyl, we were not able to identify any
21 relevant human data. We're now aware of three
22 studies in animals; one study in rabbits, two
23 studies in rats. The rabbit studies consisted
24 of typical developmental toxicity studies,
25 with oral exposure on days 6 to 18 of

1 gestation. In this study, no adverse
2 developmental effects were identified, and
3 this included exposures of dams which were
4 minimally toxic to the dams.

5 Next slide, please.

6 We have one developmental toxicity study

7 In rats where exposure was orally on days
8 6 to 15 of gestation. In this study, lower
9 fetal survival at day 21 of gestation was
10 reported in the highest dose tested. There
11 was also a higher incidence of skeletal
12 variations at the high dose and the mid dose,
13 primarily manifested as a variation in the
14 incidence of fourteenth rib.

15 Next slide, please.

16 Lower postnatal bodyweight and foodintake
17 was reported in high-dose offspring between 1
18 -- at week 1 and 8 postnatal. And again,
19 these were offspring that were exposed to
20 quizalofop in utero but not postnatally. So
21 it's lower absolute and relative uterine
22 weight in the high-dose female offspring
23 assessed at 8 weeks of age. And when
24 offspring were assessed for reproductive
25 function at age 10 weeks postnatally, there

1 was no indication of effect in reproductive
2 function.

3 I probably should have said at the
4 beginning that the study was conducted in two
5 phases. Some of the animals were -- actually,
6 some of the dams were sacrificed at age 21,
7 and the uterine content assessed at that time.
8 A subset of dams were allowed to deliver and
9 rear their litter. That's why we have these
10 two data sets from the study.

11 The next slide, please.

12 In the two-generation reproductive study
13 which I've just described, we had a decreased
14 percentage or number of pups born alive in the
15 F1A and F2A high-dose litters, decreased birth
16 weight in the high-dose litters of the F1A and
17 F1B groups, decreased early postnatal weight
18 in the high-dose litters in the F1A, F1B -- 2B
19 groups.

20 Next slide, please.

21 And an increase incidence of hemangioma
22 in all treatment groups in the F1B generation
23 and in mid and high-dose groups in the F2A
24 generation.

25 Next slide, please.

1 Moving on to potential female
2 reproductive effects, again, we were unable to
3 identify any relevant human data. In terms of
4 animal data, we have two studies in rats, the
5 multi-generation -- the multi-generation
6 reproductive study I described prior to the
7 presentation, and also the reproductive
8 component of the study that I described under
9 "Developmental Effects".

10 We also have five studies where the
11 potential effects in reproductive organs,
12 female reproductive organs, were assessed:
13 Two studies in dogs, two studies in rats, one
14 in rats -- excuse me, one in mice.

15 Next slide, please.

16 So as I mentioned earlier in the rat
17 two-generation reproduction study, there was a
18 significant decrease in the fertility index in
19 low-dose dams in the F1A generation. However,
20 there was no effect in the mid to high-dose
21 dams in that generation, and no effect on any
22 dose groups in other generations.

23 Next slide, please.

24 There are two subchronic studies in dogs
25 reported in the literature. In this study,

1 uterine and ovarian weights varied from
2 control values at the time points in those
3 studies. But there were no apparent dose
4 response relationships in those variations.

5 In rats, there are two studies, one
6 subchronic and one chronic study. In neither
7 of the studies was there any evidence of
8 effects in female reproductive organs.

9 Next slide, please.

10 In a chronic study in mice at the end of
11 a 38-week exposure period, uterine and ovarian
12 weights were increased at all the doses
13 tested. And there was also an increased
14 incidence of ovarian hemorrhage at the high
15 dose tested.

16 Next slide, please.

17 Finally, for male reproductive effects,
18 again, we have no human data. And again, for
19 animal data, we have a two-generation
20 reproduction study and a study with exposure
21 during organogenesis and postnatal assessment
22 of reproductive function. And then we have
23 five studies of potential reproductive organ
24 effects.

25 Next slide, please.

1 In the two-generation reproduction study,
2 there was a significant decrease in fertility
3 index in low-dose males of the F-0 generation,
4 and again, no effect on the high-dose males in
5 the generation -- in that generation or in the
6 F1A generation. And there was a single
7 incidence of focal hyperplasia of the testis
8 in the high-dose male, in the single high-dose
9 male in the F1A group.

10 Next slide, please.

11 Two subchronic studies in dogs: One
12 study was of 6-months' duration. Testicular
13 atrophy was reported at the high dose tested.
14 And this study provided the basis for the
15 Authoritative Body identification of
16 reproductive toxicity.

17 However, there was also a 12-month study
18 conducted in dogs with essentially the same
19 design as the 6-month study. In this study,
20 there was a more comprehensive assessment of
21 testes made, and no testicular atrophy was
22 identified.

23 Next slide, please.

24 Two studies in rats: A 13-week study
25 reported a very high incidence of testicular

1 atrophy at the end of the treatment period. A
2 subset of animals were also alive at the
3 6-week recovery period. And a high incidence
4 of testicular atrophy was reported at the end
5 of that period.

6 In a 2-year study, a 104-week study,
7 there was no testicular atrophy reported after
8 exposure to levels of quizalofop ethyl, the
9 maximum level of which was approximately
10 one-third the effective dose in the earlier
11 study.

12 Next slide, please.

13 In a chronic study in mice at the end of
14 the 78-week exposure period, there was
15 reportedly increased incidence of testicular
16 atrophy, with bilateral atrophy being
17 increased in a dose-related manner at the two
18 highest doses, and the combined incidence of
19 unilateral and bilateral atrophy being
20 increased at all doses.

21 The next slide, please.

22 So to summarize briefly, the evidence for
23 developmental toxicity of quizalofop ethyl
24 consists of data from a developmental toxicity
25 study in rats, exposure on days 6 to 15 of

1 gestation, where there was lower fetal
2 survival and higher incidence of skeletal
3 variations.

4 Next slide, please.

5 And also, lower postnatal bodyweight and
6 food intake and lower absolute and relative
7 uterine weights at age 8 weeks of age in
8 offspring exposed prenatally to quizalofop
9 ethyl.

10 Next slide, please.

11 In the two-generation reproduction study
12 in rats, we have reports of decreased live
13 births, birthweights and early postnatal
14 weights, and an increased incidence of
15 hemangioma in pups.

16 Next slide, please.

17 Evidence for female reproductive toxicity
18 consists of a report of increased ovarian
19 weights and increased incidence of ovarian
20 hemorrhage after 78 weeks exposure in mice.

21 Next slide, please.

22 And the evidence for male reproductive
23 toxicity consists of a certain form of
24 testicular atrophy in dogs exposed to
25 quizalofop ethyl for 6 months, but it was not

1 replicated in a 12-month study with the same
2 exposure parameters; increased incidence of
3 testicular atrophy in rats exposed to
4 quizalofop ethyl for 13 weeks.

5 Next slide, please.

6 Increased incidence in testicular atrophy
7 in mice exposed for 78 weeks and isolated
8 decrease in fertility index in low-dose males
9 of the F-0 generation only in -- in the F-0
10 generation only, and a two-generation
11 reproduction study, and a single incidence of
12 focal hyperplasia of the testis in an F-1 rat
13 from a two-generation reproduction study.

14 And that concludes the presentation. I'd
15 be happy to take questions at this point.

16 DR. BURK: Steve, question for Jim?

17 DR. SAMUELS: Jim, if you would turn,
18 please, page 25 in the September handout
19 that's Table C.1.2.9.

20 DR. DONALD: Um-hum.

21 DR. SAMUELS: In the parentheses it
22 states that chi-square tests for percentages
23 were carried out. Where does that data come
24 from? Could that be --

25 DR. DONALD: That was taken verbatim from

1 the study report, but no elaboration was
2 provided.

3 DR. SAMUELS: Well, if it's so, then it's
4 an improper test, since it used the pup or the
5 fetus as the unit of analysis instead of the
6 dam. So I doubt if these would be
7 statistically significant variations if the
8 proper analysis were used.

9 DR. BURK: Are there any other questions
10 for Jim at this time? I know we can always
11 get back to you.

12 The next thing I think we usually do, I
13 think, is hear public comments, if there are
14 any. I haven't received any cards. If anyone
15 wants to speak, they should fill out a card.

16 Oh. Well, we have a card. Thank you.
17 Hanan Ghantous, representing DuPont, will
18 speak on quizalofop ethyl up here at the
19 podium.

20 DR. GHANTOUS: Thank you, Jim, for the
21 excellent presentation and the summary.

22 DR. BURK: Please speak right into the
23 microphone.

24 DR. GHANTOUS: First, I would just like
25 to apologize for not sending this

1 multi-generation study earlier. I know we had
2 -- this has been issued since September, but
3 like all other agchemical companies, we have
4 been going through reorganization. And things
5 just fell through the cracks. So I do
6 apologize for that.

7 I have just few comments. I'm not going
8 to talk about the summaries or the studies,
9 just a few comments on these studies, the
10 multi-generation study.

11 We think that no reproductive effects
12 were seen in the study. The NOEL was 25 ppm,
13 and that was based on liver effects. There
14 were no compound-related testicular effects
15 seen, except for the foci of hyperplasia that
16 were observed in the testes of only F1A male
17 in the 400 ppm group, which is the highest
18 group.

19 And this effect was not substantiated by
20 decreased fertility in the 400 ppm males or
21 decreased testicular weights or abnormal
22 testicular pathology in any other parental
23 males examined. Also, no compound-related
24 testicular effects were observed in the
25 two-year study conducted at the same dietary

1 concentrations, which was reviewed by Jim.

2 The U.S. EPA has reviewed this
3 multi-generation study and concluded that
4 perinatal toxicity occurred at the 400 ppm
5 dose level in male rats, as evidenced by
6 findings of decreased body weights and
7 pre-mating body weight gain in the F-0 and F1
8 groups. EPA also concluded that there were no
9 reproductive effects observed.

10 For the developmental effects, the rabbit
11 and the rat studies that were reviewed, in the
12 developmental studies, there were no effects
13 in the rabbit study, and the weight of
14 evidence of positive and negative effects in
15 the rat study shows that quizalofop ethyl does
16 not have a developmental effect in the rat.

17 The low uterine weight in the offspring
18 effect at age 8 weeks in the highest dose
19 tested dams were not seen, and they are dams
20 administered directly with quizalofop ethyl,
21 and were not considered to have been induced
22 by the effect of quiz (quizalofop ethyl).

23 The decrease in number of fetuses alive
24 at the time of sacrifice of the dams on day 21
25 of gestation in the high dose and low

1 bodyweight of pups in the high dose group
2 would be due to the significantly lower
3 bodyweight gain and heat intake of the dams in
4 the hundred and 300 kilograms per day group at
5 various points of gestation and lactation.

6 For the reproductive effects in males and
7 females -- in assessing the reproductive
8 toxicity on males and females, there were no
9 effects on the ovaries or uterus in dogs,
10 rats, or mice, other than an increase in
11 ovarian weight in the mouse. But no other
12 clear evident effects on the ovaries
13 (inaudible) in the mouse. Changes in ovarian
14 weight in dosed females occurred because of
15 the presence of ovarian cysts and consequent
16 trimming difficulties. There were findings of
17 testicular atrophy in male dogs and rats at
18 the highest concentration tested. However,
19 other studies with longer duration and similar
20 concentration in the dog and much longer
21 duration with lower concentration in the rat
22 did not show any evidence of testicular
23 atrophy.

24 Testicular atrophy was seen in the mouse
25 after exposure of 78 weeks. However, that was

1 seen at the highest dose, which has reached or
exceeded the MTD in that study.

3 I would just like to remind the Committee
4 that for listing -- for Prop 65, the criteria
5 state that the chemical must clearly show
6 through scientifically valid testing according
7 to generally accepted principles to cause
8 reproductive toxicity.

9 Also, the regulations require that
10 sufficient evidence exists. Sufficient exists
11 -- sufficient evidence is defined to mean that
12 there is sufficient data which take into
13 account the adequacy of experimental design,
14 including duration of the exposure and other
15 specified parameters indicating that an
16 association between adverse reproductive
17 effects in humans and the toxic agent in
18 question is biologically plausible.

19 Weight of evidence approach will be used
20 to evaluate the body of information available
21 for a given chemical. To do so means that all
22 positive and negative data will be considered.

23 Thank you very much.

24 DR. BURK: Thank you.

25 MS. HECK: Dr. Burk, if I could just

1 briefly respond to one point. The comments
2 were made -- there was a reference to the
3 Sufficiency of the Evidence standard in the
4 regulation, but as I pointed out, you're not
5 sitting directly in review of what the
6 Authoritative Body did. OEHHA Staff have
7 already done that and looked at the regulatory
8 criteria. You now sit, as you always do, as a
9 committee looking at the entire body of
10 evidence in determining whether or not it
11 meets the standard in the statute, the
12 clearly-shown standard. So you're not tied to
13 that little excerpt from the regulation as you
14 make your deliberation.

15 DR. BURK: Okay. Does everyone
16 understand that? I think so. Thank you. Are
17 there any other -- are there any other cards?
18 Okay. So we can not begin our discussion.
19 For those that are new to the Committee, I
20 won't call on you right away. That's why I
21 agreed to be the Chair, so I could call on
22 people.

23 Now, I think what I -- the only thing I
24 wanted to say was that we generally are asked
25 to vote at the end of our discussion on

1 whether we would vote to list the chemical as
2 a developmental, male or female reproductive
3 toxicant. So we need to discuss all three of
4 those. So when you're discussing it, please
5 mention which one you're referring to, if
6 relevant.

7 Does anyone have any comments they want
8 to make to start off?

9 Kenneth Jones?

10 DR. JONES: I'd just like to ask Steve,
11 you made this comment about the significance
12 in the skeletal examination. Could you expand
13 that a little bit? Do you think that this is
14 not --

15 DR. SAMUELS: I don't know. It may well
16 be, but the p-value quoted is certainly not
17 correct if the description of their test is as
18 stated. It still looks as if there's a trend.
19 But I can't -- we can't take their p-value, as
20 it's going to be greater, but how much
21 greater, I don't know.

22 DR. SHIONO: So the p-value is based on
23 the number --

24 DR. BURK: Could you speak into the
25 microphone?

1 DR. SHIONO: The p-value is based on the
2 number of pups, not the number of litters.
3 That's the question.

4 DR. JONES: Linda, could you comment on
5 these hemangiomas? You asked about the
6 hemangiomas just as well. I don't know how to
7 interpret that at all.

8 DR. ROBERTS: The reason I asked is
9 sometimes when a technician is removing a
10 fetus or handling a pup, they're a little bit
11 rough and those pups end up being (inaudible)
12 pushing them off. And I was hoping that there
13 would be some description that would indicate
14 what type, generalized, related specifically
15 to a certain part of the body that may be more
16 indicative of a real effect.

17 I'm personally not real impressed with
18 the hematoma finding as being clear evidence.

19 DR. JONES: Can the representative from
20 the DuPont company tell?

21 DR. GHANTOUS: No. I don't know. It's
22 not stated in the report. I mean, if this
23 report was done these days, you know, it could
24 be written better than that. Most of these
25 reports were done in the early 80's. I'm

1 afraid that is the way those were done. They
weren't that clear.

3 DR. ROBERTS: I've been asked to speak
4 louder. Do I need to repeat for anyone?

5 Thank you.

6 DR. KEEN: Just to add, I agree with you.
7 I'm not really impressed with that data.
8 Particularly, take a look at the control value
9 of the F-0, and notice that the controls have
10 a very high frequency. It's not present after
11 that, which, again, is suggestive of maybe a
12 learning curve of technical staff as opposed
13 to a real effect.

15 Also, perhaps just for a completeness of
16 the record, Table 2, page 3, the change in
17 bodyweight in the parental weight in the rats,
18 if it can be corrected, I'm fairly confident
19 that there is an error for the weights for the
20 F-0 female gestation day, 2 day, zero 7. If
21 that's really 4 grams, that's a rather
22 significant effect. But I'm pretty sure it's
probably 44.

23 DR. DONALD: Which table is that?

24 DR. KEEN: Table 2, the change in
25 bodyweight grams in parental rats, the F-0

1 female, gestation 2 day, zero to 7.

2 DR. DONALD: Thank you. I think that
3 probably is the case.

4 DR. JONES: How about -- how about the
5 increase in animals with retained placenta?
6 What's the significance of that in a rat
7 study, if anything?

8 DR. ROBERTS: I'm not sure what retained
9 placenta actually means. I'm assuming since I
10 couldn't find -- I went up Thursday to look at
11 some of these reports, and I'm assuming that
12 it's an indication there was a very small
13 early absorption. That's the best I can think
14 of. And so I would term it as an early
15 absorption.

16 Is that better?

17 DR. BURK: Jim?

18 DR. DONALD: That was our conclusion
19 also, we looked but couldn't find any
20 clarification in the report as to what it
21 actually meant.

22 DR. ROBERTS: I want to make one other
23 comment. We were talking about 14th ribs.
24 And in the '83 study with quizalofop,
25 prenatally, there seems to be a higher

1 incidence of 14th ribs, but they're cervical.
2 I'm not sure -- if you turn to page 32 of what
3 we got in September, these are the postnatal
4 ones, and the number of skeletal variations is
5 significantly lower with dose than the
6 controls.

7 I don't know if it's -- I don't know what
8 they're basing -- what unit they're basing the
9 statistics on. It's just interesting finding
10 that you see a lower incidence of that
11 afterwards. I know that lumbar ribs, the 14th
12 ribs, have been reported to resorb
13 postnatally. I don't know if there's such a
14 (inaudible) for cervical ribs or not.

15 DR. DONALD: Thank you, Dr. Samuels.
16 With regard to your point, you're absolutely
17 right. It should be 34.4.

18 DR. JONES: Wait a minute. 14 ribs
19 unilateral and 14 --

20 DR. DONALD: No. I'm saying this with
21 regard to bodyweight during gestation. It's
22 Table 2 in the brief handout that you've
23 received, page 3 of that report.

24 DR. BURK: Give people a chance to look;
25 there's a lot to absorb here.

1 Perhaps we could discuss by male, female,
2 and developmental, and try to come to some
3 decision. These, again, are personal
4 decisions, and we will take a vote. But I
5 like to hear what people think. So maybe
6 we'll start easier.

7 Does anyone want to say -- anyone want to
8 say anything about effects on female
9 reproductive outcomes?

10 DR. SAMUELS: I don't think we've had
11 ovarian or uterine weights as an outcome. And
12 I'm wondering what is the -- even though they
13 are sometimes isolated, instead of a general
14 effect on other organs, I'm not sure of their
15 status as reproductive outcomes.

16 DR. BURK: Well, that's a good question.
17 It's the question I have, because I actually
18 went back to our criteria, you know, and
19 looked at the various endpoints that were
20 given in there. And there's no mention of
21 weight specifically. That's why I'm trying to
22 get a sense of what it really means.

23 DR. KEEN: I think, though, if we were
24 talking about an endocrine disrupter, we would
25 focus a lot on that. And certainly, the

1 weights of those organs are often times looked
2 at as an indicator of some reproductive
3 abnormality. With that said, I'm underwhelmed
4 with the magnitude of the effects being
5 reported in this particular study. But I -- I
6 do think there's precedence for looking at
7 those weights, and is routinely done with some
8 of the endocrine disrupters.

9 DR. SAMUELS: I found interesting the
10 comments of the scientist from DuPont, who
11 mentioned that cysts were present --

12 DR. GHANTOUS: In the mouse study.

13 DR. BURK: All right. What about the
14 male reproductive toxicity? I think that one
15 was the basis for the original TRI
16 identification. What do people make of --

17 Oh. Go ahead, Linda.

18 DR. ROBERTS: Just to follow up on the
19 cysts, what we were mailed in September on
20 pages 54 and 55, it doesn't look, at least in
21 glancing over it for the dose response that it
22 would be -- because there's some big cysts
23 there -- that it would be response for
24 (inaudible).

25 DR. GHANTOUS: In that report -- In the

1 mouse study, the authors actually do say that
2 in the report, they had problems with the
3 weight because of the cysts, also because of
4 the trimming of these ovaries and weighing
5 them. And I mean, you're all scientists, and
6 you know how difficult that is to do in the
7 lab. And I think that, that's one of the
8 problems with the weight in the ovaries.

9 DR. BURK: Okay. Does anyone want to say
10 anything about the testicular atrophy
11 findings?

12 DR. MILLER: I'd like to address those.

13 DR. BURK: Thank you, Marion.

14 DR. MILLER: I was.

15 DR. BURK: If other people -- I'd like to
16 hear other comments as well.

17 DR. MILLER: I was not particularly
18 impressed by the data in that we seem to see
19 often times a lack of dose response
20 relationships. And when we don't see those
21 relationships often, you do wonder whether or
22 not those really are related to the chemical.
23 So that was an overall impression I had from
24 reviewing much of this data.

25 But to specifically address the fine

1 point, I think, Jim brought up, and ones that
2 were relevant in evaluating whether or not
3 there was male reproductive toxicity, the dog
4 studies, where we had a 6-month exposure and
5 12-month exposure at the same dose level, and
6 the 12-month exposure showed no evidence of
7 testicular atrophy, with the 6-month exposure
8 only showing two animals, to me, you know,
9 with the likelihood of some random event
10 associated with testicular changes, I did not
11 view that evidence as great, strong evidence
12 to support a male reproductive effect.

13 And to get through the next (inaudible),
14 they're reasonably easy -- or, the increased
15 incidence of testicular atrophy in mice
16 exposed for 78 weeks, again, I was not hugely
17 impressed by that.

18 When you have a unilateral atrophy, that
19 really does not suggest a chemical
20 relationship in that you've got one testes
21 exposed. You've also got the other testes
22 exposed. And there really seems to be a very
23 high incidence of unilateral atrophy. And I
24 think that those were also -- could be
25 associated with some bilateral atrophies to

1 also be spontaneous in nature.

2 And I know that rodents are classically
3 -- have been increasingly difficult to do the
4 male repro studies. In fact, we were
5 discussing a few years ago that they were
6 saying 20-plus percent incidence of testicular
7 histopathology in normal animals. So I really
8 do have some considerations when you don't see
9 dose response relationships, and you're basing
10 things on one or two animals that have got a
11 little bit more bilateral atrophy.

12 DR. SHIONO: What do you think about the
13 -- even though there's maybe not a dose
14 response relationship in the independent,
15 individual studies, what do you think about
16 the cross-species, so it's showing up in dogs
17 and rats and mice? It looks relatively
18 consistent to me, even though it may be sort
19 of spotty.

20 DR. MILLER: This is where I would be
21 going with the rat study. And the rat study
22 is the one where I see real evidence to
23 support testicular damage, where I don't have
24 to raise questions about, "Oh. I'd like to
25 see more animals to be sure that this is

1 clearly shown".

2 The rat study, which was done at the
3 substantially higher level, the 1280 ppm, and
4 that the difference in this one from the other
5 studies in that those were carried out of 400
6 ppm's, where the difficulty of clearly showing
7 a response may indeed be at a marginal level
8 is probably more difficult.

9 So at 1280, the twelve hundred and eighty
10 parts per million, there really was an
11 increased incidence of testicular atrophy in
12 the rats at close to 13 weeks, and no doubt
13 that spermatogenesis was effected in these
14 animals, even though there was relatively
15 small numbers. It was five. And there was
16 some indication that it was dose-related as
17 well.

18 So to me, there's the strongest evidence
19 for testicular atrophy at that dose level.
20 It's a very high dose level. I'd like other
21 people to perhaps comment on that. Liver
22 weights were increased. And there's no
23 indication of any histopathology, so the
24 animals were not behaving clinically
25 (inaudible). And so that would suggest that

1 that would have a specific effect on the male
2 reproductive system.

3 I was kind of interested -- I should have
4 thought this in my review study, but this is a
5 peroxisomal proliferator. There are known
6 peroxisomal proliferators. And if I remember
7 rightly in the literature, that the
8 peroxisomal proliferation -- I hate that word
9 -- has been associated with -- peroxisomal
10 proliferation exposure has been associated
11 with (inaudible)-cell hyperplasia. And we
12 have that one animal showing up.

13 It was in interstitial-cell hyperplasia?

14 DR. DONALD: Yes.

15 DR. MILLER: Any other comments?

16 DR. BURK: Thank you very much. It's
17 nice to hear from someone that really knows
18 the male very well.

19 Any other discussion about the male
20 effects? Shall we talk about the possible
21 developmental effects? We started already,
22 but does anyone want to say anything else
23 about developmental toxicity?

24 I know Linda, you've sort of, and Steve,
25 dismissed a few of the things as perhaps --

1 DR. SAMUELS: Not dismissed.

2 DR. BURK: Not dismissed, but questioned,
3 perhaps. Maybe that's fair. I shouldn't say
4 dismissed. But are any of these endpoints
5 very clear to you, say, or strong?

6 DR. KEEN: I would certainly argue
7 they're not. You know, I'm underwhelmed with
8 any data that argues strong reproductive
9 effects. The modest changes which are seen, I
10 suspect could be attributed in some cases to
11 simple food intake reduction. The data,
12 unfortunately, are not given in such a manner
13 that it's easy to interpret what was really
14 going on. That's a bit of a problem. But
15 still, they're very modest effects, if any.

16 DR. SHIONO: And what about the
17 malformations, things going on with the ribs?
18 Do you still see that as --

19 DR. KEEN: Well, the skeletal
20 abnormalities -- I would classify them as
21 abnormalities as opposed to malformations --
22 are really quite minor, and ones which
23 typically in our group we would not
24 necessarily dismiss, but would be underwhelmed
25 by what I see in this particular report.

1 DR. SHIONO: Minor because they're not
life-threatening or minor --

3 DR. KEEN: Oh. Because if you look at
4 enough control animals, you see often times
5 the same set of variations. So the
6 frequencies here are not particularly
7 profound.

8 DR. SHIONO: They do have a control
9 group, right? So if we were going to see this
10 (inaudible), we'd see it in the control group.

11 DR. KEEN: Well, the frequencies which
12 are being reported are not unusual to see in
13 control groups. That's where -- again, it's
14 difficult to kind of figure out exactly what
15 they looked at.

16 And one thing that's a concern: In many
17 of these analysis, they looked at a relatively
18 small number of fetuses. They -- what the
19 basis was for selecting the ones that were
20 examined is not clear. It's not clear to me.

21 DR. ROBERTS: If I can make a couple of
22 comments about the study. On page 18 and 19,
23 we have the rabbit. And the rabbit, in my
24 mind at least, is pretty clear -- clean, I
25 should say, of effects. If you look down on

1 Table C.1.2.2, at the number of variations
2 that are given there, in rabbits, basically,
3 you have 12 or 13 ribs.

4 Both cases are normal. Both cases are
5 both seen in normal rabbits. And it's more a
6 matter of whether or not there's a shift
7 between 12 and 13. That's considered to
8 perhaps be an indication.

9 In this particular case, if you look at
10 it, it would be 98.4 having 12 ribs, I
11 believe, if I'm looking at it correctly down
12 the table, and 98.5 having 12 ribs in the
13 high-dose group. It's not an indication.

14 In the next page, page 19, if you look at
15 malformation, there's no trend. And there's
16 as much seen in the control and the low-dose
17 group as there is in the higher doses.

18 Going on to page 23, where we have the
19 retained placenta, it's -- I agree with Carl.
20 These are not effects that jump out. These
21 are effects that are a little difficult. We
22 -- I usually look at them as the historical
23 control range that a laboratory has. I would
24 expect that if there's a real effect that
25 there would be a more, a bigger difference

1 between the control group and the high-dose
2 group.

3 DR. SAMUELS: And the retained placenta
4 statistic has the same defect as the percent
5 of the (inaudible) variation.

6 DR. ROBERTS: That would be my guess.
7 Yeah.

8 DR. BURK: Is there any other discussion?

9 DR. ROBERTS: One last question,
10 Dr. Ghantous. I notice that this was
11 conducted as (inaudible) experimental medical
12 research. Was this report a translation of
13 the report, the original report in Japanese?

14 DR. GHANTOUS: I don't know. It was
15 before my time.

16 DR. ROBERTS: I thought that might
17 explain --

18 DR. GHANTOUS: It could have, yeah. It
19 could have very well been.

20 DR. ROBERTS: I -- Okay. I did once come
21 across a finding that there was a
22 statistically significant increase fetuses
23 with umbilical cords. And I knew it had to be
24 something --

25 DR. SAMUELS: I just want -- I have one

1 more question, and Marion has already started
2 to address it. Is it our impression, or is it
3 the impression of the people who are more
4 knowledgable than me that the, that with the
5 -- that the highest dose in these studies was
6 a dose of excessive toxicity or not, since
7 that plays a role in our judgement, since that
8 seems to be where the effects were generally
9 found, even the sporadic atrophy effects?

10 DR. MILLER: I did go and look and see
11 (inaudible). There is no indication of the
12 animals under huge duress.

13 The bodyweights were less, so -- the
14 bodyweights were obviously less, but there
15 were not huge drops in bodyweight in either of
16 the chemicals we're reviewing today. They are
17 less over the time period of studies, but it's
18 not a dramatic loss of bodyweight. And the
19 lack of recovery after the 6 weeks, and the
20 withdrawal of the compound is also of concern.

21 And the most dramatic effect
22 systemically, I think, was the increase in
23 liver weight, which would be associated --
24 which a peroxisomal proliferator could cause
25 without necessarily really markedly altering

1 liver function. Just because there's
2 enlargement, that doesn't mean to say it's not
3 performing.

4 DR. BURK: Any other comments?

5 DR. KLONOFF-COHEN: I have a question
6 about the male.

7 DR. BURK: Fine. Anything. Anything at
8 all.

9 DR. KLONOFF-COHEN: I'm still trying to
10 sort out the testicular atrophy. And you had
11 mentioned, Dr. Miller, about the mouse study,
12 and I'm finding that it looked like -- at the
13 beginning in the summary, it says that it's
14 actually bilateral testicular atrophy. Is it
15 further on it says unilateral?

16 DR. MILLER: If you look in the table,
17 there's a large "A", unilateral testicular
18 atrophy as well as bilateral, which really
19 (inaudible) my confidence in the bilateral
20 (inaudible).

21 DR. KLONOFF-COHEN: I guess I'm having
22 difficulty -- how do you extrapolate the
23 findings in terms of -- it's difficult when
24 you look through the studies.

25 One study says there is no effect. The

1 next study says that there is an effect. I
2 mean, when you're looking at these dosages,
3 and you're knowing they're forming with a
4 herbicide, what dosages are relevant in terms
5 of for humans and in terms of route? Like,
6 because these -- these animals are being fed
7 pesticides versus humans. How do we make
8 heads or tails --

9 DR. MILLER: Maybe Joan or Dorothy could
10 address this, but my impression is the toxic
11 (inaudible) we really don't consider exposure.

12 DR. BURK: Yes. I know. And this is
13 something we all went through, particularly at
14 the beginning, that our job is basically the
15 hazard identification stage. And we look for
16 sufficient evidence. But there's further --
17 trusted -- further steps where the
18 dose-response portion of the risk assessment
19 would be considered.

20 And the -- of course, the statute
21 automatically applies a thousand-fold safety
22 factor to the NOEL. But what I'm saying is
23 that there will be further interpretation of
24 the risk beyond our step in the process. You
25 know, is that a fair thing to say?

1 DR. KLONOFF-COHEN: A second question
2 about controls. It sounds like for these
3 particular studies, we're talking about a
4 control group, and we'll say there's a
5 statistically significant relationship going
6 on here. And then somebody else will say that
7 this is reasonable because looking at
8 historical controls in a lab, you would expect
9 this. So I guess in research you would
10 usually -- if you're having two different
11 control groups, you would have the results
12 comparing the control group of the animals
13 they have. And then if you were talking about
14 historical controls, they would look at
15 historical controls and they would compare it
16 that way. I'm just wondering in terms of are
17 we reading more into the data than is actually
18 here or -- I'm just sorting that question out.

19 DR. ROBERTS: When I look at the full
20 report, they usually have the historical
21 control data right there with it. And I
22 expect them to evaluate that, particularly if
23 there's something that is not statistically
24 significant but looks like a trend, looks like
25 it might be biologically significant.

1 I went up on Thursday to look at some of
2 these reports. And the OEHHA staff did a
3 wonderful job trying to make these things
4 intelligible to us. Most -- not all, but a
5 good number of the reports for both of these
6 materials were not containing all of the
7 information that we would look for. And I
8 don't recall some of these having historical
9 control information.

10 DR. GHANTOUS: Can I add something?

11 DR. BURK: You have to come up. Sorry.

12 DR. GHANTOUS: Most of these studies that
13 the DART Committee or Dr. Jim looked at, they
14 are chronic and subchronic studies. But if
15 you look at the historical control, usually
16 the data that is available is tumors. And
17 you're not going to find testes or weight of
18 testes or anything, you know, available.

19 We really have to go back to the lab and
20 dig that information out to be able to compare
21 it, because I tried also to take the
22 historical control and look at it. If it was
23 a multi-generation study, you will find that
24 historical control, not with these studies.

25 And another thing, if I can answer your

1 first question, this compound is an herbicide.
2 It is used in very, very small quantities.
3 The highest concentration used is around 8
4 ounces per acre. And the reference dose that
5 EPA agreed to when this was registered is
6 0.009 milligrams per kilogram per day. And
7 that came from the 2-year rat study.

8 DR. KEEN: Just a comment again. It's
9 frustrating when you have sometimes data which
10 is not presented in the best of ways. I look
11 at that 1280 parts per million dose range,
12 which proves there is an effect on testes
13 weight.

14 It's also clear that there is a huge
15 effect on food intake over that 13-week
16 period. And there's a 25 percent difference
17 of bodyweight gain. And it would be -- I
18 would not be surprised if the majority of that
19 food intake reduction was not spread out
20 equally over the 13 weeks, but probably
21 occurred over the first several weeks of
22 treatment.

23 At least in our own lab, we do work
24 similar to this at the control, where we use
25 para-fed animals. And we'll see marked

1 effects on testes. It would not be unusual in
2 severely food-restricted animals to see this
3 much of a reduction in testes weights.

4 I'm still left with the sense that much
5 of what we're seeing is an indirect effect due
6 to probably pronounced reductions of food
7 intake, if I'm interpreting Table C.3.2.6
8 correctly.

9 DR. MILLER: The Table C.3.2.6, they lost
10 about one-third of their bodyweight over the
11 13-week time period.

12 DR. KEEN: Right. And my suspicion is
13 that that was not equally spaced. Typically,
14 if you have a really noxious compound in the
15 diet, that food intake will drop to almost
16 zero for a period of a few weeks. And that's
17 when you'll really have the most profound
18 effects.

19 So again, the data are unfortunately are
20 not being given to us in a way to interpret
21 that. If I saw that, I would say that these
22 are really much more indicative of just severe
23 toxicity that may be a general effect as
24 opposed to a specific effect of the compound.

25 DR. MILLER: I think this is an important

1 point of discussion. And I don't know whether
2 we can really have a clear conclusion,
3 unfortunately, if you don't see those
4 precipitous drops in weight gain. Because as
5 you know, with the Chapin study, he can
6 deprive food for 75 percent, which isn't far
7 away from 67 percent, and just see relative
8 changes in testes size compared to bodyweight.

9 So unless you know something about the
10 overall health for the duration of the 13-week
11 experiment --

12 DR. KEEN: Absolutely. In any situation
13 the data, though, which are shown, C.3.2.5,
14 which are just total weights, should be
15 corrected, certainly the bodyweight is,
16 because you just immediately get rid of 25
17 percent of your difference. I mean it's just
18 not well-presented information.

19 DR. JONES: I must say the issue,
20 however, that is intriguing to me is the thing
21 that Marion brought up about biological
22 plausibility as it relates to this.

23 DR. KEEN: I think the liver weight data
24 makes a lot of sense. It looks like the
25 peroxisome proliferator, my guess, is

1 (inaudible). That that necessarily will
2 translate into direct effect on the testes, I
3 don't know. I would tend to think it would be
4 confined in the liver.

5 DR. MILLER: And OEHHA -- the report that
6 I'm aware of reported testicular effects of
7 peroxisomal proliferators (inaudible). So
8 that's not general atrophy.

9 DR. JONES: So it's different than what
10 we've got?

11 DR. MILLER: It's potentially the same as
12 that one animal.

13 DR. JONES: Okay. Okay.

14 DR. BURK: Any other comments?
15 Questions?

16 Before we take a vote, I do want to
17 formally thank Jim and the staff for preparing
18 the report. So even though, you know, we know
19 how difficult it is to do this, I have to say
20 you did a beautiful job of summarizing the
21 available studies for us.

22 All right. What I'm going to do then --
23 and this is for the record -- I will ask by a
24 show of hands your opinion on quizolofop ethyl
25 for each of the three endpoints, developmental

1 toxicity, female, and male. And I'll go in
2 that order. Okay?

3 Please indicate by a show of hands if in
4 your opinion quizolofop ethyl has been clearly
5 shown through scientifically valid testing
6 according to generally accepted principles to
7 cause developmental toxicity.

8 How many say yes? Zero. So I'm assuming
9 everyone is saying no. Okay. So the record
10 should reflect zero votes were cast to add
11 quizolofop ethyl to the Prop 65 list as
12 causing developmental toxicity.

13 All right. Please indicate by a show of
14 hands if in your opinion quizolofop ethyl has
15 been clearly shown through scientifically
16 valid testing according to generally accepted
17 principles to cause female reproductive
18 toxicity.

19 A show of hands? Okay. The record
20 should reflect zero votes were cast to add
21 quizolofop ethyl to the Proposition 65 list as
22 causing female reproductive toxicity.

23 Okay. Finally. Please indicate by a
24 show of hands if in your opinion quizolofop
25 ethyl has been clearly shown through

1 scientifically valid testing according to
2 generally accepted principles to cause male
3 reproductive toxicity.

4 1, 2, 3. Okay. 7. The record should
5 reflect 7 votes were cast to add quizolofop
6 ethyl to the Proposition 65 list as causing
7 male reproductive toxicity.

8 Okay. A majority of the nine-appointed
9 members is required to add a chemical to the
10 list. Accordingly, quizolofop ethyl is added
11 to the Proposition 65 list.

12 Okay. Shall we go on? All right. Let me
13 ask you. We have a choice now. We can
14 proceed on to the next chemical or -- which we
15 predict will take a little longer than, than
16 -- Joan predicts it will take a little longer
17 -- or we can take a break now and start again
18 after lunch. Any strong feelings one way or
19 the other?

20 DR. JONES: Keep going.

21 DR. BURK: We'll keep going. All right.

22 UNIDENTIFIED AUDIENCE MEMBER: Can we get
23 a five-minute break?

24 DR. BURK: All right. How about --
25 that's a very good compromise. Let's have a

1 five-minute break.

(Whereupon a five-minute break
3 was taken.)

4 DR. BURK: Okay. If everyone would be
5 seated, we'll get started again.

6 The next chemical up for consideration is
7 fenbutatin oxide. I wasn't sure which syllable
8 to stress, but I'm stressing the "tin" part.
9 And first, we'll have a staff presentation by
10 Dr. Jim Morgan.

11 DR. MORGAN: Good morning. I'm Jim
12 Morgan, a toxicologist with RCHAS, and I will
13 be presenting a brief overview of the evidence
14 on fenbutatin oxide.

15 As you know, fenbutatin oxide is coming
16 before the Committee today because it dropped
17 out of the administrative listing process. It
18 should be noted that a much larger body of
19 data is being presented to the DART Committee
20 than was reviewed by the U.S. EPA.

21 Next slide.

22 Fenbutatin oxide is a relatively large,
23 organotin pesticide. It's insoluble in water,
24 but somewhat soluble in aromatic solvents. It
25 is used as a miticide on some food crops and

1 flowers. It is poorly absorbed orally, and is
2 low oral acute toxicity. The typical endpoint
3 observed in chronic studies is reduced
4 bodyweight.

5 Next slide.

6 Turning to studies with possible evidence
7 of developmental effects, we were unable to
8 find any human data. There are several
9 industry-sponsored studies, some dating from
10 the early 1970's. There's -- are one rat
11 developmental study, three rabbit
12 developmental studies, and two rat
13 reproductive studies.

14 Next slide.

15 In the rat developmental study, there
16 were reductions of pregnancies at mid and high
17 doses. And this effect was statistically
18 significant at mid dose, and marginally
19 significant at the high dose.

20 Pre-Implantation losses were elevated at the
21 low dose and the high dose, but not the mid
22 dose. And the effect was statistically
23 significant only at the high dose. There was
24 no effect on litter size.

25 Additional considerations about this

1 study are that implantation in rats occurs on
2 gestation days 5 to 6, and treatment started
3 on day 6. Also, there was no dose response of
4 pregnancies or the mean pre-implantation
5 losses.

6 And finally, there were a number of
7 animals with "negative" pre-implant losses,
8 which is to say, more implants than corpora
9 lutea counted.

10 Next slide, please.

11 There were two studies performed in Dutch
12 rabbits. Both of these studies used only two
13 dose levels, and had a considerable number of
14 maternal deaths, which apparently were
15 randomly distributed. In some cases, up to 15
16 percent of individual groups had maternal
17 deaths.

18 In the first study, Study A, there were
19 increases in resorptions plus early fetal
20 deaths at the low dose and increases in major
21 abnormalities also at the low dose, but
22 neither of those endpoints was elevated at the
23 high dose.

24 Considerations for this study are that
25 there is a lack of dose response for those two

1 endpoints. Also, there was a lack of
2 statistical analysis by the authors for those
3 endpoints.

4 Next slide, please.

5 The second study was performed because of
6 the difficulty in interpreting the results of
7 the first study, Study A. In the second
8 study, there was an increase in resorptions
9 plus early fetal deaths, which was small, but
10 dose related. There were no increases in
11 major abnormalities.

12 Again, additional consideration is that
13 no statistical analysis was performed of the
14 endpoint.

15 Next slide.

16 This is a later study by the same
17 laboratory performed in New Zealand white
18 rabbits and using three doses of fenbutatin
19 oxide. In the high dose, there were increased
20 maternal deaths, with 5 out of 23 animal
21 dying, statistically significant reductions in
22 maternal weight, increased abortions with 60
23 percent of the animals aborting, also
24 significant, and increased pre-implantation
25 loss, which was not statistically significant;

1 and as a consequence of all of those, a
2 reduced number of litters with live fetuses,
3 statistically significant.

4 At the mid dose, there were some maternal
5 deaths, with 2 out of 18 animals dying, and an
6 increase in post-implantation losses, which
7 was not statistically significant. Maternal
8 deaths also occurred in the control group,
9 with 2 out of 18 animals dying, but not in the
10 low dose of fenbutatin oxide, nor in the
11 thalidomide-positive control group.

12 Next slide.

13 The earlier rat reproduction study used
14 three generations with two litters per
15 generation. There is a small but consistent
16 reduction in litter size at the high dose.
17 This effect was statistically significant only
18 for one litter out of 6, the F1B litter.

19 There's also a significantly reduced
20 postnatal survival at the high dose in both
21 litters of the third generation. And there
22 was reduced postnatal weight at day 21 at the
23 high dose, which was statistically significant
24 for 5 out of 6 litters. Finally, there was
25 reduced parental weight at the high dose for

1 all generations.

2 Additional considerations here are that a
3 later rat reproduction study, which used a
4 higher concentration of fenbutatin oxide did
5 not find a reduction in the litter size.
6 Also, since exposure began before the
7 developmental period, results could
8 potentially be described as developmental,
9 female reproductive, or to a limited extent,
10 male reproductive effects. And finally, the
11 pups may have been exposed postnatally via the
12 milk or via the food.

13 Next slide, please.

14 The later rat reproduction study, two
15 generations, with one litter per generation
16 were used. Again, the dose or the
17 concentration of this study was somewhat
18 higher than in the earlier study. The main
19 effect seen was reduced, postnatal weight
20 gain, which was statistically significant at
21 the high dose in both generations. Also,
22 reduced parental weight again statistically
23 significant; again, in both generations.

24 Additional considerations, as with the
25 previous study: Exposure began prior to the

1 developmental period, and the pups may have
2 been exposed postnatally.

3 Next slide.

4 Turning now to possible evidence for
5 female reproductive effects, no human data
6 were located. There were the two-rat
7 reproductive toxicity studies, three rat acute
8 inhalation studies, and rat, mouse, and dog
9 chronic oral studies. I've already covered
10 the rat reproduction studies and will not
11 repeat the data, as the endpoints could
12 potentially be attributed to female
13 reproductive endpoints.

14 Next slide, please.

15 No effects on fertility were seen in
16 either of these rat reproduction studies.
17 Examination of tissues from the rat from the
18 acute and chronic studies found no gross or
19 histopathological effects on ovaries or other
20 female reproductive organs.

21 Next slide, please.

22 Turning now to possible evidence for male
23 reproductive toxicity; again, no human data
24 were found, and the two rat reproductive
25 studies, a mouse-dominant lethal study, and

1 acute and subchronic studies from rats and
2 chronic studies in rats and mice and dogs.

3 I already covered the rat reproduction
4 studies. The slight reduction in litter size
5 in the first rat reproduction study could
6 possibly be attributed to a male dominant
7 lethal-type effects. However, again, there
8 was no reduction in litter size in the second
9 rat study.

10 Next slide, please.

11 The mouse-dominant lethal study used two
12 doses. Treated males were mated with
13 untreated females for 1 week. And then
14 matings were performed again each week for 8
15 weeks. Females were sacrificed on gestation
16 day 13. There were no dominant lethal effects
17 found; i.e., that there were no effects on
18 number of pregnancies or pre or
19 post-implantation losses.

20 An additional consideration with this
21 study, however, is that it's not clear if a
22 systemically toxic dose was used.

23 Next slide.

24 There were no effects on fertility in
25 either of the rat reproduction studies or the

1 mouse-dominant lethal study. An examination
2 of tissues found no gross or histopathological
3 effects on testes or other male reproductive
4 organs in any of these studies.

5 Next slide.

6 There were, however, some indications of
7 testicular weight effects in the rat studies.
8 Now, I've tried to summarize this in a way to,
9 to weed through all these studies. There's so
10 many of them, if we just went through them, it
11 would be difficult to follow.

12 Among animals exposed when mature, there
13 are inconsistent observations of increased
14 testes weight. In the 1-month study and in
15 the chronic study with terminal sacrifice at
16 24 months, there were both increases in testes
17 weight. However, there were not, were not
18 increases in testes weight at the interim
19 sacrifices of the chronic study at 3, 6, or 12
20 months. And there was no increase in testes
21 weight in the P-1 generation of the
22 two-generation rat reproductive study.

23 Additional considerations for these
24 studies are that reduced bodyweight was found
25 in all studies. A 15-week food restriction

1 study performed by Chapin et al, 1993, where
2 animals were reduced to 90 percent, 80
3 percent, or 70 percent of their control
4 bodyweight, found that in general, there was
5 no effect on absolute testes weight, but that
6 relative testes weights were increased due to
7 reduced bodyweight.

8 Next slide, please.

9 In studies where there was perinatal
10 exposure of the animals, there was indications
11 of reduction in testes weights. Specifically,
12 in the three-generation study, there were the
13 absolute and relative testes weights of F3b
14 weanlings, reduced at the mid and high
15 concentrations. And also, in the
16 two-generation study, the absolute testes
17 weights of the F1 males were reduced.
18 However, the relative testes weights were
19 increased.

20 Additional considerations: Again, we had
21 reduced bodyweight at the high concentration
22 in both of these studies.

23 Next slide, please.

24 So to briefly summarize the developmental
25 data, in the rat developmental study, there

1 were reduced pregnancies and increased
2 pre-implantation losses. In three rabbit
3 developmental studies, there were increased
4 post-implantation losses, together with
5 considerable numbers of maternal deaths, both
6 random and dose related.

7 In the rat, three-generation study, there
8 was reduced litter size, reduced postnatal
9 growth and reduced postnatal survival,
10 together with reduced parental weight. And in
11 the rat two-generation study, there was
12 reduced postnatal growth, together with
13 reduced parental weight.

14 Next slide, please.

15 To briefly summarize the female repro
16 data, in the rat three-generation study, we
17 had reduced litter size, reduced postnatal
18 growth, and reduced postnatal survival,
19 together with reduced parental weight. In the
20 rat two-generation study, there was reduced
21 postnatal growth, together with reduced
22 parental weight. Several studies in the rat,
23 mouse, and dog found no ovarian gross or
24 histopathology.

25 Next slide, please.

1 The male reproductive data: In the rat
2 three-generation study, there was no effect on
3 fertility, but there was reduced litter size,
4 together with reduced parental weight. In the
5 two-generation study, there was no effect on
6 fertility or litter size, but there was
7 reduced parental weight.

8 The mouse-dominant lethal study found no
9 dominant lethal effects; that is to say, no
10 effect on fertility or pre or
11 post-implantation losses. Several studies in
12 rat and mouse and dog found no testicular
13 gross or histopathology.

14 The rat testes weight effects in mature
15 animals: There were inconsistent increases in
16 absolute testes weight. And in perinatally
17 treated animals, there were some indications
18 of reduced testes weight.

19 That concludes my presentation. And I'd
20 be glad to respond to questions at this time.

21 DR. BURK: Thank you, Jim.

22 Does anyone on the Committee have a
23 question for Jim? Are there any cards?

24 Oh. Where are they? Well, we're pretty
25 sure -- Did you put in a card, Gina? Well,

1 I'll find it. It's here somewhere. But come
2 on up.

3 All right. Gina Solomon. Here it is. I
4 found it. Thank you.

5 DR. MILLER: Before Gina starts, can I
6 ask a question of the Chair?

7 I think we need to consider the postnatal
8 effects of this compound or not consider them.
9 We need to clarify whether or not those are
10 part of our items of consideration today.

11 DR. BURK: Okay. I think Colleen can
12 speak to that. This is really a legal matter
13 to some extent.

14 MS. HECK: Thank you, Dr. Burk. The
15 position of OEHHA, through previous house
16 council and concurrence of the Office of the
17 Attorney General is that effects that result
18 from postnatal exposures are not properly
19 within the ambit of Proposition 65 or the
20 Committee.

21 Certainly, postnatal effects that result
22 from prenatal exposures are well within your
23 purview. The difficulty comes when there are
24 exposures that are both pre and postnatal,
25 sorting that out.

1 If it is the determination of the
2 Committee that it can conclude that the effect
3 would have been the same solely from a
4 prenatal exposure, it could proceed to list.
5 That's a scientific determination. But it is
6 our legal opinion that it is not the intent of
7 the drafters to cover postnatal exposures.

8 So I don't know if that clarifies or
9 answers your question, Dr. Miller.

10 DR. BURK: Does that answer your
11 question, Marion?

12 Does everyone understand? This is
13 basically a legal interpretation --

14 MS. HECK: That's correct.

15 DR. BURK: -- not our scientific
16 interpretation.

17 DR. BURK: Okay. Gina Solomon.

18 DR. SOLOMON: Yes. Thank you. My name
19 is Gina Solomon. I'm a physician and senior
20 scientist with the Natural Resources Defense
21 Council in San Francisco. And I'm actually --
22 that was a very apropos question, because I am
23 here to speak on this postnatal issue.

24 Fenbutatin oxide is -- has implications
25 and significance that touch on how the

1 Committee and OEHHA will be dealing with
2 postnatal exposures or situations in which it
3 is not completely clear whether the exposures
4 that caused an effect were in the prenatal or
5 immediate postnatal period. And that's the
6 exact point at issue here.

7 And my -- what I'd like to just do today
8 is briefly go over some of the history of this
9 issue for the new members of the Committee --
10 I'll make it very brief -- and then briefly
11 mention why NRDC and the Environmental Defense
12 Fund have disagreed with OEHHA's current
13 interpretation, and what we are hoping that
14 you might do about that, and then also point
15 out how OEHHA's determination in the case of
16 fenbutatin oxide may not even comply with
17 OEHHA's own current interpretation of the
18 postnatal issue.

19 Briefly, the main reason cited by OEHHA
20 for not listing this chemical under the
21 Authoritative Bodies mechanism was that,
22 "treatment of the dams continued
23 postnatally"-- I'm quoting here -- "the
24 relevant exposures may have occurred via
25 nursing or even from direct consumption of the

1 dams feed by the pups.

2 As currently interpreted, the Proposition
3 65 statute precludes listing on the basis of
4 developmental effects resulting solely from
5 postnatal exposures".

6 What has happened in the past on this
7 issue, back in May 1994, a question arose at a
8 DART Identification Committee meeting about
9 whether effects that stem from postnatal
10 exposures such as in infancy or lactation
11 should be included under the purview of Prop
12 65 or are included in the purview.

13 That was discussed at the DART Committee
14 meeting in more detail. And in April 1995,
15 that was when environmental tobacco smoke came
16 up for consideration. At that meeting, the
17 OEHHA Chief Counsel at the time, Bill Soo Hoo,
18 sought the advise of the DART Identification
19 Committee about whether the terms
20 "reproductive toxicity" or "birth defects or
21 other reproductive harm" are broad enough to
22 include postnatal effects.

23 At that time, it was considered an issue
24 that might be appropriately resolved by the
25 scientists. And that issue, of course, was

1 Sudden Infant Death Syndrome from
2 environmental tobacco smoke. In that context,
3 the OEHHA scientific staff prepared a memo
4 which outlined the fact that reproductive
5 toxicity is widely recognized to include
6 developmental toxicity and that numerous
7 authoritative bodies and other well-recognized
8 sources agreed that developmental toxicity
9 encompasses the postnatal period.

10 The records of that DART Committee
11 meeting indicate that there was a fair -- it
12 appeared that there was fair agreement that
13 the postnatal period should be considered to
14 be -- come up in the rubric of developmental
15 toxicity. But no votes were taken at that
16 time.

17 The agreement was to have a workshop on
18 the issue. The workshop did not occur. And
19 instead, in December '96, Mr. Soo Hoo
20 presented a legal opinion to the Committee
21 saying that a workshop was not needed. He
22 presented the opinion that postnatal exposures
23 are not encompassed by Proposition 65.

24 His main citations were to informal
25 discussions with a prior OEHHA director and a

1 prior OEHHA chief counsel. He does, however
2 -- and you should know this -- state that the
3 statute -- this is a quote -- "the statute
4 grants the DART Committee broad authority and
5 it is free to consider all scientifically
6 valid data, including postnatal effects".

7 In June 1997, Mr. Soo Hoo wrote a letter
8 clarifying his December statement in response
9 to questions from some members of the public.
10 And in that letter, he emphasizes that "we
11 believe there to be no restrictions on the
12 ability of the DART Committee to consider any
13 and all evidence it considers to be relevant
14 and consistent with sound science in
15 determining whether a chemical has been
16 clearly shown to cause reproductive toxicity".

17 He also says that the -- that he's -- Oh.
18 He also re-emphasize that the DART Committee
19 is free to consider any and all information
20 that it determines relevant. And then the
21 last part of the sentence is very important.
22 It said, "including revising these criteria,
23 if it so chooses".

24 It is the opinion of the attorneys and
25 the scientists at NRDC and the Environmental

1 Defense Fund that the Soo Hoo interpretation,
2 which is also theoretically the current OEHHA
3 interpretation, is flawed, both legally and
4 scientifically.

5 In particular, the statute uses the key
6 term "reproductive toxicity". And in the
7 statutory language, it's quite clear that in
8 issues relating to how this term should be
9 defined or what should be considered to be a
10 reproductive toxicant, there are two, two
11 points of deference, two places that the
12 statute refers. It refers to "generally
13 accepted scientific principles" and it refers
14 to the "State's qualified experts".

15 You are the State's qualified experts,
16 and therefore, should be one of the key points
17 of ultimate authority for whether postnatal
18 exposure should be considered under the rubric
19 of reproductive toxicity.

20 And with regard to "generally accepted
21 scientific principles", I just wanted to point
22 out that the Environmental Protection Agency,
23 Food and Drug Administration, the National
24 Institutes of Occupational Safety and Health,
25 the National Toxicology Program, European

1 Union, and International Life Sciences
2 Institute have all developed fairly similar
3 scientific policies on this matter.

4 And all of these bodies consider
5 developmental toxicity to be a component of
6 reproductive toxicity. And all of these
7 bodies define developmental toxicity in ways
8 that are very similar and that include
9 exposures during the postnatal period up until
10 the time of sexual maturity.

11 The U.S. EPA definition defines
12 developmental toxicity as "adverse effects on
13 the developing organism that may result from
14 exposure prior to conception, either parent"
15 -- in parentheses -- "during prenatal
16 development or postnatally to the time of
17 sexual maturation".

18 So as a result, this is something where
19 we are respectfully requesting that OEHHA
20 submit its troubled interpretation of the
21 postnatal issue to you, the State's qualified
22 experts, to review the generally accepted
23 scientific principles. And that this is not a
24 -- something that has been resolved legally,
25 despite what, what some others might tell you.

1 The current relevance to fenbutatin oxide
2 is basically this: There's six chemicals that
3 were listed by U.S. EPA under the Toxics
4 Release Inventory, all of which are caught up
5 in this inconsistency. These six chemicals
6 are fenbutatin oxide, fenoxycarb, dimethoate,
7 tebuthiuron, naled, and sodium nitrite.

8 Not all of these will be coming before
9 your committee, because some of them were
10 stopped before they even got to the Notice of
11 Intent To List phase, just after the data
12 call-in. So you will unfortunately not get a
13 chance to grapple with all of this issue with
14 regard to all the chemicals.

15 But the problem here is that OEHHA is
16 explicitly instructed to give broad authority
17 to an authoritative body, in this case, U.S.
18 EPA. U.S. EPA was designated by this
19 Committee as an Authoritative Body with no
20 restrictions. In other words, the Committee
21 did not say, "Yes, EPA is authoritative except
22 in the cases where they looked at postnatal
23 issues".

24 And in multi-generational studies, as we
25 all know, if a health effect is subtle, if a

1 health effect is delayed or is not immediately
2 apparent at birth, it may often be unclear
3 whether that actually stemmed from a prenatal
4 or postnatal exposure. And in that situation,
5 where do we put the benefit of the doubt? And
6 that's the issue here.

7 What OEHHA has currently done under its,
8 under what I think is going beyond its current
9 interpretation, is it said, "If there's a
10 shadow of a doubt about whether the exposure
11 was prenatal or postnatal, the result of this
12 health effect, we won't even look at it.
13 We'll close our eyes and turn away, because it
14 might have been a postnatal exposure".

15 And that is putting the complete burden
16 of proof on showing that the effect appeared
17 immediately at birth and was clearly due to
18 prenatal exposures. And that is actually even
19 going beyond the Soo Hoo interpretation and
20 Soo Hoo memo.

21 And so -- and of course, you know, Soo
22 Hoo spoke to this directly when he
23 emphatically allowed the DART Identification
24 Committee to consider postnatal exposures.
25 Presumably, EPA is allowed to consider

1 postnatal exposures when they appear to be
2 relevant to the decision at hand.

3 So what I am urging you to do is consider
4 fenbutatin oxide on its own merits, including
5 the results of the Hines Laboratory -- Hine
6 Laboratory study, the DuPont study, in both
7 cases, where there was some question about
8 whether the exposures of concern were
9 postnatal; consider in its totality may it
10 rise or fall on the totality of the evidence.

11 But in addition, I'm asking the Committee
12 to go a step further and discuss this issue,
13 bring it up and say if you believe that the
14 current OEHHA interpretation is not consistent
15 with sound science or with generally accepted
16 scientific principles, ask again to have a
17 workshop.

18 Ask again to reconsider this
19 interpretation, because I can guarantee you,
20 it will come up again, and it will be a
21 stumbling block over and over again as we deal
22 with questions about listing chemicals,
23 particularly that have undergone
24 multi-generation studies where they have
25 subtle and delayed health effects that appear

1 not immediately after birth.

2 Thanks very much.

3 DR. BURK: Thank you. Are there any
4 questions for Gina before she sits down? I
5 guess not. Okay. Thank you very much. Joan?

6 DR. DENTON: For the benefit of the new
7 members of the Committee, this is a -- this
8 issue has a long history to it. And in prior
9 discussions before this Committee, at least in
10 my reading of the transcript, there was quite
11 a bit of discussion of scientifically what is
12 the definition of "developmental".

13 The problem is that, that this item is
14 where you have the clash of legal
15 interpretation and science. And I don't know
16 that there's any easy -- there isn't. There's
17 no easy resolution to something where there's
18 a legal interpretation, but as scientists, we
19 may feel one way. But there's a legal
20 interpretation which can supersede that.

21 But regardless of that, since those
22 discussions were held in the mid '90's,
23 nothing has, nothing different has happened, I
24 guess, that would alter OEHHA's legal
25 interpretation of what the intent of the

1 proposition is regarding developmental and
2 birth defects. So there's, there's nothing
3 new there.

4 If the Committee obviously wants to
5 reopen the issue and discuss it, then we will
6 certainly provide the technical support that's
7 needed. And so the request is to you as a
8 Committee. But again, it's a, it's a clash of
9 science and legal interpretation.

10 And I think that the cards are pretty
11 clear that OEHHA would respond from the legal
12 perspective that we have, as Colleen
13 elucidated, regarding what we see, and the
14 AG's Office see as the legal definition of
15 developmental toxicity.

16 DR. BURK: Are there any comments or
17 questions from the Committee?

18 DR. JONES: Yeah.

19 DR. BURK: Ken?

20 DR. JONES: I forget exactly what the
21 issue was about the environmental cigarette
22 smoke, but it's my recollection that it was
23 environmental cigarette smoke that the mother
24 was exposed to prior to delivery as opposed to
25 post -- environmental cigarette smoke that the

1 baby was exposed to after birth. Am I correct
2 or -- am I incorrect as far as this Committee?

3 DR. SAMUELS: I remember the discussion,
4 since I certainly voted in favor of it. And
5 the epidemiology of it, as Ken was -- the
6 postnatal exposure to the infant. But there
7 was and may still in the future be animal
8 evidence of prenatal exposure affecting lung
9 function.

10 And certainly, we could not separate out
11 postnatal exposure of the infant from prenatal
12 exposure of the mother. And I didn't consider
13 them different. But it was not exposure of
14 the mother postnatally, it was exposure of the
15 infant.

16 DR. JONES: Okay.

17 DR. BURK: Any other comments? Well, we
18 have another speaker from the audience.

19 DR. LI: I'm Ling-Hong Li. I'm a new
20 member of OEHHA, but today I'm here as a
21 member of the audience. I'm just sitting in
22 to listen and get myself formalized with the
23 process.

24 Talking about development and repro, I
25 just want to remind the audience and the

1 experts that, remember, the postnatal or
2 prenatal, if you are talking about the
3 development of the male repro, which I have
4 worked with for more than 10 years.

5 Almost the whole male reproductive
6 system, except for the testes, barely start to
7 develop after birth. Talking about the
8 epididymis, from the scientific point of
9 review, the epididymis, all those cell types,
10 organs, prostate, really start to develop
11 after birth. That's just my personal view,
12 just science, just the truth.

13 I'm just reminding you of the difference
14 in organs in their developmental period. When
15 we think about the male reproductive system,
16 we have to include the first two weeks after
17 birth in rats. In humans, it's up to 20 years
18 old. That's my comment on the issue.

19 DR. BURK: Thank you. Carl?

20 DR. KEEN: I certainly understand the
21 position of the last two speakers. I also
22 felt -- feel that our hands are a bit tied. I
23 mean, we have a legal interpretation which has
24 been issued to us. And it seems to me that
25 with some regards, we should operate under

1 those guidelines. If we're not going to, then
2 we should first off convene a workshop so we
3 have well-defined criteria.

4 I for one, as much as I look at postnatal
5 development, I agree, there's developmental
6 effects. But we cannot be so open-ended. For
7 example, to suddenly say, "Well, exposure is
8 up to the age of 20", is exactly the problem,
9 I think, we're faced. We have to say, "No.
10 Where are we going to draw the lines for the
11 purposes of this particular committee?"

12 And that's what the initial workshop was
13 supposed to do that we -- I recall we all
14 asked to be convened, and for various reasons,
15 it was not held, and I think it was because
16 there was an interpretation. If we're back at
17 that crossroads, I would urge us to again have
18 a workshop, come to a conclusion, and put this
19 to rest, because otherwise, I feel very
20 strongly that we should operate under the
21 guidelines that we're given and not just kind
22 of make them up on the fly. I think that's
23 inappropriate.

24 DR. DONALD: Can I just add one brief
25 augmentation of what Dr. Li said. Effects on

1 the reproductive system that result from
2 postnatal developmental exposures are included
3 under this Committee's purview.

4 DR. BURK: Yeah. I think we understand
5 that. I mean, male/female reproductive
6 toxicity, anytime in their lives, as I recall
7 the issue there was perhaps lung effects that
8 might result in SIDS, and how we couldn't
9 separate pre and postnatal exposure.

10 DR. DONALD: I just wanted to clarify
11 that for the record.

12 DR. BURK: Yeah. No. I think that's
13 very important.

14 Does anyone else have any --

15 DR. SAMUELS: I do.

16 DR. BURK: -- comments?

17 DR. SAMUELS: I would just like to point
18 out that the virtue of the multi-generational
19 study is that one gets lifetime exposure in
20 the parental rats, which therefore can show
21 itself as an effect on fertility or in their
22 offspring, so that we in fact do cover --

23 These multi-generational studies are very
24 valuable for the very kind of long-term
25 exposure beyond the prenatal period -- or

1 postnatal period that the previous speaker
2 mentioned. And it's just that the difficulty
3 is when we see an effect early in the
4 postnatal period but not at birth. That's our
5 problem.

6 DR. BURK: Well, I think perhaps we
7 should go on and just discuss this chemical at
8 the moment. And if there's any strong
9 feelings later, someone can bring it up.

10 So back to fenbutatin oxide. And again,
11 I would like to perhaps try to discuss it by
12 the male/female developmental, perhaps just to
13 focus us. So why don't we start again with
14 female, mainly because I think that's the,
15 perhaps the easiest.

16 Does anybody have any comments about
17 female reproductive toxicity?

18 DR. ROBERTS: I'd just say I'm not
19 impressed that it meets the clear evidence of
20 female reproductive toxicity.

21 DR. BURK: Well, I agree. And that's why
22 I did that one first.

23 All right. Let's -- well, let's talk
24 about the male, then. That one is a little
25 more -- we'll put Marion on the spot again.

1 Why don't you go ahead, Marion. Tell us
2 what you think about these -- particularly
3 about these changing weight things.

4 DR. MILLER: Well, I think I start on the
5 first simple endpoints that we looked at in
6 terms of the histopathology in testes. There
7 was no effect in multiple studies, in multiple
8 species. And to me, that's very clear.

9 The question arises about the impact of
10 the bodyweight of the animal on the relative
11 testes size. And I think the Chapin study in
12 1993 really showed -- did a nice job showing
13 that with reduced bodyweight, they maintained
14 testicular size, so that the relative testes
15 size is now increased, because you get a lower
16 total bodyweight and maintain absolute weight
17 in testes. You're going to see an increase in
18 the relative testes weight. And that is seen
19 in some studies, but not in all studies and at
20 all times. So there's some studies I really
21 wouldn't consider the bodyweight effects as
22 clear and causing changes in testes weight.

23 Some -- but now the question that has to
24 be raised, because it's not always, the
25 results are not always consistent, is one

1 study which was a long-term study, the last,
2 the terminal measurement of the testes weights
3 -- and I had it open a minute ago -- and
4 showed that in the highest dose level of the
5 animals, relative testes weight was further
6 increased.

7 I thought this was interesting in that at
8 this point in the study, it was two years into
9 the study. The testes weight in the control
10 group was actually decreasing, which would be
11 associated with aging and diminution of
12 spermatogenesis in the aging animals.

13 In the animals that were treated with the
14 compound, they had less bodyweight and
15 generally, it's shown that lighter animals are
16 healthier. So you could propose that that one
17 timepoint where you saw a relative increase
18 compared to control in testes size was because
19 the onset of diminution of spermatogenesis
20 associated with aging was marginally delayed
21 or delayed slightly because of the lower
22 animal bodyweight. And that's, I think, the
23 major discrepancy in terms of testes weight
24 that I saw in the studies.

25 In that-- the other timepoints in that

1 same 2-year study, 3, 6, and 12 months, had no
2 effects that couldn't be attributed to
3 bodyweight changes.

4 DR. BURK: Okay.

5 DR. KEEN: I just want to echo what
6 Marion said. If we were given these data
7 blind and they were listed A, B, C, D, and E,
8 and A was -- turns out to be the controls,
9 we'd all be pointing at it and saying,
10 "There's our reproductive toxic agent".

11 If you look at this, frankly, from an
12 unbiased perspective, you're left with the
13 impression that it's a protective agent, not a
14 -- it's not a negative agent, particularly the
15 absence of any histopathology on any of the
16 reports.

17 DR. SHIONO: Can you point to the table
18 that shows that?

19 DR. KEEN: It's -- you see it on page 53.
20 There's a rather consistent expression of
21 them. And you're right, 61 for the 2-year
22 study.

23 DR. BURK: Okay. Any other comments
24 about the male reproductive toxicity?
25 Questions?

1 Marion, is there anything else that can
2 explain weight change, you know, that you
3 don't see pathologically? That's the problem
4 I have. You'd expect to, if you looked at it,
5 you'd expect to see something. It's hard to
6 understand otherwise.

7 DR. MILLER: In the absence of any
8 pathology, I have a hard time making an
9 interpretation.

10 DR. BURK: Okay. All right. Question?

11 DR. SAMUELS: Where is Hine Laboratory?

12 DR. MORGAN: It used to be in San
13 Francisco.

14 DR. BURK: All right. Let's talk about
15 the developmental toxicity, then. Would
16 anyone like to make a comment on that?

17 Linda?

18 DR. ROBERTS: This is something of a
19 bridging comment, perhaps. I was looking at
20 the three-gen repro study, which I guess -- I
21 looked at the original. It's not a terribly
22 good study, but it had a slight decrease in
23 relative organ weight for testes in the F3B
24 weanlings; no data for the F1B's or F2B's. I
25 also noticed that there's a slight decrease in

1 bodyweight. And I've interpreted that to mean
2 that they're postnatally growth-delayed, and
3 that the testes weight is delayed
4 appropriately. Does that seem --

5 DR. MILLER: Um-hum.

6 DR. ROBERTS: Yeah. I didn't make much
7 of that. I just wanted to see if you did.

8 DR. SAMUELS: I mean, the issue which
9 struck me in the developmental study was the
10 weanling bodyweight study. And of course, we
11 get into the issue of postnatal questions.
12 And what provided an additional difficulty for
13 me, of course, was that the parental weight
14 was also decreased.

15 However, I mean, my belief is that if the
16 effects of a chemical is going to make the
17 parents so sick as to lose weight and reduce
18 the birthweight of the offspring thereby, I
19 would still count that as a reproductive
20 effect, however indirect. But that's an issue
21 that we discussed. So I just, just wanted to
22 throw that out.

23 DR. MORGAN: If I could clarify, I don't
24 believe we saw an actual reduction in
25 birthweights.

1 DR. SAMUELS: It was wean -- it was
2 weanling bodyweights.

3 DR. MORGAN: Yeah, weanling bodyweights.

4 DR. SAMUELS: Right. I didn't -- I
5 didn't mean to say birthweight, if I did.

6 DR. MILLER: Steve, I noticed that there
7 were a couple of quotes from EPA in their
8 definition of maternal toxicity and subsequent
9 developmental toxicity. I actually like their
10 definition in that it gives us a lot of
11 flexibility in how we want to interpret the
12 data.

13 And it -- I can't remember the exact
14 quote, but in essence, it said that if there
15 was some minimal toxicity in the dams, we
16 shouldn't discount -- this as not a
17 reproductive toxicant.

18 And then they defined what minimal
19 toxicity was. And I think we should consider
20 things on a case-by-case basis as we've done
21 in the past, in terms of maternal toxicity
22 with respect to effects on the offspring.

23 DR. MORGAN: That quote is on page 30, if
24 anybody is interested in looking at it.

25 DR. BURK: I think that you're correct

1 that we have considered maternal toxicity in
2 the past. And it's just always a difficult
3 issue.

4 Is there anything here that one can see
5 in the absence of any maternal toxicity? That
6 would be nice.

7 DR. KEEN: Well, I think you just put
8 your finger on it; in the absence of maternal
9 toxicity. And I don't see any. And the
10 trouble with that EPA quote is it gives us a
11 fence for minimal toxicity. It gives us a
12 fence for severe toxicity. It doesn't define
13 the mid-ground. And that really is the
14 problem.

15 And that's why I go back to I think we
16 have to agree -- case by case is fine, but it
17 can also cause a lot of complications, because
18 it almost depends on what mood that a
19 committee is in in a given day or a given
20 audience or a set of data. And I think that's
21 just not the best way to approach it.

22 I don't see any evidence of
23 repro-toxicity here in the absence of apparent
24 maternal toxicity. And I don't know how to
25 define that as minimal or severe because it's

1 too vague as it's currently constructed.

2 DR. ROBERTS: I wanted to make a comment
3 about pre-implantation loss. It was noted in
4 the rat developmental study on Table -- excuse
5 me, on page 13 of the document. It's not dose
6 related here, by the way. It's been my
7 interpretation that the implantation actually
8 starts about day 6 rather than day 5. So we
9 should be seeing something.

10 Normally, I don't know if a study done in
11 1980 would have done this, but there's the
12 Salusky staining method for looking to see if
13 there's very, very early implantation loss in
14 the uterine horn. If so, there should be a
15 very small stain in there, almost like a
16 little pin point type of dot on the uterine
17 horn.

18 I do know from personal experience that
19 cyclophosphamide can be given starting on day
20 7 when implantation should be complete, and we
21 don't get any staining. So it's not an
22 absolute method. So when I look at
23 pre-implantation loss, I don't assume that it
24 is something that cannot be attributed to a
25 test material. But the only mechanism that I

1 know of for it is something that is
2 ^Celastogenic. And I spoke to Jim Morgan about
3 it last week. And he checked. And this
4 material doesn't have any -- it's clean on the
5 genetic toxicity.

6 So in looking at that endpoint and in
7 looking at the pre-implantation loss, I think
8 it's simply a matter of natural variability.
9 It goes from lowest to highest dose, .6,
10 1.4.6, 1.1. If that was a real effect, I
11 would expect it to be much higher. I would
12 expect it to be something more along the lines
13 of a third or fifty percent reduction in
14 litter size or complete absence of litters at
15 that level.

16 In the rabbit developmental studies, one
17 of the things that I asked them about were the
18 gastric lesions. And the reports do indicate
19 a number of cases where the animals are not
20 eating, and at necropsy, they have gastric
21 lesions.

22 The reason I asked for that is that I
23 know although it's not considered a severe
24 skin irritant, it is considered a severe eye
25 irritant. And if it's severely irritating to

1 the eye, a lot of times, those materials are
2 very irritating to any sort of membrane. And
3 apparently that may be why those gastric
4 lesions are in there, which would be a fairly
5 significant discomfort to the animals as well
6 as fairly strong adverse toxicity.

7 The rabbit studies have the most positive
8 findings, but they also have the strongest
9 level of maternal toxicity. And I'm surprised
10 that the control group has 11 percent
11 mortality. I don't have a lot of confidence
12 in a study when you've got your normal,
13 healthy rat and rabbit dying. But when I see
14 the increase at the high dose, that makes --
15 that further suggests to me that there's a
16 complication or confounder in interpretation
17 at that level.

18 And I guess -- I went back and looked --
19 it indicates in the dairy -- this is a cow
20 study, that's what they looked at for
21 pesticide distribution. They didn't find it
22 in the milk, so I would guess that -- this is
23 an assumption.

24 I don't even know if it's a totally valid
25 assumption, but if you can't find it in cow

1 milk, you may not be finding it in rat milk
2 either. So I'm guessing it's not
3 lactationally transferred or not transferred
4 substantially at that point.

5 But since the mom still seemed to be
6 affected, it would be at least my guess that
7 there's a definite -- the odds are stronger
8 that it's postnatally mom's toxicity affecting
9 the pups versus the pups actually being
10 affected directly or prenatally.

11 DR. SAMUELS: Or such as a reduction in
12 the amount of lactation, simply.

13 DR. ROBERTS: That could be something as
14 well.

15 DR. KEEN: Since you brought up
16 pre-implantation loss, maybe someone could
17 just help me. I couldn't understand Table
18 C.2.1.2. I see a whole bunch of zeros which,
19 if I read the footnote correctly, indicates
20 that there was, there were some problems in
21 the sense that it exceeded total
22 implantations, exceeded the corpora lutea.
23 So they gave it a zero. I guess I'm confused
24 as to where the minus numbers come from, the
25 positive numbers. I was left --

1 DR. MORGAN: More questions? Hopefully,
2 I can clarify that a little bit. The numbers
3 in Table C.2.1.2 are the actual numbers by
4 individual animals of the corpora lutea minus
5 the implants. Okay. So if there were more
6 implants than counted corpora lutea, it comes
7 up as a negative number. If there were less
8 implants, it comes up as a positive number.

9 DR. KEEN: Right.

10 DR. MORGAN: And those were calculations
11 that -- I actually went through the raw animal
12 data and did those.

13 DR. KEEN: Well, I understand that, but
14 how do you get the negative numbers which are
15 in the table?

16 DR. MORGAN: Those are the ones where the
17 number of implants exceed the number of
18 corpora lutea.

19 DR. KEEN: But then that should have been
20 a zero. That's what it says in the table.

21 DR. MORGAN: Oh. No. When the authors
22 calculated the averages, okay, they made all
23 the negative numbers into zeros.

24 DR. KEEN: But --

25 DR. BURK: But he's showing you he did it

1 himself.

2 Right? You figured this out, each one?

3 DR. MORGAN: Right.

4 DR. KEEN: Okay. So this table does not,
5 is not what the authors report. There's
6 something wrong. You can't -- it says their
7 zeros are their positive numbers. I'm just
8 trying to clarify the table.

9 DR. MORGAN: Sure. When the -- the table
10 C.2.1.2 is the actual calculations we did
11 based on individual animal data of what the
12 number of corpora lutea minus the number of
13 implants was, which is to say the
14 "pre-implantation losses" by individual
15 animals.

16 When the authors calculated the averages,
17 which you see in the previous table, C.2.1.1.
18 Okay? They took all the negative numbers and
19 changed them to zeros. And then they
20 calculated the averages based on that.

21 DR. KEEN: Okay. So the table that you
22 have in here, the zeros really are true zeros.

23 DR. MORGAN: That's correct.

24 DR. KEEN: Okay. You might want to add a
25 footnote to make that clear, because as it

1 currently reads, it looks like this is a table
2 directly from the authors' data. That's what
3 was confusing me.

4 DR. MORGAN: Okay. We'll do that.

5 DR. BURK: I thought what you were trying
6 to show was how it spread over more animals to
7 help understand the statistics.

8 DR. MORGAN: That's correct.

9 DR. BURK: Okay.

10 DR. SHIONO: In my reading of the study,
11 I see some consistent effects of resorption
12 across different species. It would be helpful
13 for me to have some discussion about this. Is
14 this an important reduction? Is this a
15 measure of reduction in fertility in animals?
16 You know, they seem to be real. They're
17 statistically significant across species, and
18 there's a dose response effect in a couple of
19 them.

20 DR. ROBERTS: I'm sorry. Can you point
21 out which pages have the dose response and
22 statistical significance for resorptions?

23 DR. SHIONO: I have in my notes that in
24 the Trial B of the rabbit study, there was a
25 statistically significant increase in

1 resorptions, and that also had a dose response
2 effect.

3 DR. MORGAN: Actually, if I could
4 clarify, the authors did not do statistical
5 tests on the resorptions in the Trial B rabbit
6 study. So we don't actually know whether it
7 was statistically significant or not. There
8 wasn't enough information for us to run
9 statistical tests on it.

10 DR. KEEN: Yeah. I think it's also worth
11 noting I wasn't struck the same way you were
12 in part because in Study A, again, it's the
13 reverse. I mean, I think we're kind of -- if
14 we're going to seize on the numbers, we have
15 to -- you know, it's the lowest resorption.
16 And early fetal deaths are reported in the
17 high-dose group in Study A.

18 So if you kind of combine the two
19 studies, you come to the conclusion that not
20 much is happening in terms of the rabbits, at
21 least that was my interpretation.

22 DR. BURK: Did you have anything else,
23 Pat, that you wanted to --

24 DR. SHIONO: (Shaking head.)

25 DR. SAMUELS: Well, I do think -- I mean,

1 if we look -- again, I'm looking at 2.2.2.1,
2 and it does look like there is an increase in
3 loss, at least in the highest group, but it's
4 not necessarily a dose-response relationship.
5 But the number of resorptions or abortions or
6 females with live fetuses at gestational day
7 29 is certainly reduced in that group.

8 DR. BURK: I agree with you there, but
9 that was the case of what I would consider
10 significant maternal toxicity.

11 DR. SAMUELS: I --

12 DR. BURK: Again, I know, it's one of
13 those things where we have to keep that into
14 perspective there.

15 DR. SAMUELS: So it's your judgement that
16 that dosage group is a high toxicity group, I
17 mean, so high as to -- because of the high
18 mortality, primarily?

19 DR. BURK: Well, I thought it was high
20 based on the, all of the gastric lesions and
21 all the things they reported.

22 Was that not your thought too, that the
23 New Zealand white rabbit study at 10
24 milligrams per kilogram per day --

25 DR. ROBERTS: Yeah, that was my

1 impression, although Jim Morgan has spent a
2 lot more time on the report than I did. But
3 yeah, that was my thought.

4 DR. KEEN: I mean it just -- and again,
5 it's where I do think we have to at least
6 develop some guidelines we follow, because if
7 we use the EPA as to what's severe toxicity or
8 minimal toxicity, if I recall, is less than 10
9 percent mortality. If 5 out of 20 died, we're
10 25 percent. So that's -- I mean, I -- I'm not
11 necessarily comfortable with, with what I'm
12 saying, but I think we have to go with the
13 guidelines until we set them in place. And
14 clearly, 25 percent would be considered
15 severe.

16 DR. MILLER: I think a study with deaths
17 in a goodly proportion of the animals is
18 clearly not minimal toxicity. These animals
19 looked like they are showing some major signs
20 of toxic effects.

21 DR. ROBERTS: And there were notations
22 that the animals were not eating for days
23 before they were necropsied or found dead.

24 DR. BURK: Does anyone else have anything
25 they want to discuss? Any comments before we

1 take our votes? No? So we're ready to vote?
2 I'm not trying to rush anybody here.

3 All right. Well, again, I'll do it in
4 the same way that I did last time. And we'll
5 -- I'll read it exactly as it says.

6 Okay. Please indicate by a show of hands
7 if in your opinion fenbutatin oxide has been
8 clearly shown through scientifically valid
9 testing according to generally accepted
10 principles to cause developmental toxicity.

11 I see no hands, so the record should
12 reflect zero votes were cast for -- sorry --
13 for developmental toxicity.

14 All right, next one. Please indicate by
15 a show of hands if in your opinion fenbutatin
16 oxide has been shown -- has been clearly shown
17 through scientifically valid testing according
18 to generally accepted principles to cause
19 female reproductive toxicity.

20 All right. I also see zero hands. Okay.
21 So in that case, again, the record should
22 reflect zero votes were cast.

23 And finally the third one. Please
24 indicate by a show of hands if in your opinion
25 fenbutatin oxide has been clearly shown

1 through scientifically valid testing according
2 to generally accepted principles to cause male
3 reproductive toxicity.

4 Okay. Again, zero votes. The record
5 should reflect zero votes were cast to add
6 fenbutatin oxide to the Proposition 65 list as
7 causing either -- any of the three;
8 developmental, female, or male reproductive
9 toxicity.

10 A majority of the nine-appointed members
11 is required to add a chemical to the list.
12 Accordingly, fenbutatin oxide is not added to
13 the Proposition 65 list.

14 Okay. The next agenda items are Staff
15 updates. I'm not sure who's scheduled to make
16 the updates. It looks like -- Oh.

17 So, Cynthia, are you going to make an
18 update?

19 MS. OSHITA: (Nodding head.)

20 DR. BURK: Cynthia Oshita will update us
21 on chemicals added via the Administrative
22 Listing mechanism.

23 MS. OSHITA: Good afternoon. My name is
24 Cynthia Oshita with OEHHA, and I would just
25 like to bring the Committee members up to date

1 on the status of the administrative listings
2 under Proposition 65.

3 Since the DART Committee met last
4 December of 1998, OEHHA has administratively
5 added 60 chemicals to the Prop 65 list. Of
6 the 60, 55 were added as developmental and
7 reproductive toxicants, and 5 were added as
8 carcinogens.

9 A complete, current list of chemicals is
10 available in your binders following the Staff
11 update. We have underlined and highlighted in
12 blue each of the chemicals which were newly
13 added for your ease of reference.

14 Also included in that list are the four
15 chemicals for which the Carcinogen
16 Identification Committee recently delisted.
17 Those four chemicals are allyl chloride,
18 chlorodibromomethane, para-toluidine, and
19 zineb. All four of these chemicals had been
20 administratively listed by the Authoritative
21 Bodies mechanism, and the authoritative body
22 was the U.S. EPA.

23 The more recent information on these
24 chemicals indicated that the U.S. EPA had
25 changed its determinations And according to

1 the regulations, there is a mandatory referral
2 to the appropriate committee for its
3 determination on whether the chemicals should
4 remain on the list. And the Carcinogen
5 Identification Committee found that these
6 should be removed.

7 DR. BURK: Thank you, Cindy. We also
8 have a update by Colleen Heck on Proposition
9 65 litigation and rulings.

10 MS. HECK: Thank you. There's two
11 matters to report on in that regard. The
12 first is the Toxics Release Inventory
13 litigation. I think it's important enough
14 that I will trouble you with a very slight
15 recount of the history here. And it may serve
16 well for the new members.

17 In 1994, U.S. EPA came out with some
18 additions to its Toxics Release Inventory, or
19 TRI list. What finally shook out of that was
20 approximately 65 chemicals that were formally
21 identified as causing reproductive toxicity.

22 In 1997, a lawsuit was initiated by the
23 Natural Resources Defense Council, seeking to
24 compel OEHHA to, it's fair to characterize,
25 promptly add all of those to the Proposition

1 65 list. There was a related but opposite
2 conclusion sought by the Western Crop
3 Protection Association seeking to prohibit
4 OEHHA from adding any of those TRI chemicals
5 to the Proposition 65 list. The cases were
6 consolidated for a hearing before a local
7 judge here in Sacramento back in April of '98.

8 Judge Ford, the judge assigned, ruled
9 that OEHHA was proceeding correctly in its
10 chemical-by-chemical review of those 65
11 chemicals in conformance with the regulations
12 to determine whether or not they met the
13 listing criteria for an Authoritative Bodies
14 listing. And he did urge OEHHA to move
15 promptly in its review.

16 In October of 1998 -- it was our second
17 hearing on the same matter -- and Judge Ford,
18 on this occasion, issued a writ setting
19 timelines, primarily, telling OEHHA to
20 continue with its chemical review, but to make
21 final determinations to list or not to list.

22 He did not order us to list all the
23 chemicals. He ordered us to make final
24 listing determinations for at least 50 of the
25 chemicals by June 30 of '99, and the

1 remainder, whichever number of the 65, but
2 more than 50, were not gotten to in a timely
3 fashion.

4 That was the backdrop for a lot of the
5 recent listing activity that Cindy Oshita just
6 reported on.

7 As of June 30, '99, when we were
8 returning to the Court to let the judge know
9 how we had done, we had made final listing
10 decisions on 52 of the chemicals. 35 were
11 added to the list, 17 were not.

12 As of today, there have been final
13 decisions for 55 of the TRI chemicals, with 10
14 chemicals in various stages of the listing
15 process, all different parts of the pipeline
16 not yet completed. That's the status of the
17 TRI litigation.

18 A related -- an unrelated matter,
19 actually, but a Prop 65 case, nonetheless, is
20 the matter of Baxter versus Denton. The
21 backdrop of this case is we received a
22 petition from Baxter Company to make
23 significant changes to our regulations as
24 concerns diethylhexylphthalate, DEHP,
25 including changing the "no significant risk

1 level", conceding that the law of informed
2 consent regarding physicians and how they give
3 warnings to patients in effect superseded the
4 Proposition 65 warning and other related
5 avenues of relief.

6 OEHHA responded, denying the petition on
7 all grounds. The petitioner, Baxter, has
8 since filed for a writ again seeking to compel
9 OEHHA what it sought in the earlier petition
10 that was filed with us. And that matter is
11 also pending here in Sacramento County
12 Superior Court, but has not yet been heard.
13 It will probably be heard in the spring of the
14 coming year.

15 That's all I have. I don't know if there
16 are any questions.

17 DR. BURK: Are there any questions? I
18 guess not.

19 MS. HECK: Thank you.

20 DR. BURK: Are there any further public
21 comments? It's very quiet.

22 Okay. Then I'll turn to Joan Denton for
23 a summary of the Committee actions.

24 DR. DENTON: For the two chemicals which
25 the Committee discussed today, the Committee

1 chose or added to the Proposition 65
2 quizolofop ethyl, based on male reproductive
3 toxicity. The Committee decided not to list;
4 therefore, it will not be listed, fenbutatin
5 oxide to the Proposition 65 list.

6 Regarding closing comments, which I see
7 I'm also listed on here to say, we're going to
8 break the tradition of this Committee of
9 meeting once a year in December by having
10 another meeting in approximately, what, four,
11 four months, four or five months in spring, in
12 the spring of the year 2000. And the
13 Committee will be discussing additional TRI
14 chemicals, or additional Authoritative Body
15 chemicals, which we will be bringing forward
16 to the Committee.

17 Has that meeting date been set?

18 DR. ALEXEEFF: No, Joan.

19 DR. DENTON: No? Okay. So it will be --
20 it will be in the spring.

21 Also, I guess, I would like to again
22 welcome the new members. We're glad that
23 you're here, and we hope that you find the
24 Committee discussion very scientifically
25 challenging.

1 I think that's all I had to say.

2 DR. BURK: Is there any further business
3 that the Committee members would like to bring
4 up? Okay. If not, then I believe we're
5 adjourned.

6 Thank you all for coming.

7 (Whereupon the meeting
8 concluded at 1:02 p.m.)

9 ---o0o---

10

11

12

13

15

16

17

18

19

20

21

22

23

24

25

1 STATE OF CALIFORNIA)
) ss
2 COUNTY OF SACRAMENTO)

3 I, SAHAR DEMOS, do hereby certify:

4 That on the 13th day of December, 1999, at the hour of
5 9:00 a.m; that I took down in shorthand notes the said
6 proceedings had; that I thereafter transcribed my
7 shorthand notes of such proceedings by computer-aided
8 transcription, the above and foregoing being a full,
9 true and correct transcript thereof, and a full, true
10 and correct transcript of all the proceedings had.

11
12
13
14 

15 _____
16 Shorthand Reporter in and for the County
17 of Sacramento, State of California