

PRE-REGULATORY WORKSHOP
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
POTENTIAL AMENDMENTS TO
TITLE 27, CCR SECTION 25821

STATE OF CALIFORNIA
ELIHU HARRIS BUILDING
AUDITORIUM
1515 CLAY STREET
OAKLAND, CALIFORNIA

MONDAY, OCTOBER 19, 2015
10:03 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

STAFF:

Mr. Allan Hirsch, Chief Deputy Director

Dr. Melanie Marty, Acting Deputy Director

Ms. Carol Monahan-Cummings, Chief Counsel

FACILITATOR:

Mr. Jeff Loux, UC Davis

ALSO PRESENT:

Ms. Caroline Cox, Center for Environmental Health

Mr. Mike Easter, EnSIGHT

Mr. Trent Norris, California Chamber of Commerce

Mr. Gary Roberts, Dentons

I N D E X

	PAGE
Opening remarks by Chief Deputy Director Hirsch	1
Remarks by Mr. Loux	3
Presentation by Chief Counsel Monahan-Cummings	5
Presentation by Dr. Marty	7
Mr. Norris	11
Ms. Cox	16
Mr. Roberts	18
Mr. Easter	19
Responses to comments	21
Closing remarks by Chief Deputy Director Hirsch	22
Adjournment	24
Reporter's Certificate	25

1 P R O C E E D I N G S

2 CHIEF DEPUTY DIRECTOR HIRSCH: We're on the
3 record. Good morning. My name is Allan Hirsch. I'm
4 Chief Deputy Director for the Office of Environmental
5 Health Hazard Assessment. I'd like to thank you for
6 starting your work week off with us and attending our
7 workshop on the Proposition 65 pre-regulatory proposal
8 concerning -- am I too loud?

9 CHIEF COUNSEL MONAHAN-CUMMINGS: Yeah, you're too
10 close.

11 CHIEF DEPUTY DIRECTOR HIRSCH: Okay -- concerning
12 the calculation of the reasonably anticipated level of
13 intake or exposure to listed chemicals in consumer
14 products. So with me up here is Dr. Melanie Marty, who is
15 our Acting Deputy Director for Scientific Affairs and
16 Carol Monahan-Cummings our Chief Counsel. Also, with us
17 is Jeff Loux of UC Davis extension. He will be
18 facilitating today's workshop. And he will explain his
19 role in greater detail in a few minutes.

20 So as many of you know, this is the third in a
21 series of four public meetings over a six-day period, in
22 which we've been taking input on four pre-regulatory
23 proposals that are officially separate, but are intended,
24 at least in concept, to work together as a package. And
25 the first two of these meetings took place last Wednesday

1 in Sacramento, where we discussed a proposal for updating
2 the maximum allowable dose level for lead, as well as a
3 proposal identifying naturally occurring levels of lead
4 and arsenic in certain foods.

5 At 1:00 p.m. this afternoon in the same
6 auditorium, we will have a workshop on a proposal covering
7 how one could calculate concentrations of listed chemicals
8 in products. But this morning, we'll be discussing a
9 pre-regulatory proposal for how one should calculate the
10 level of exposure that consumers would incur to listed
11 chemicals, and specifically reproductive toxicants in
12 consumer products.

13 This concept consists of adding one sentence to
14 section 25821 of our Proposition 65 regs, which would
15 specify that the calculations should utilize the
16 arithmetic mean of the rate of intake or exposure for
17 users of that product.

18 And to start that discussion, we'll first hear
19 the legal background on this proposal from Carol
20 Monahan-Cummings, followed by an overview of the proposal
21 itself by Dr. Melanie Marty.

22 But first, I just wanted to remind you that this
23 workshop actually is not being webcast, as this building
24 does not have that capability. Unfortunately, we were not
25 able to find a room with webcasting capabilities in the

1 time frame that we needed, but we do have the next best
2 thing, which is that an audio recording of this workshop
3 is being made and it will be transcribed and a transcript
4 of this workshop will be available in the very near
5 future.

6 So we know that there are strongly held opinions
7 as to how exposures to chemicals in products should be
8 calculated, and we look forward to hearing these thoughts
9 from you both today and in written comments that are
10 submitted during the written comment period, which runs
11 through November 17th.

12 So with that, I'd like to turn over the
13 microphone to our facilitator Jeff Loux of UC Davis
14 Extension, who will go over process details.

15 MR. LOUX: Thanks, Allan. And thanks for
16 everyone being here. Just some quick housekeeping details
17 to make sure that we kind of stay on time and stay
18 focused. Emergency exits, there are four of them around
19 the room. As you came into one, one here, two in the
20 back. The restrooms, if you need them, are a little bit
21 hard to find. Just go out and go down to the right, and
22 you actually have to go through a little construction.
23 There's a little going on, but just keep going and
24 persevere and you'll get there. They are open and ready.

25 My job as facilitator really is just to keep us

1 on time and make sure that we get through the workshop and
2 get through the issues and basically that -- have a
3 neutral at UC Davis Extension's Collaboration Center is
4 assisting OEHHA in that regard.

5 I put up on this little flip chart just a couple
6 of basic sort of meeting management rules. I think we're
7 a pretty small group. We probably don't need to worry
8 about them, but if we kind of just stick to those
9 agreements, recognizing that we will have some strong
10 differences of opinion, and that's a good thing. That's
11 what these kind of pre-workshops are all about, and if we
12 can respect that and respect the time.

13 I've only got a couple of speaker cards, so I
14 suspect time is not going to be an issue at all. I have
15 two. If anyone does wish to speak, what you want to do is
16 fill out one of these blue cards. And we have them up
17 front or I can pass them out and then give them to me and
18 then I'll kind of go through the speakers.

19 We shouldn't have any problem with time as a
20 result of that, because we don't have a lot of speakers
21 yet. But if we do, Monet is here with the big sign that
22 says, you know, five minutes, three minutes, that sort of
23 thing just to keep us on time, but I don't think that's
24 going to be a problem today at all.

25 I'd say we do have the court recorder, which will

1 then turn into official court reporting, so all of this is
2 being recorded but not webcasted, as Allan said. I think
3 that's all the logistics and housekeeping that we need.

4 Okay. So I'll turn it over to Carol and then
5 Melanie.

6 CHIEF COUNSEL MONAHAN-CUMMINGS: I'm going to go
7 to the next slide and you can see which is better.

8 MR. LOUX: Do we want to go lights down or is
9 this good?

10 CHIEF COUNSEL MONAHAN-CUMMINGS: So what's your
11 preference, do you want to leave the lights up? Can you
12 see well enough?

13 MR. LOUX: That's pretty good.

14 CHIEF COUNSEL MONAHAN-CUMMINGS: Okay. I'll just
15 leave it that way. I'd hate to have you all go to sleep
16 this early in the morning.

17 Good morning. I'm Carol Monahan-Cummings, Chief
18 Counsel for OEHHA. And I just want to give a couple
19 little background comments on the reason that we're here
20 for this workshop. It's a little bit different than the
21 ones that we had last week. Particularly the one in the
22 morning last week was responding to a petition for
23 rule-making. We don't have any requests -- formal
24 requests for rule-making on the subjects that we're here
25 on today. However, as a lot of you know there

1 were -- there's been some court decisions, in particular
2 one in ELF versus Beechnut case that interpreted OEHHA's
3 regulations.

4 And what happens in court cases is that you have
5 experts that are hired by each side in the litigation.
6 And their objective in the litigation is to win. And so
7 there's -- the paid experts will give their opinions and
8 then the court has to rely on those opinions, look at the
9 relevant law and come to a conclusion.

10 So when we looked at the decision in the ELF
11 versus Beechnut case, we felt that the court incorrectly
12 interpreted a couple of our regulations, and that the
13 decision is contrary to the intent of our regulations.

14 So we've determined that we want to clarify a
15 couple of issues in our existing regulations, which are
16 actually pretty old. They were adopted nearly 30 years
17 ago, but they've served -- they've served well, and
18 they're -- for the most part, they still represent the
19 position of OEHHA. But there are certain terms within the
20 regulations that we think need to be defined more clearly.

21 And one of those we're going to talk about this
22 morning that has to do with what an average user of a
23 product might look like. We want to remind you that the
24 law -- this particular law does not ban the use of
25 chemicals. It doesn't limit the amount of a chemical that

1 can be in a product. What it does is it requires a
2 warning for individuals who are exposed to a chemical that
3 the State has determined causes cancer or reproductive
4 effects.

5 And so the purpose of the law is to provide
6 warnings to individuals that are exposed. And so it's
7 important that it -- the terminology in the statute
8 talking about exposure in individual and other terms in
9 the statute be well defined in the regulations.

10 So what we're talking about today, as Allan
11 mentioned, is what an average user of a product might be.
12 And so we're going to -- I'm going to have Melanie explain
13 to you the change that we are proposing for the
14 regulation, at least as a pre-regulatory concept. I want
15 to encourage you to give us your ideas on the concept.
16 That's what we -- why we do pre-regulatory workshops, so
17 that we can hear from the public before we take a formal
18 regulatory action. And we're encouraging you to put your
19 comments in writing, as well as make them orally, because
20 it can be kind of a complicated question.

21 Okay. And I don't think I had anything else I
22 wanted to add at this point, but I'm happy to answer
23 questions later, if you'd like.

24 DR. MARTY: Good morning I'm Melanie Marty. I'm
25 Acting Deputy Director for the Science Division at OEHHA.

1 So Prop 65 requires businesses, as you know, to provide a
2 warning to consumers when the level of exposure from a
3 consumer product exceeds a specific amount of a listed
4 chemical. The level of exposure to a listed chemical is
5 determined by multiplying the quote level in question to
6 the concentration -- you can think about it as the
7 concentration of chemical in a given medium times the
8 reasonably anticipated rate of exposure for an individual
9 to a given medium.

10 For exposures to consumer products, a level of
11 exposure is calculated using the reasonably anticipated
12 rate or intake of exposure for average users of the
13 product.

14 We're talking about a possible amendment today to
15 the regulation. So Prop 65 and existing implementing
16 regulations are not specific about how intake or exposure
17 of an average consumer is determined. This lack of
18 clarity can lead to incorrect determinations that some
19 product related exposures are exempt from Prop 65
20 warnings.

21 This possible regulatory action would amend
22 section 25821(c)(2) to clarify that the reasonably
23 anticipated rate of intake or exposure to a listed
24 chemical is to be calculated as the arithmetic mean of
25 intake or exposure for product users. This will help

1 businesses to correctly determine the rate of intake or
2 exposure and decide whether a warning is required.

3 Intakes or exposures vary for users of products
4 and can be represented by a range of values. So this is
5 schematically shown at the bottom of this slide. The
6 range can be characterized as a distribution from lowest
7 to highest exposure. It could be a bell shaped curve,
8 which is what you see on the left of the slide, even on
9 each side, or it could be skewed a little bit. In this
10 case, we're showing a right skewed distribution on the
11 right hand side of this slide.

12 The distribution of food intakes is most often
13 skewed to the right, meaning that a relatively smaller
14 number of people consume the product at significantly
15 higher amounts than other consumers of the product.

16 Now, why would we use the arithmetic mean to
17 determine the average? So the existing regulation right
18 now is not clear whether average should be characterized
19 by the arithmetic mean or the geometric mean or some
20 people like to use a median. The geometric mean, in
21 essence, underweights the rate of exposure of those people
22 whose consumption is nearer to the higher end of the
23 range. The median does not address consumption near the
24 high end of the range at all. It just says half the
25 values are below this and half the values are above this.

1 The arithmetic mean weights all the values for
2 intake rate equally and does not discount exposures near
3 to the high end of the range. The geometric mean will
4 produce a lower average consumption rate than the
5 higher -- than the arithmetic mean in this case.

6 The geometric mean is commonly used for a rating
7 system that scores products based on two or more criteria,
8 which may cause the range of data values sometimes to span
9 multiple orders of magnitude.

10 The arithmetic mean is commonly used for
11 averaging a single criteria, in this case, daily
12 consumption, measured with a single metric.

13 This slides gives us an example of the difference
14 between the geometric mean and the arithmetic mean for a
15 set of numbers. The geometric mean is essentially the nth
16 root of the product of n numbers. So, in this case,
17 assume it's five milligrams per day intake of something,
18 10, 25, and 30. If you do the geometric mean of that, you
19 end up with 13.9. The arithmetic mean is what we're all
20 familiar with. It's the sum of n numbers divided by n.
21 In this case, the arithmetic mean is 17.5.

22 The possible amendment that we're proposing is
23 reasonably anticipated rate of intake or exposure to a
24 listed chemical is calculated as the arithmetic mean of
25 the rate of intake or exposure for users of the product.

1 MR. LOUX: Thanks, Carol and Melanie.

2 So we're kind of moving now into the question
3 portion and the public comment portion. And I think we'll
4 be fine time-wise. It should be good. Does anyone else
5 need a speaker card, if you didn't pick one up, if you
6 intend to speak now that you've heard the presentation. I
7 still only have two, so those are the two I'll call out.

8 If you need a speaker card after you kind of
9 heard things?

10 And does anyone have a speaker card out there in
11 the audience that I didn't -- I've got Trent Norris and
12 Caroline Cox.

13 Okay. Any other speakers?

14 Well, we'll have no problem accommodating however
15 we want to do it. So the idea is the speakers kind of
16 come on up here and kind of talk into that microphone.
17 That will help our recorder make sure. So why don't we
18 have Trent Norris come up first. And this is, you know,
19 questions to Allan, or Melanie, or Carol and also comments
20 and discussion.

21 MR. NORRIS: Thanks. Hi. I'm Trent Norris of
22 Arnold and Porter here on behalf of the California Chamber
23 of Commerce and a large coalition of trade associations
24 organized by the chamber. Anthony Samson of the Chamber
25 sends his regrets. He had a conflict he could not move

1 today, but he asked me to present our oral comments. And,
2 of course, we'll be presenting written comments prior to
3 the deadline.

4 The Chamber believes strongly that OEHHA should
5 not take a one-size-fits-all approach by requiring that
6 the reasonably anticipated rate of exposure or intake be
7 based solely on the arithmetic mean of the distribution of
8 exposure rates. Nothing in the statute requires OEHHA to
9 take this outlier position. And this has not been OEHHA's
10 expressed position in the past. So we strongly object to
11 any implication that this is merely a clarification of
12 OEHHA's long-standing position. This issue comes up in
13 case after case under Proposition 65. There have been
14 thousands of them over the 30 years or so that this has
15 been in effect. And OEHHA has never weighed in on this
16 issue in any formal manner.

17 I personally am unaware of OEHHA ever taking this
18 position, even informally, in 22 years of practice and
19 meetings with staff of a OEHHA, as well as staff of the
20 Attorney General's office.

21 Any estimate of the average term is only as good
22 as the data and methods that are used for the estimate.
23 Statisticians know this. They also know there are
24 different ways to calculate the average, the geometric
25 mean, the arithmetic mean, and the median are examples of

1 that. Government agencies also know this.

2 The U.S. Centers for Disease Control and
3 Prevention, which is responsible for the database on food
4 consumption that is referenced in the Prop 65 regulations
5 specifically, has guidance addressing when to use the
6 geometric and arithmetic means. And they say, and I
7 quote, "In instances where the data are highly skewed,
8 geometric means should be used". A geometric mean, unlike
9 the arithmetic mean, minimizes the effect of very high or
10 low values, which could bias the mean if a straight
11 average, i.e. arithmetic mean, were calculated. U.S. EPA
12 calls for the geometric mean when dealing with skewed data
13 distributions for exposure assessments as well. And OEHHA
14 itself has used the geometric mean.

15 As one example, OEHHA determined the cancer
16 potency for phenylhydrazine hydrochloride as the geometric
17 mean of potencies derived for each of the data sets
18 analyzed.

19 So the determination of average, the determine
20 used in the regulations, therefore must be made based on
21 analysis of the distribution. Is it highly skewed? Is it
22 a bell curve? Where does it fit?

23 The California Attorney General has recognized
24 this in briefings in multiple cases seeking to determine,
25 and the term they use is, the central tendency of a

1 distribution to be the average - that's the term used in
2 the regulations - which can, in fact, be something other
3 than the arithmetic mean.

4 It would also be bad policy for OEHHA to move in
5 this direction. For skewed distributions, such as OEHHA
6 acknowledges is true for most foods, using the arithmetic
7 mean would require warnings for many more consumers than
8 are actually necessary. In many cases, the arithmetic
9 mean of exposure is at the 80th or 85th percentile of the
10 entire distribution, as compared to the geometric mean,
11 which is closer to the median, at the 55th or 60th
12 percentile.

13 A warning on a consumer product is provided to
14 all users. So the practical effect of this proposal would
15 be to change the law, so that we are now providing
16 warnings for all users of the product, where only 15 to 20
17 percent of the users are exposed above the threshold
18 level, in other words, those above the 80th or 85th
19 percentile.

20 This runs directly contrary to the Governor's
21 reform initiative to make warnings under Prop 65 more
22 meaningful for consumers and to have fewer warnings as
23 well.

24 This also is obviously a reaction to the Beechnut
25 decision, since it only addresses reproductive toxicants

1 and not carcinogens. There's nothing in the statement
2 that addresses why that would be the case.

3 And furthermore, it is contrary to the facts to
4 say that you're averaging only one particular criterion
5 here. In food cases, there indeed are three criteria at
6 least that get averaged together in order to figure out
7 the actual exposure, one is the amount consumed per eating
8 occasion, two is the eating occasions per day, i.e. the
9 frequency of consumption, how frequently people consume
10 the product, and the third is the concentration of the
11 chemical.

12 When those three are multiplied together, the
13 distribution becomes more skewed. So because this is
14 talking about the rate of intake or exposure to the
15 chemical, you have to look at all three of those criteria.
16 That gives you a skewed distribution, in many cases for
17 foods, and for perhaps for in other products. And it's
18 therefore appropriate, as OEHHA recognizes, when there's
19 more than one criteria involved to use the geometric mean.

20 I should also point out that this affects not
21 only the warning provision of Prop 65, but the discharge
22 prohibition. So one cannot say, well, it's just all about
23 warnings. Prop 65 bans the discharge of chemicals to a
24 source of drinking water, or to land where it may enter a
25 source of drinking water, above the particular level set

1 for that chemical. And exposure, under this regulation,
2 would be calculated in exact three same way. The
3 exemption would apply the same, and so you could indeed be
4 banning products as a result of this.

5 So in sum, we believe strongly that OEHHA should
6 not adopt this proposed language, should leave it to the
7 courts discretion after reviewing the science, reviewing
8 the specific distribution for the chemical at issue and
9 for the product at issue, to determine what average means,
10 and to thereby implement the regulations, as we believe
11 they were intended, almost 30 years ago.

12 Thank you.

13 MR. LOUX: Thanks, Trent.

14 The next speaker I have is Caroline Cox. And
15 does anybody else think they may want to speak, but they
16 don't have a blue card and want the fill one out. And are
17 there any other blue cards out there, now that you've
18 gotten going and you will want to speak in

19 MS. COX: Good morning. My name is Caroline Cox.
20 And I'm from the Center for Environmental Health here in
21 Oakland. I just want to speak briefly in support of this
22 proposal. You know, I was thinking about those voters
23 back in 1986 who made Proposition 65 into a law. And I
24 doubt any of them were thinking about the meaning of the
25 word average. But I'm sure if you had asked any of those

1 voters what they thought average meant, they would have
2 thought it meant the plain old, ordinary, what we call
3 arithmetic mean. The kind of average that we all learned
4 to do in fourth grade, right?

5 And I also think there was a strong presumption
6 on the voters who supported the law that they wanted to be
7 protected. The reason that they voted for Proposition 65
8 was they wanted more protection from toxic chemicals. And
9 I think OEHHA has made it really clear in the materials
10 that they prepared for this meeting that the more health
11 protective way to define average is as the arithmetic
12 mean.

13 So we're in support of the proposal.

14 I would request that, if possible, OEHHA
15 clarify -- and if I'm the only one who doesn't understand
16 this, I ask your forgiveness. But under what specific
17 circumstances does this definition of average apply? As
18 Trent mentioned, with food cases, there's typically an
19 issue about how much you eat, what your serving size or
20 consumption amount is, and then there's also an issue of
21 how often you eat it or frequency of consumption.

22 And it wasn't clear to me whether this was meant
23 to apply to both those things or one or the other. So if
24 there's a way to clarify that, I think that would be very
25 useful.

1 Thank you.

2 MR. LOUX: Thank you, Caroline.

3 Okay. The next speaker I have is Gary Roberts.
4 And I ask again, is there anyone else that has a card who
5 wants to speak, card out there, or who needs a card, needs
6 one and wants to speak and didn't get a chance to sign up?

7 Okay. Gary.

8 MR. ROBERTS: Thank you. Good morning. I'm Gary
9 Roberts of Dentons. I have a few questions and a comment.

10 And my questions are, is this a science based
11 action or a policy action, number one?

12 Number two, why is there a rush?

13 Number three, there is a statement on page three
14 of the pre-regulatory comments that is kind of -- I read
15 as a fact statement. It's two sentences and I'll read it
16 now for those who don't have the three page comments with
17 them.

18 Quote, "The geometric mean could be acceptable
19 for a rating system that scores products based on two or
20 more criteria, such as price, availability, and sales
21 data. However, the geometric mean is not the appropriate
22 metric for identifying average consumption levels of a
23 food or a consumer product", closed quote. What is the
24 citation for that statement?

25 And then my comment is that this action is

1 remarkably unjustified in light of the thousand-fold
2 safety factor present in the statute.

3 Thank you.

4 MR. LOUX: Thank you, Gary.

5 The next speaker I have is Mike Easter? And
6 again, is there anyone else who has a speaker card? It
7 would be good for us to get a sense of -- rather than just
8 having them come in one at a time, because then we won't
9 quite know how much time to give them. Does anyone else
10 need a speaker card? Need a blank one?

11 MR. EASTER: My name is Mike Easter. I'm a
12 toxicologist and a principal at EnSIGHT. I do a lot of
13 Proposition 65 risk assessments. And when I saw this, I
14 was concerned that, from what I can -- from what I
15 understand is a policy decision, not premised upon any
16 scientific determination.

17 If we keep in mind that, as Trent pointed out,
18 that this is a warning statute, and this information that
19 we're talking about today is used to make the
20 determination when a warning is necessary, we want to keep
21 it towards the center where the average user is for the
22 reasons he cite, specifically to avoid underwarning and
23 overwarning.

24 In the context of looking at the variables that
25 go into an exposure assessment, there's a number of them.

1 Some of them may be distributed log normally, some may be
2 arithmetic, harmonically, there's a whole -- there's a
3 myriad or indefinite.

4 And it just seems to me that when we go through
5 and make the determination as to whether a warning is
6 required, we want to apply objective scientific process.

7 So kind of getting back to this proposed rule, it
8 seems inappropriate to make the determination a priori
9 that all distributions are best described by an arithmetic
10 mean. I think really what would be appropriate here is a
11 process to make the determination of how to treat the
12 data.

13 And rather than having a proposed regulation
14 addressing it, maybe other types of guidance information
15 might be useful in that regard. I know that you guys
16 prepare interpretive guidance for a number of other
17 aspects of these exposure assessments. And this might be
18 a better fit, rather than a priori rule that it could
19 result in overwarning or underwarning.

20 Thank you.

21 MR. LOUX: Thanks, Mike.

22 Is there anyone else who wants to speak or ask a
23 question or address?

24 Anybody else?

25 No. So Allan, Melanie, Carol, do you guys want

1 to respond to any of these? There were a few direct
2 questions. There were a couple of comments, a couple of
3 suggestions. Do you want to respond to any of them
4 or -- it's up to you.

5 DR. MARTY: In terms of the question that Gary
6 Roberts asked, is this a science based or policy based?

7 So I looked at it from a science perspective,
8 but, you know, obviously there's choices. And so you
9 could say it's maybe a mix.

10 And then somebody made a statement that OEHHA has
11 used the geometric mean and gave an example of one of the
12 slope factors, cancer potency factors. And, yes, we have
13 done that. We've done that where you have a number of
14 data sets that you can analyze, and they might be
15 very -- from very different types of experiments. So they
16 really aren't the same way of measuring something. So,
17 yes, we have done that.

18 Now, in terms of using average versus -- or
19 arithmetic versus geometric versus any percentile in an
20 exposure assessment, in OEHHA's other risk assessment
21 programs, we typically use an arithmetic mean for a skewed
22 distribution, plus one of the high end percentiles to
23 characterize exposures to a population.

24 Anyone else want to respond to it? Allan, Carol,
25 anything?

1 No. Okay. Anybody else out in audience? One
2 more shot at it, since we've got plenty of time. Anybody
3 else want to ask a question?

4 Okay. All right.

5 CHIEF DEPUTY DIRECTOR HIRSCH: Okay. Well, I'd
6 like to thank everyone for making the effort to come here
7 this morning and participate in our workshop. I think
8 rather than try to respond off the top of our heads to
9 comments that we've heard, we'll definitely go back and
10 think about them. And we assume that we'll see those same
11 comments perhaps expounded upon in your written comments.

12 Hold on, I think Dr. Marty has something else to
13 say.

14 DR. MARTY: I just wanted to add in the other
15 programs where we're looking at the arithmetic mean of
16 skewed distributions. Those are in peer reviewed
17 scientifically peer reviewed risk assessment guidelines.

18 CHIEF DEPUTY DIRECTOR HIRSCH: Okay. So again,
19 we'll be thinking about these comments as well as written
20 comments that need to be submitted to us by the end of the
21 comment period on November 17th.

22 So again, we would encourage those comments to be
23 emailed to Monet Vela of our staff, at Monet, M-o-n-e-t
24 dot V-i-l-l-a at OEHHA.ca.gov.

25 CHIEF COUNSEL MONAHAN-CUMMINGS: V-e-l-a,

1 V-e-l-a.

2 CHIEF DEPUTY DIRECTOR HIRSCH: Oh. Okay. Your
3 right. My a apologies.

4 MR. LOUX: It's on the agenda notice and on the
5 website.

6 CHIEF DEPUTY DIRECTOR HIRSCH: I can't believe I
7 did that.

8 (Laughter.)

9 DR. MARTY: You must have been thinking of that
10 famous actress Monet Villa.

11 (Laughter.)

12 DR. MARTY: It was a joke. Sorry.

13 CHIEF DEPUTY DIRECTOR HIRSCH: I was writing it
14 out yesterday and had taken the day off.

15 Can you also snail mail comments to Monet
16 Vela -- and I see it in my notes here I have that right,
17 V-e-l-a, at the Office of Environmental Health Hazard
18 Assessment, 1001 I Street, Sacramento, 95812. And of
19 course details including proper spellings are available on
20 our website at oehha.ca.gov.

21 So again the next step is for us to -- is to
22 review both oral and written comments and then presumably
23 to produce both an official proposed regulation and an
24 Initial Statement of Reasons. And we would then expect to
25 initiate the State's official regulatory process

1 of -- which would include a public hearing and comment
2 period on the official proposed reg.

3 This workshop is really just an early preliminary
4 step in that regulatory process. So thanks again to the
5 staff and to the Jeff Loux for helping us today. And I'm
6 sure we'll see many of you back here at 1:00 o'clock for
7 the afternoon workshop on measuring concentrations of
8 listed chemicals in products.

9 (Thereupon the workshop concluded at 10:37 AM.)

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

C E R T I F I C A T E O F R E P O R T E R

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, and Registered Professional Reporter, do hereby certify:

That I am a disinterested person herein; that the foregoing California Office of Environmental Health Hazard Assessment workshop was recorded electronically and transcribed under my direction, by computer-assisted transcription in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California.

I further certify that I am not of counsel or attorney for any of the parties to said workshop nor in any way interested in the outcome of said workshop.

IN WITNESS WHEREOF, I have hereunto set my hand this 27th day of October, 2015.

JAMES F. PETERS, CSR, RPR
Certified Shorthand Reporter
License No. 10063