

MEETING  
STATE OF CALIFORNIA  
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT  
PROPOSITION 65  
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT  
IDENTIFICATION COMMITTEE

JOE SERNA JR.  
CALEPA HEADQUARTERS BUILDING  
1001 I STREET  
COASTAL HEARING ROOM  
SACRAMENTO, CALIFORNIA

THURSDAY, OCTOBER 27, 2016

10:08 A.M.

JAMES F. PETERS, CSR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

A P P E A R A N C E S

COMMITTEE MEMBERS:

Ellen B. Gold, Ph.D., Chairperson

Diana Auyeung-Kim, Ph.D.

Laurence Baskin, M.D.

Suzan Carmichael, Ph.D.

Aydin Nazmi, Ph.D.

Charles Plopper, Ph.D.

Tracey Woodruff, Ph.D., M.P.H.

STAFF:

Dr. Lauren Zeise, Acting Director

Ms. Carol Monahan Cummings, Chief Counsel

Dr. Marlissa Campbell, Reproductive and Cancer Hazard  
Assessment Branch

Dr. James Donald, Chief, Reproductive Toxicology and  
Epidemiology Section

Dr. Farla Kaufman, Reproductive and Cancer Hazard  
Assessment Branch

Ms. Michelle Ramirez, Environmental Scientist, Proposition  
65 Implementation Program

ALSO PRESENT:

Mr. Steve Risotto, American Chemistry Council

I N D E X

	PAGE
I Welcome and Opening Remarks	1
II Reconsideration of Chloroform as Known to the State to Cause Reproductive Toxicity	
Introductory Comments	12
Staff Presentation	14
Committee Discussion	54
Public Comments	56
Committee Discussion and Decision	61
III Update of the Section 27000 List of Chemicals Which Have Not Been Adequately Tested as Required	103
IV Staff Updates	
Chemical Listings via Administrative Listing Mechanisms and Safe Harbor Level Development	4
Proposition 65 Litigation	7
V Summary of Committee Actions	114
Adjournment	116
Reporter's Certificate	117

## P R O C E E D I N G S

1  
2           ACTING DIRECTOR ZEISE: All right. Good morning.  
3 I'd like to welcome everyone to this meeting of the  
4 Developmental and Reproductive Toxicant Identification  
5 Committee for Proposition 65. My name is Lauren Zeise.  
6 I'm Acting Director of the Office of Environmental Health  
7 Hazard Assessment. We are waiting for a couple of  
8 Committee members to arrive by train, so I just want to  
9 announce that they're not here, but will be here shortly,  
10 so we're going to rearrange the agenda a little bit to  
11 take some non-voting items.

12           Okay. So anyway. Welcome to the Committee  
13 meeting. The meeting is being transcribed and it's  
14 webcast, so please be sure to use your mics and speak  
15 clearly into your microphones. Just to make, before we  
16 get started, an announcement on a couple logistics. The  
17 restrooms and drinking fountains are out the door -- out  
18 the back door and to the left at the end of the hall. In  
19 the event of a fire alarm, you just go out the door down,  
20 the steps, outside, and we'll reconvene outside in the  
21 event of a fire alarm or other emergency. And the last  
22 housekeeping item is that we will be taking some breaks  
23 for the court reporter.

24           Okay. So today -- at today's meeting, we have  
25 one major agenda item, and that is chloroform. We will

1 be -- the Committee will be considering whether or not  
2 chloroform is to remain on the Proposition 65 list as  
3 known to cause reproductive toxicity. So that's our main  
4 agenda item.

5 Now, I'd like to introduce the members of the  
6 DART Identification Committee. To my right is Dr. Ellen  
7 Gold. She's Professor and Chief, Division of  
8 Epidemiology, Department of Health Services, University of  
9 California, Davis.

10 Then there is to her right Dr. Plopper -- Dr.  
11 Charles Plopper, Professor Emeritus, Department of  
12 Anatomy, Physiology, and Cell Biology, UC Davis School of  
13 Veterinary Medicine.

14 Then to my left is Dr. Diane Auyeung-Kim,  
15 Director, Toxicology and Non-Clinical and Translational  
16 Sciences Study Support Allergan, Incorporated.

17 And then to her left is Dr. Aydin Nazmi,  
18 Associate Professor, Department of Food Science and  
19 Nutrition, California Polytechnic Science -- sorry,  
20 Polytechnic State University, San Luis Obispo.

21 And then to his left is Dr. Suzan Carmichael,  
22 Professor, Neonatal and Developmental Medicine, Stanford  
23 University.

24 So welcome, Committee members.

25 Now, I'd like to introduce the OEHHA staff. So

1 Dr. Allan Hirsch is our Chief Deputy Director. Carol --  
2 sorry, not Doctor, but Allan Hirsch, our -- gave you an  
3 honorary degree, Allan.

4 (Laughter.)

5 ACTING DIRECTOR ZEISE: Next to him is Carol  
6 Monahan Cummings our Chief Counsel. Next to her is Dr.  
7 Martha Sandy, Branch Chief for the Reproductive and Cancer  
8 Hazard Assessment Branch. And then next to her is Dr.  
9 James -- Jim Donald, Section Chief for Reproductive  
10 Toxicology and Epidemiology. And then Marlissa Campbell,  
11 Staff Toxicologist, RCHAB. And Farla over at the dais  
12 over here. Dr. Farla Kaufman, staff toxicologist, RCHAB.

13 And then our Proposition 65 implementation staff.  
14 Ester Barajas-Ochoa, Michelle Ramirez, and Julian Leichty  
15 and Sam Delson, our Deputy for External Affairs.

16 So, Carol, will you be giving some introductory  
17 remarks, before I turn the meeting over to Dr. Gold?

18 CHIEF COUNSEL MONAHAN CUMMINGS: I was thinking I  
19 might do that when the members get here, the other 2  
20 members.

21 ACTING DIRECTOR ZEISE: Okay. Great.

22 Okay. Now, I'll turn the meeting over to Dr.  
23 Gold.

24 CHAIRPERSON GOLD: Thank you. Good morning,  
25 everyone.

1           Can you hear me?

2           Okay. So once we get to the discussion of  
3 chloroform, we will have a staff presentation, and then  
4 we'll also have just Committee questions, and then public  
5 comments. And for those of you in the public who wish to  
6 make comments, each person has 5 minutes. And we ask you  
7 to complete the blue cards, so they're in the back of the  
8 room and turn them over to Esther or Michelle before the  
9 public comment session, so we can know to call on you.

10           I think we decided to change the agenda just a  
11 little bit waiting for our colleagues to appear. And so I  
12 believe we were going to go to staff updates, is that  
13 correct? And so beginning with Michelle?

14           MS. RAMIREZ: I thought we were going to start  
15 with Carol. Sorry.

16           (Thereupon an overhead presentation was  
17 presented as follows.)

18           MS. RAMIREZ: All right. Good morning. My name  
19 is Michelle Ramirez, Environmental Scientist in the Prop  
20 65 Implementation Program.

21           Since your last -- oh, it's not showing up yet.

22           All right. Since your last meeting, we've added  
23 a total of 8 chemicals administratively for causing  
24 reproductive toxicity, and 11 for causing cancer. This  
25 first slide shows that for reproductive toxicity,

1 Topiramate was added for the --

2           ACTING DIRECTOR ZEISE: Excuse me, Michelle. If  
3 you could speak into the microphone a little bit closer.

4           Thank you.

5           MS. RAMIREZ: Is that better?

6           Okay. The first -- did you want me to start  
7 over?

8           ACTING DIRECTOR ZEISE: That's okay.

9           MS. RAMIREZ: Okay. On this first slide, it  
10 shows that for reproductive toxicity, topiramate was added  
11 for the developmental endpoint, and abiraterone acetate  
12 was added for all 3 endpoints, developmental, female and  
13 male reproductive toxicity.

14           For cancer, the following chemicals were added,  
15 aloe vera, non-decolorized whole leaf extract, goldenseal  
16 root powder, styrene, tetrachlorvinphos, parathion, and  
17 malathion.

18                                   --o0o--

19           MS. RAMIREZ: The next slide here shows that for  
20 reproductive toxicity, atrazine, propazine, simazine, and  
21 their metabolites DEA, DIA, and DACT were added for the  
22 developmental and female reproductive toxicity endpoints.

23           For cancer, the following chemicals were added:  
24           Sedaxane, bromodichloroacetic acid,  
25 1-bromopropane, furfuryl alcohol, and pentachlorophenol



1 and by-products of its synthesis (complex mixture).

2 --o0o--

3 MS. RAMIREZ: On the next slide. We have a list  
4 of chemicals under consideration for administrative  
5 listing. The far right column indicates the date of the  
6 notice of intent to list. There are 4 chemicals under  
7 consideration for listing as causing reproductive  
8 toxicity: Perfluorooctanoic acid, also known as PFOA;  
9 perfluorooctane sulfonate, PFOS; pertuzumab, and  
10 vismodegib.

11 One chemical is under consideration for listing  
12 as causing cancer, and that's glyphosate.

13 --o0o--

14 MS. RAMIREZ: Since your last meeting, one safe  
15 harbor level has been adopted in regulation effective  
16 October 1st, 2016. That safe harbor level is a Maximum  
17 Allowable Dose Level for bisphenol A, dermal exposure from  
18 solid materials.

19 --o0o--

20 MS. RAMIREZ: And on this slide, as you can see,  
21 we've also proposed safe harbor levels for 8 chemicals.  
22 Maximum Allowable Dose Levels have been proposed for  
23 ethylene glycol, ingested; and for oral exposures to each  
24 of the 6 triazine compounds. A No Significant Risk Level  
25 has also been proposed for styrene.

1           And now I'll turn things over to Carol, if she's  
2 ready.

3           CHIEF COUNSEL MONAHAN CUMMINGS: Good morning.  
4 I'm just going to do a quick update on the litigation  
5 report for OEHHA. Currently, our office is defending 9  
6 cases, 8 of those related to Proposition 65, 1 related to  
7 a public health goal for a chemical in drinking water.

8           Since our last meeting, 4 of our cases have gone  
9 up on appeal. We successfully defended all 4 of them.  
10 We -- currently on appeal is the decision to list the  
11 chemical BPA as a developmental toxicant. Also, a  
12 decision by your sister group to list DINP as a carcinogen  
13 is on appeal.

14           We did list the triazine chemicals as you saw on  
15 the earlier slide. And the case challenging that is on  
16 appeal, but the courts did not prevent the listing,  
17 pending the outcome of the appeal.

18           We also were successful in defending our current  
19 safe harbor level for lead. And that case is on appeal as  
20 well. We're hoping that those cases will be resolved  
21 within the next year or so, perhaps before your next  
22 meeting, but they're still -- most of them are -- have  
23 either been briefed, and we don't have a hearing date set  
24 yet, or we're in the briefing process for those.

25           The cases pending in the trial courts for the

1 most part are also Prop 65 cases, but 2 of them are  
2 derivative of ones that have already been decided. And  
3 they have to do with the Public Records Act and whether or  
4 not we produced enough records under those.

5 One, as I mentioned, is for challenging a level  
6 we set for our -- in our drinking water program. One  
7 challenges our current NSRL for the chemical  
8 chlorothalonil, which is known to cause cancer under Prop  
9 65, and one that has been in the news a lot, I think, is  
10 the case filed by Monsanto against OEHHA to prevent the  
11 listing of glyphosate as a chemical known to cause cancer.

12 It's an interesting case in the sense that it's a  
13 Constitutional challenge to the listing process that we're  
14 using, which is called the Labor Code listing process.  
15 You've heard about that before. But it's a federal and  
16 State Constitutional challenge to that part of the law  
17 that's been in use for 30 years.

18 So it's -- that case is still pending in the  
19 Fresno court, and there's a hearing on a motion -- a  
20 potentially dispositive motion in December that may  
21 resolve that case or at least push it up to the Court of  
22 Appeal.

23 I don't know if you have any questions on any of  
24 those?

25 No. Okay. So I think we have a quorum now.

1 Well, we had a quorum before, but now we really have a  
2 quorum. So do you want me to just go ahead and do the  
3 comments I normally would?

4 CHAIRPERSON GOLD: (Nods head.)

5 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. Since  
6 I've got the microphone, I'll go ahead.

7 So good morning. I just want to remind the  
8 Committee of a few items before you get started today.  
9 First of all, I'd like to remind you that in your binder  
10 you have materials that we've provided you earlier, and  
11 that's in your binder today, which is the criteria that  
12 was developed for listing chemicals under Prop 65 by this  
13 Committee. And those are available to you to help you  
14 determine whether or not the evidence is sufficient for  
15 listing for chloroform.

16 So if you have questions about the data that  
17 you're looking at for the chloroform today, please refer  
18 to the criteria that are in your binder. These are  
19 scientific criteria that were developed by the Committee.  
20 And they are provided as guidance. There's certainly room  
21 for scientific judgment calls. And the application of the  
22 criteria, of course, has to move along with the science.  
23 So I hope that the criteria is useful to you in that  
24 regard.

25 The charge for this Committee is to do -- has to

1 do with listing a chemical under Prop 65. And sometimes,  
2 even though that's your charge, you'll hear comments from  
3 individuals or groups that give you information about  
4 whether or not the -- what the impact of a listing might  
5 be, whether or not a warning is required, for example, or  
6 what the level of current exposure for humans might be, or  
7 impacts on the economy.

8           While this information is helpful in a general  
9 sense, it's not part of your criteria. And so you should  
10 apply the criteria that you have available in your binder  
11 when you're making your scientific decisions based on your  
12 scientific judgment.

13           You'll also hear about the clearly shown  
14 standard, which was part of the statute. You're required  
15 to find whether or not a chemical has been clearly shown  
16 through scientifically valid testing, according to  
17 generally accepted principles to cause reproductive  
18 toxicity. This is a scientific question and not a legal  
19 standard of proof.

20           This Committee is also allowed, and it often  
21 does, make decisions based entirely on animal evidence.  
22 The chemical that you're considering need not be shown to  
23 be a reproductive -- human reproductive toxicant. You  
24 don't need to have information about whether or not a  
25 human -- not human exposures to the chemical are

1 sufficiently high enough today to cause reproductive  
2 toxicity in order to limit -- to list the chemical.

3           The members of this Committee are very well  
4 qualified scientists. You were appointed to this  
5 Committee by the Governor because of your scientific  
6 expertise. And you don't need to feel compelled to go  
7 outside that charge to make other kinds of decisions.

8           In the event that you have or feel you have  
9 insufficient information or you need more time to think  
10 about it as you discuss the question before you today,  
11 there's no requirement that you make a decision today.  
12 You can always ask the staff to prepare additional  
13 information, and you can ask to defer the question to  
14 another meeting.

15           Anybody have questions on that?

16           Okay. Thank you.

17           CHAIRPERSON GOLD: Are you finished?

18           CHIEF COUNSEL MONAHAN CUMMINGS: (Nods head.)

19           CHAIRPERSON GOLD: Okay. So before we get  
20 started, I want to introduce the latest members that  
21 arrived, Dr. Laurence Baskin to my left here who is  
22 Professor and Chief of Urology in Pediatrics at UC San  
23 Francisco.

24           THE COURT REPORTER: Could you get a little  
25 closer to the mic?

1 CHAIRPERSON GOLD: Oh, it's on. I'm just not  
2 speaking into it.

3 Okay. Is that better?

4 Okay. Should I repeat that or are you good?

5 THE COURT REPORTER: No.

6 CHAIRPERSON GOLD: Okay. And then on my right is  
7 Dr. Tracy Woodruff, who's Professor and Director of the  
8 Program on Reproductive Health and the Environment at UC  
9 San Francisco.

10 So now, I think we'll go back to the original  
11 agenda. In which case, I'm going to turn it over to staff  
12 presentations. I believe Dr. Kaufman is going first.

13 (Thereupon an overhead presentation was  
14 presented as follows.)

15 CHIEF COUNSEL MONAHAN CUMMINGS: Actually, I'm  
16 just going to make one real brief introductory remark.  
17 This is Carol Monahan-Cummings again. I just want to  
18 mention that chloroform is the chemical that's in front of  
19 the Committee today for reconsideration.

20 This Chemical was added to the Prop 65 list in  
21 2009. And the listing was based on certain provisions of  
22 Prop 65, and incorporate the federal Hazard Communication  
23 Standard, which is maintained by federal OSHA.

24 The reason that we're looking at this chemical  
25 again today is because the basis for the listing, which,

1 at that time, relied on the fact that there is a threshold  
2 limit value, or TLV, set for this chemical by the American  
3 Conference of Governmental Industrial Hygienists, also  
4 known as ACGIH, no longer meets the requirements for  
5 listing under the Labor Code listing mechanism of Prop 65,  
6 because of some changes that were made to the federal  
7 Hazard Communication Standard regulations in 2012.

8 We've referred a number of chemicals to you over  
9 the last couple of years for reconsideration of listing  
10 because of the changes to the federal HazCom Standard.  
11 Chloroform is the last one -- yay -- of these chemicals  
12 being referred to you for reconsideration.

13 So essentially what we're asking you to do is to  
14 conduct a de novo review of the scientific information for  
15 this chemical, and determine if it meets your criteria for  
16 listing. And if it does, it will remain on the list. If  
17 it doesn't, then we'll remove it from the list.

18 So I think Dr. Donald was going to give further  
19 information about background for this chemical.

20 Questions?

21 CHAIRPERSON GOLD: Yeah, my apologies. When we  
22 switched around the agenda, I neglected to call on Dr.  
23 Donald. So we'll postpone Dr. Kaufman for a moment.

24 DR. DONALD: Okay. Excuse me.

25 Good morning. In a moment, Dr. Farla Kaufman



1 will summarize the data on chloroform from human  
2 epidemiological studies, and then Dr. Marlissa Campbell  
3 will summarize the data from animal studies. Before they  
4 begin, I was asked to just remind the Committee that the  
5 only question before you today is whether chloroform has  
6 been clearly shown through scientifically valid testing,  
7 according to generally accepted principles to cause  
8 reproductive toxicity.

9           The hazard identification materials you received  
10 in advance of the meeting contain data on some other  
11 chemicals, particularly other trihalomethanes that  
12 commonly co-occur with chloroform. But those data were  
13 provided only to help inform your decision about  
14 chloroform. And those other chemicals are not under  
15 consideration for listing today. So Dr. Kaufman and Dr.  
16 Campbell will focus entirely on the data in chloroform in  
17 their presentations.

18           So unless there are any questions, I'll now turn  
19 it over to Dr. Kaufman.

20           CHAIRPERSON GOLD: Any questions?

21           Now, Dr. Kaufman, sorry.

22           DR. KAUFMAN: So as Dr. Donald mentioned, I'll  
23 review the evidence for reproductive and developmental  
24 toxicity of chloroform with regard to the human studies.

25                                           --o0o--

1 DR. KAUFMAN: For the benefit of the audience,  
2 I'll review the materials provided to the DART Committee.  
3 These include the current document for reconsideration of  
4 chloroform and cited studies, as well as the hazard  
5 identification document from 2004.

6 In 2004, the Committee requested the authors of 2  
7 studies for supplemental analyses. Those results were  
8 presented to the Committee at a meeting in 2005.

9 --o0o--

10 DR. KAUFMAN: So chloroform is a tetrahedral,  
11 polar compound. It's used as a solvent and in the  
12 synthesis of various products. It's also a by-product of  
13 water disinfection using chlorine. Many of these -- of  
14 the many disinfection byproducts, chloroform is the  
15 predominant type in most water treatment systems, and it's  
16 formed when residual chlorine reacts with organic matter.

17 --o0o--

18 DR. KAUFMAN: So the human studies reviewed in  
19 this document comprise a complex data set, including  
20 different study designs, different windows of exposure,  
21 different measures of exposure, as well as different  
22 routes of exposure. In total, 35 studies were reviewed.  
23 Twenty-four of these were published after the review by --  
24 review of chloroform by the DART Committee in 2004, and  
25 after the reviews by the World Health Organization in 2004

1 and the new U.S. EPA in 2001.

2 Almost all of the studies in humans examined  
3 exposure to chloroform from treated drinking water. So of  
4 these 35 studies, they generally fall into categories for  
5 exposure as 21 looked at water concentration, 11 studies  
6 used estimated internal dose, and 4 studies used either  
7 blood, air samples, or questionnaire data.

8 --o0o--

9 DR. KAUFMAN: Chloroform is formed in drinking  
10 water, as I mentioned, when it reacts with chlorine and  
11 when chlorine reacts with organic matter. When  
12 considering exposure to chloroform as a disinfection  
13 by-product, it's recognized that levels in water can vary,  
14 depending on a number of factors including: Water source,  
15 pH, temperature, residual chlorine levels, organic matter,  
16 and the residence time in transporting water from the  
17 treatment plants to the households.

18 --o0o--

19 DR. KAUFMAN: So various sources or routes of  
20 exposure include ingestion of tap water, showering and  
21 bathing, swimming, and dish washing by hand.

22 --o0o--

23 DR. KAUFMAN: In considering exposure assessment,  
24 we think of ingestion as perhaps the major route of  
25 exposure. However, chloroform is volatile and thus

1 showering and bathing, as well as swimming, can contribute  
2 to uptake that occurs via inhalation and dermal  
3 absorption. Exposure studies measuring blood levels after  
4 showering and bathing reported very significant chloroform  
5 uptake. Approximately only a third of the studies  
6 reviewed here collected information on showering and  
7 bathing.

8 Dishwashing is another infrequently considered  
9 source of exposure. It's also been shown that  
10 triclosan-containing soaps react with free chlorine to  
11 form chloroform. This may also be a significant route of  
12 exposure. Few studies asked about dishwashing habits, and  
13 none asked about triclosan or antibacterial soaps. So  
14 these factors can contribute to exposure  
15 misclassification.

16 --o0o--

17 DR. KAUFMAN: Other potential misclassification  
18 of exposure in human studies can result from a number of  
19 factors. Chloroform concentrations are mostly measured at  
20 the water treatment plant. Most studies used monitoring  
21 data collected by the water utility, generally on a  
22 quarterly basis. There are differences in temporal and  
23 spatial formation of chloroform in systems. However, very  
24 few studies measured chloroform in tap water at the  
25 residence.

1 Individual variability in water use practices  
2 includes the amount of water ingested, use of filters, and  
3 time spent showering or bathing. These were captured to  
4 varying degrees. Although, none of the studies collected  
5 information on water temperature.

6 Maternal residence at birth is commonly used to  
7 estimate chloroform exposure throughout pregnancy.  
8 Although, it's possible that the women moved during  
9 pregnancy, so it's not a perfect measure.

10 Most studies did not include information on  
11 estimates of workplace exposure.

12 So most of this misclassification is likely to be  
13 non-differential, in that the probability of being  
14 misclassified should not differ according to groups of  
15 study participants. This would likely result in a bias  
16 towards a null, i.e. that of detecting -- not detecting an  
17 effect that is truly there.

18 --o0o--

19 DR. KAUFMAN: Other factors that may be important  
20 to consider include possible gene environment  
21 interactions. Important genes include those from  
22 metabolizing enzymes such as CYP2E1, and the glutathione  
23 S-transferase theta 1 or GSTT1, and the glutathione  
24 S-transferase mu 1 or GSTM1 which catalyze the conjugation  
25 of glutathione to a wide range of potential toxicants as a

1 first step in detoxification. Thus, the absence or  
2 presence of a gene activity may lead to altered individual  
3 susceptibility to environmental exposures.

4 Other trihalomethanes co-occur with chloroform to  
5 varying degrees. Haloacetic acids are also disinfection  
6 byproducts. These factors could be potential confounders  
7 of the association between chloroform and reproductive  
8 outcomes. Due to the complexity of the chloroform data  
9 set, we provided more extensive material than for other  
10 Labor Code chemicals. So information on exposure levels  
11 to these other trihalomethanes and related risk factors or  
12 risk estimates were provided in the appendices to the 2016  
13 hazard identification document.

14 --o0o--

15 DR. KAUFMAN: Various reproductive outcomes were  
16 assessed in the human study. These include pre-term  
17 birth, small for gestational age, low birth weight defined  
18 as birth weight less than 2500 grams, very low birth date  
19 defined as birth weight less than 1500 grams, birth  
20 weight, spontaneous abortion, stillbirth, birth defects,  
21 postnatal weight gain -- oh -- yeah, postnatal weight  
22 gain -- sorry -- menstrual function, fertility, and sperm  
23 quality.

24 --o0o--

25 DR. KAUFMAN: So 9 studies examined pre-term

1 birth.

2 --o0o--

3 DR. KAUFMAN: I'll be showing a number of these  
4 forest plots. Some have very small print, as there were  
5 many studies for certain outcomes, but I'll describe each  
6 plot. So this is a forest plot of association between  
7 chloroform exposure, measured as concentration in water  
8 and pre-term birth. This -- in all the forest plots, the  
9 studies are presented in increasing order of exposure as  
10 one moves down the table, so that the study with the  
11 lowest exposure is at the top of the plot and the study  
12 with the highest exposure is at the bottom. Exposure was  
13 based on the lowest value of the highest exposure  
14 category.

15 In this plot, it ranges from 10 micrograms per  
16 liter, in the study by Kramer et al. at the top, to  
17 greater than 63 micrograms per liter in study by Wright et  
18 al. at the bottom. The odds ratios and the 95 percent  
19 confidence intervals are illustrated relative to the null  
20 value of 1 indicated by the vertical dotted line. When  
21 the confidence intervals, represented by these horizontal  
22 lines cross one or include one, it's considered not to be  
23 a statistically significant finding.

24 For this presentation when referring to  
25 statistically significant results, I'll simply use the

1 word "significant". On the scale -- the scale on the  
2 bottom for odds ratios ranges from 0.25 to 1.75. The  
3 scale changes for the various plots I'll be showing.

4 Unless otherwise noted, the results shown in  
5 these plots are for third trimester exposures. For some  
6 studies, different symbols appear after the authors, such  
7 as in this plot for Savitz et al. and in Lewis et al.  
8 These symbols indicate which -- studies which were related  
9 in some manner such as regarding the study populations.

10 So in this plot, 4 studies observed significantly  
11 decreased odds ratios, indicating that exposure to  
12 chloroform was protective against pre-term birth.  
13 Although for the study by Costet et al. highlighted at the  
14 top, only the middle exposure category was significant.

15 In the prospective cohort study by Savitz et al.,  
16 the 2 highest categories were significant. And for the  
17 study by Lewis et al., the highest category for the entire  
18 pregnancy and for both categories, and for the categories  
19 for the second trimester were significant.

20 In the study by Wright et al. at the bottom, both  
21 categories were significant. The study by Rivera-Nunez  
22 and Wright observed an increased odds ratio representing  
23 an adverse association, but only in the second exposure  
24 quartile.

25 --o0o--



1 DR. KAUFMAN: This plot shows exposure as  
2 estimated internal dose in pre-term birth. The  
3 well-conducted study by Savitz et al. again observed a  
4 significantly decreased risk of pre-term birth, although  
5 it only reached significance in the second exposure  
6 quartile.

7 --o0o--

8 DR. KAUFMAN: Fifteen studies examined small for  
9 gestational age. This plot shows the association between  
10 water conservation and that outcome. The odds ratio scale  
11 on the bottom ranges from 0 on the left to 5 -- sorry, it  
12 didn't go --

13 --o0o--

14 DR. KAUFMAN: -- 5 on the right.

15 Okay. Most of the 11 studies observed odds  
16 ratios very close to 1. Four studies observed  
17 significantly increased odds ratios. The odds ratios for  
18 Kramer et al. and Hoffman et al. on the top half of the  
19 plot are 1.8 and 4.9 respectively. While for Summerhayes  
20 at al. and Wright et al. shown on the bottom, the odds  
21 ratios are very close to 1 with narrow confidence  
22 intervals.

23 --o0o--

24 DR. KAUFMAN: This plot shows estimated internal  
25 dose and small for gestational age. The nested

1 case-control study at the top by Danileviciute et al.  
2 characterized exposure as above versus below the median.  
3 This study also examined the genotype for individuals with  
4 respect to genes GSTT1 and GSTM1. The absence of the  
5 genotype or the null variant is denoted by the 0 at the  
6 end.

7           The findings were not significant for the  
8 presence of GSTT1 genotype or for its absence. The odds  
9 ratios for GSTM1 suggest a divergence where the -- where  
10 the odds ratio was 0.88 when the genotype is present, and  
11 1.74 when it's absent. The study also looked at these  
12 genotypes for low birth weight, which I'll show shortly.

13           So although 5 of the 6 studies observed odds  
14 ratios above 1, the confidence intervals were wide and  
15 none were significant.

16                           --o0o--

17           DR. KAUFMAN: Nine studies examined low birth  
18 weight and very low birth weight.

19                           --o0o--

20           DR. KAUFMAN: This plot is for water  
21 concentration for these outcomes. All of the odds ratios  
22 are above 1. Two of the studies have significant findings  
23 for low birth weight. In the study by Toledano et al.,  
24 both exposure categories were associated with small but  
25 significantly increased odds ratios. In the study by

1 Lewis et al., the highest exposure category showed a  
2 significantly increased odds ratio of 1.5 with the  
3 suggested dose-dependent association.

4 --o0o--

5 DR. KAUFMAN: The study by Iszatt et al. in this  
6 plot also assessed exposure using water concentration,  
7 however, they examined the change in water concentration.  
8 In this retrospective study, a process of enhanced  
9 coagulation was introduced in a portion of the water  
10 treatment zones. This process improves the removal of  
11 disinfection by-product precursors, thus reducing the  
12 disinfection by-product formation potential.

13 So 2 time periods were sampled. A 3-year period  
14 before and a 3-year period after the enhanced coagulation  
15 intervention. So the measure on the right-hand side is  
16 the change in rates as a percent, before and after  
17 intervention, and the vertical dotted line represents 0.

18 Values to the left line are negative, so they  
19 represent better outcomes, in that they show a decrease in  
20 the rate of low birth weight or very low birth weight.  
21 For low birth weight, there was a significant decrease in  
22 the rates for all exposure categories.

23 The high category represents a decrease of 30 to  
24 65 micrograms per liter in chloroform levels, which was  
25 associated with a decrease in the rate of 9 percent. For

1 very low birth weight, only the high category with  
2 decreased water chloroform concentration was associated  
3 with a significant rate decrease of 16 percent. The time  
4 frame of exposure was the entire pregnancy.

5 --o0o--

6 DR. KAUFMAN: So here we see chloroform exposure  
7 as a estimated in internal dose and low birth weight.  
8 Note that the scale on the bottom is a bit different with  
9 the highest odds ratio value being 7.5. At the top is the  
10 nested case control by Danileviciute et al., which  
11 contained extensive exposure assessment. The study  
12 dichotomized exposure at above versus below the median.

13 And as with small for gestational age, it  
14 examined the influence of specific genotypes, while the  
15 point estimate remains similar when considering the  
16 presence of GSTT1. In its absence, the odds ratio is  
17 larger, although the confidence interval is wide.  
18 Obviously, neither of these were significant.

19 Looking at the estimates for GSTM1, the presence  
20 of the genotype results in an odds ratio below 1, though  
21 not significant. However, the association for the null  
22 variant shows significantly increased risk of low birth  
23 weight with a large odds ratio of 5.06, and a wide  
24 confidence interval.

25 The interaction between chloroform exposure and

1 low birth weight and the genotype, not shown on this plot,  
2 was also significant with a much larger odds ratio of  
3 15.8, and a wide confidence interval.

4 At the bottom of the plot, the findings for  
5 Grazuleviciene et al., a study from the same cohort as  
6 Danileviciute, observed significantly increased odds  
7 ratios for all exposure categories, with the category at  
8 the bottom representing chloroform analyzed as a  
9 continuous variable using increases of 0.1 micrograms per  
10 day.

11 --o0o--

12 DR. KAUFMAN: Ten studies examined birth weight.

13 --o0o--

14 DR. KAUFMAN: This plot shows water concentration  
15 as the change in birth weight, so the vertical line is --  
16 on the plot is at 0. Point estimates to the right of the  
17 line represent an increase in birth weight, and those to  
18 the left a decrease.

19 In the study at the top by Summerhayes et al.,  
20 significant decreases in birth weight were seen for the  
21 entire pregnancy, and the first and second trimester.  
22 Also -- although these -- although the decreases were  
23 small, the largest was 5 grams.

24 In the study by Rivera-Nunez and Wright,  
25 significant decreases in birth weight were seen in the

1 highest 3 categories, suggestive of dose-response. At the  
2 bottom, the study by Wright et al. saw significant  
3 decreases in birth weight in both exposure categories,  
4 which were 14 and 18 grams.

5 --o0o--

6 DR. KAUFMAN: Continuing with water concentration  
7 and birth weight, this retrospective study by Zhou et al.  
8 reported odds ratios with birth weight classified as above  
9 or below the median. The study observed increasing odds  
10 ratios with increasing exposure across the 3rd trimester  
11 and the entire pregnancy. However, the only significant  
12 finding was for exposure in the fourth quartile during the  
13 third trimester.

14 --o0o--

15 DR. KAUFMAN: In the plot of estimated internal  
16 dose and birth weight, the estimate is for change in birth  
17 weight. So the vertical dotted line is at 0. Therefore,  
18 the point estimates to the right of the line are increases  
19 in birth weight, while those to the left are decreases,  
20 i.e. adverse outcomes.

21 The prospective cohort study by Grazuleviciene et  
22 al. at the top shows a significantly lower birth weight of  
23 57.8 grams for exposure as a continuous variable, again  
24 using 0.1 micrograms per day.

25 The study by Smith et al., also a prospective

1 cohort study, did not observe a significant change in  
2 birth weight for the total population. However, when the  
3 analysis was examined by ethnicity, a significant  
4 decrement in birth weight of 42.8 grams was seen in the  
5 higher exposure category for infants of Pakistani origin.  
6 This differed from the estimate for the infants of white  
7 British ethnicity, as shown below, where the highest  
8 exposure category for that category there was no  
9 significant change in birth weight.

10 --o0o--

11 DR. KAUFMAN: A total of 10 studies looked at  
12 spontaneous abortion, stillbirth, or birth defects -- and  
13 birth defects.

14 --o0o--

15 DR. KAUFMAN: Three studies looked at spontaneous  
16 abortion. None of the findings were reported as  
17 significant. However, when the DART Committee reviewed  
18 the study by Wennborg et al. in 2004, they requested the  
19 author to reanalyze the data excluding previous  
20 spontaneous abortions. In the reanalysis, the odds ratio  
21 of 2.1 was not very different from the original odds ratio  
22 of 2.3, but the confidence intervals -- interval was  
23 narrower, now at 1.1 to 4. And so the findings were then  
24 significantly associated with exposure to chloroform while  
25 working in a lab.

1 Four studies examined stillbirth. Three studies  
2 observed an increased risk of chloroform exposure.  
3 Although, for 2 of the studies, the estimates were not  
4 consistently significant across exposure categories. The  
5 study by Toledano et al. showed a small but significant  
6 increased risk which is consistent in both exposure  
7 categories.

8 The study by Iszatt et al., which examined before  
9 and after changes in water treatment method, reported no  
10 significant change in the rates of stillbirth.

11 Many different birth defects were assessed across  
12 3 studies. No significant associations were reported for  
13 Iszatt et al. for hypospadias. Nor by Grazuleviciene et  
14 al. for heart, musculoskeletal, or urogenital  
15 abnormalities. The study by Dodds and King reported  
16 increased odds ratios in association with chromosomal  
17 abnormalities. However, only exposure in the third  
18 quartile reached significance. The authors reported no  
19 significant associations for neural tube defects,  
20 cardiovascular anomalies, or cleft defects. This study is  
21 notable in that it was the -- one of the only studies that  
22 actually measured participants' tap water.

23 --o0o--

24 DR. KAUFMAN: One study examined the outcome of  
25 postnatal weight gain.



1                   --o0o--

2           DR. KAUFMAN: This prospective study examined  
3 this outcome in infants born in 3 study sites. The study  
4 used estimated internal dose during pregnancy. A  
5 significant decrease of 151 grams in postnatal weight gain  
6 over 6 months was associated with an interquartile range  
7 increase in chloroform uptake through ingestion. In the  
8 community -- this was in the community with the highest  
9 chloroform water concentration.

10                   --o0o--

11           DR. KAUFMAN: One study examined menstrual  
12 function and another fertility.

13                   --o0o--

14           DR. KAUFMAN: A prospective study of menstrual  
15 cycle function observed no significant association with  
16 cycle length. One occupational retrospective cohort study  
17 examined fertility. No association was observed for time  
18 to pregnancy in female dental surgeons using questionnaire  
19 data to assess exposure.

20                   --o0o--

21           DR. KAUFMAN: And at last, 4 studies examined  
22 sperm quality.

23                   --o0o--

24           DR. KAUFMAN: So chloroform exposure was assessed  
25 in different ways among these studies.

1           The prospective study by Zeng at al. in 2014  
2 reported significantly decreased sperm concentration  
3 associated with higher -- with the higher exposure from  
4 ingestion, and suggested decreases in sperm count.

5           No -- hold on -- I'm just trying to get my mouse.  
6 There we go.

7           These values are regression coefficients where  
8 they analysis used natural log transformations. For --  
9 I'm getting there. Okay.

10           For sperm motion, seen on the right here -- no,  
11 it's not showing up, is it? Oh there it is. Okay -- seen  
12 on the right, trends were observed surprisingly for  
13 increase in straight line and curvilinear velocity. In  
14 the case control study by Iszatt et al., examining water  
15 concentration, no significant findings were seen for sperm  
16 concentration or sperm -- motile sperm concentration. For  
17 Zeng at al., in 2013, using blood concentration, again an  
18 inverse association with a significant trend test was seen  
19 between chloroform concentration in blood and straight  
20 line velocity. There, it's in there.

21           So the study by Chang et al. reports on a case  
22 where a laboratory worker was exposed to chloroform for 8  
23 months due to a shut down in the ventilation system.  
24 Chloroform exposure levels were estimated from sampling  
25 during a re-creation of the shut down. And significantly

1 reduced sperm motility was reported following chloroform  
2 exposure -- following chloroform exposure as compared to  
3 normal baseline measures taken before exposure.

4           And after exposure -- so this is time since end  
5 of exposure. So after exposure stopped, the levels for  
6 sperm count, for sperm motility, and for path velocity  
7 improved as shown by the 3-, 6 -- 3-, 4-, and 6-month  
8 post-exposure values.

9           And that concludes my portion of the  
10 presentation.

11           CHAIRPERSON GOLD: Thank you very much. I think  
12 before we go to Dr. Campbell, I want to just see if the  
13 Panel has any questions of Dr. Kaufman regarding the human  
14 studies?

15           Hearing and seeing none, Dr. Campbell.

16           (Thereupon an overhead presentation was  
17 presented as follows.)

18           DR. CAMPBELL: Okay. How's that? Can you hear  
19 me?

20           Okay. All right. Animal studies are useful for  
21 isolating the potential of agents such as chloroform to  
22 disrupt normal development and cause adverse DART effects,  
23 while at the same time controlling for other environmental  
24 factors as well as genetic background.

25           In general, evidence supports developmental

1 effects observed in animal studies as predictive of the  
2 potential to cause adverse effects on human development.  
3 However, the specific types of developmental outcomes  
4 observed in animal studies are not necessarily the same as  
5 those that would be produced in humans by the same agent.

6 --o0o--

7 DR. CAMPBELL: For chloroform, there are quite a  
8 few animal studies providing data relevant to  
9 developmental, female and male reproductive toxicity.  
10 Both oral and inhalation routes have been tested, and in  
11 the most common test species. And on this slide, the  
12 numbers in parentheses just refer to the number of studies  
13 of each type.

14 That 4th major heading refers to a single  
15 multi-generation study that was performed in mice by the  
16 drinking water route. And the data in that study combines  
17 subgroups from a complicated protocol in looking at DART  
18 effects. So for clarity's sake I pulled it out to present  
19 as a stand-alone. Because there are so many animal  
20 studies of chloroform, this presentation won't present  
21 every detail that's summarized in the hazard  
22 identification materials that you have, but instead will  
23 focus on the most informative studies, and where possible  
24 on the observations at the lowest effective dose or  
25 concentration reported. And similarly, emphasis will be

1 on those effects considered to be adverse as well as  
2 likely to be treatment related.

3 --o0o--

4 DR. CAMPBELL: The first data slide is a summary  
5 of the 3 most informative inhalation developmental  
6 toxicity studies of chloroform conducted in rats. The top  
7 row is the Schwetz 1974 study. 30 ppm was the lowest  
8 concentration associated with statistically significant  
9 developmental effects. The frequency of skeletal  
10 anomalies was increased and crown rump Length was  
11 decreased.

12 The dams of this 30 ppm group weighed  
13 approximately 90 percent of the average body weight for ad  
14 lib controls, and that was specifically on gestation day  
15 13. And in the interpretation of co-occurring  
16 developmental and maternal toxicity is something I'm going  
17 to talk about in a little more detail in the next few  
18 slides.

19 But just to finish with the data presented here,  
20 the middle row, Baeder & Hoffman, '88, another standard  
21 teratology study. And again, 30 ppm was the lowest  
22 effective concentration for developmental effects  
23 resulting in an increase in totally resorbed litters and a  
24 decreased crown rump length.

25 In 1991, they did another study looking at a

1 lower range of chloroform concentrations. This time 30  
2 ppm served as the highest concentration. They observed  
3 increases in the frequency of ossification variations at  
4 all test concentrations, including 3 ppm, the lowest  
5 tested in this case, and a concentration at which no  
6 maternal toxicity was reported.

7 And at the higher concentration of 30 ppm, there  
8 was significant decreases in fetal weight and length.

9 --o0o--

10 DR. CAMPBELL: Now, just to look in a little more  
11 detail. In evaluating whether potential DART effects have  
12 been clearly shown through scientifically valid testing,  
13 according to generally accepted principles, it can be  
14 helpful to consider the principles documented in U.S.  
15 EPA's risk assessment guidance for developmental toxicity,  
16 a document which incorporated widespread public comment as  
17 well as recommendation of that agency's scientific  
18 advisory panel.

19 And that guidance specifically states that when  
20 adverse developmental effects are produced only at doses  
21 that cause minimal maternal toxicity, the developmental  
22 effects are still considered to represent developmental  
23 toxicity and should not be discounted as being secondary  
24 to maternal toxicity.

25 Factors to take into consideration include noting

1 that standard teratology studies are designed to produce  
2 minimal maternal toxicity at the highest dose tested, such  
3 as reduced body weight or body weight gain, but no more  
4 than maternal -- than 10 percent maternal mortality.

5           Also, we need to remember that many agents may be  
6 minimally or reversibly toxic to adults, but can cause  
7 permanent damage to developing offspring. And the finding  
8 of maternal and developmental effects at the same dose may  
9 simply indicate that both mother and offspring are  
10 sensitive to that dose.

11           And even in cases where developmental toxicity  
12 may result indirectly from toxic effects on the maternal  
13 animal, such as altered nutritional status, it doesn't  
14 somehow negate the occurrence of developmental effects.  
15 And fortunately, as I'll be showing in the next slide,  
16 there are empirical data to help us understand the  
17 relationship between effects on the maternal system and  
18 the developing organism.

19           In, general what we've learned is that there are  
20 species differences in sensitivity to maternal feed  
21 restriction, which appear to have a relationship to size.  
22 That is mice are more sensitive than rats, which are in  
23 turn more sensitive than rabbits.

24           Also, duration and timing of feed restriction  
25 during gestation as well as the severity of that

1 restriction impact the magnitude of any effects on  
2 offspring.

3 --o0o--

4 DR. CAMPBELL: And here just to illustrate this  
5 graphically, this slide shows 4 studies conducted in  
6 Sprague-Dawley rats with maternal feed restriction during  
7 gestation days 6 through 15, the normal days of treatment  
8 in a teratology study. The blue bars on this slide  
9 represent the feed intake of restricted animals as a  
10 percentage of consumption by ad lib fed controls. And as  
11 emphasized by that black diagonal trend line, the studies  
12 have been arranged in order of severity of feed  
13 restriction from 84 percent of controls down to 17 percent  
14 of controls.

15 And the study on the far right, the Schwetz et  
16 al. 1974, might look familiar because the data there are  
17 taken from a severely feed-restricted control group that  
18 was included in the rat inhalation chloroform study that  
19 we just looked at.

20 The only consistent effect of feed restriction  
21 was reduced fetal body weight, as represented by the  
22 purple bars. And that affect was not proportionate to the  
23 degree of maternal feed restriction, but sits at about 10  
24 percent reduction in fetal weights relative to controls, a  
25 magnitude of change that would be statistically detectable



1 in a standard teratology study.

2 Note also the green bar showing that litter --  
3 live litter size, a measure of fetal viability, was not  
4 affected by maternal feed restriction in these studies.

5 --o0o--

6 DR. CAMPBELL: Now just to go back to take  
7 another look at the Schwetz study in particular, this  
8 slide shows feed consumption over time for the treated and  
9 starved dams, again expressed as a percentage of ad lib  
10 fed controls. The dashed green line represents the --  
11 what they called their starved control group. And all of  
12 the treatments, whether chloroform exposure or the very  
13 low feed restriction, were applied only during gestation  
14 day 6 through 15.

15 All 3 of the chloroform exposed groups showed  
16 significant decreases in feed consumption at the beginning  
17 of treatment on gestation day 6. At the highest  
18 chloroform concentration of 300 ppm, which is represented  
19 by the black line, the feed consumption remains  
20 significantly below control levels throughout the study.  
21 And just in contrast, the blue line is the 30 ppm group.  
22 It only showed significantly reduced feed consumption on  
23 the first day of treatment.

24 And then you can see it comes back up and is  
25 actually somewhat in excess of the control values in a

1 catch-up phenomenon.

2 --o0o--

3 DR. CAMPBELL: Now this slide tries to illustrate  
4 the effects on fetal endpoints of maternal feed  
5 restriction. And that's the front row of blue bars is the  
6 feed-restricted groups, as compared to increasing  
7 concentration of chloroform. And again, all are expressed  
8 as a percentage of control values.

9 And what you can see is the entire graph is  
10 skewed out of shape by the big green bar right in the  
11 middle. And that represents the frequency of gross  
12 anomalies that were seen at a chloroform concentration of  
13 100 ppm. And what they found were 13 fetuses and 3  
14 litters had a defect called imperforate anus, a gross  
15 malformation that is externally visible without  
16 dissection.

17 There were no gross malformations at all in the  
18 starved group or in the other 2 treated groups. The lack  
19 of gross anomalies observed at the highest concentration  
20 of 300 ppm may be reflective of the very low fetal  
21 viability at this level. And you can see that's  
22 visualized by the purple column in the back left-hand  
23 corner.

24 Although the paper does not report maternal  
25 mortality at this concentration, only 3 out of the 20 bred

1 females in that group were still pregnant at term. And of  
2 those 3 remaining litters, they averaged only 4 fetuses  
3 per litter.

4 --o0o--

5 DR. CAMPBELL: Now, just to focus in on a couple  
6 of endpoints, fetal viability and body weight data, you  
7 know, taking the skewing defects out, what now stands out  
8 is the profound effect of 300 ppm chloroform, the purple  
9 bar, on fetal viability in the absence of an effect of  
10 severe feed restriction alone on this endpoint.

11 And with that we'll go back to the regular data.

12 --o0o--

13 DR. CAMPBELL: This slide shows developmental  
14 toxicity data in the mouse by the inhalation route. So  
15 we're still on inhalation. This study was by Murray et  
16 al., '79. And what they did was expose pregnant mice to  
17 only one test concentration and that was 100 ppm. And  
18 what they varied was the days of exposure. The gestation  
19 days 1 through 7 covers the pre-implantation period and  
20 embryogenesis. Gestation days 6 through 15 covers the  
21 major period of organogenesis or formation of the organ  
22 systems, and that's what's classically covered in  
23 teratology studies. Gestation days 8 through 15 covers  
24 the latter part of that organogenesis period.

25 And in each of the time points tested, exposure

1 to 100 ppm chloroform was associated with manifestations  
2 of developmental toxicity, as well as evidence of minimal  
3 maternal toxicity.

4 --o0o--

5 DR. CAMPBELL: Moving on to the oral route, we're  
6 back looking at rats. Here we have 3 of the most  
7 informative studies on the developmental toxicity of oral  
8 chloroform in rats. The Thompson '74 presents data from 2  
9 experiments, a dose-range finding study, as well as a  
10 subsequent full scale teratology study. The range finding  
11 studies are done with large number of doses with only a  
12 very few animals per dose in order to try to establish  
13 appropriate dose range to use in the larger scale study.

14 In this case, the range finding study found  
15 increased resorptions and decreased live litter size and  
16 fetal weights at 316 milligrams per kilogram per day; a  
17 dose at which decreased maternal weight gain, as well as  
18 mortality of 1 out of 6 dams were also seen.

19 In the full scale teratology study, that would be  
20 the middle row there, fetal effects were observed at 126  
21 milligrams per kilogram per day, the highest dose tested.

22 And then the bottom row is another study Ruddick  
23 et al., '83. Their top dose was 400 milligrams per  
24 kilogram per day. And at that dose, they saw decreases in  
25 the mean fetal weights and increases of runting and

1 findings of aberrant sternebrae.

2 --o0o--

3 DR. CAMPBELL: Now, we've got mice by the oral  
4 route. One dose level of 31.1 milligrams per kilogram per  
5 day by gavage was given to mice from prior to mating, then  
6 throughout gestation, and then treatment was continued  
7 postnatally. They were mostly interested in postnatal  
8 effects. The mean live -- mean live litter size at birth  
9 was not affected, which is the only endpoint for which an  
10 influence of postnatal exposure can be ruled out.

11 --o0o--

12 DR. CAMPBELL: There are also rabbit data by the  
13 oral route. And again, this is the Thompson paper, which  
14 reported on rabbits as well as rats. Again, a range  
15 finding study found increased frequency of aborted litters  
16 and fewer live fetuses in surviving litters at 63  
17 milligrams per kilogram per day. And then in the full  
18 scale study at the lowest dose tested of 20 milligrams per  
19 kilogram per day, fetal weights were decreased, and the  
20 fetal incidents of incompletely ossified skull bones was  
21 increased.

22 --o0o--

23 DR. CAMPBELL: And here we have the newest study.  
24 This one was actually published in 2015 using zebrafish  
25 embryos. And they started at 4 hours post-fertilization,

1 abbreviated as HPF, with 30 embryos per chloroform  
2 concentration, and then cultured them for 72 hours in a  
3 buffered embryo medium.

4           And just to explain a little bit about the  
5 endpoints they observed, the EC20 or 50, that's an  
6 effective concentration that produced either 20 or 50  
7 percent abnormal embryos. The LC50 concentration that was  
8 lethal to 50 percent of the embryos. The TI, or  
9 teratogenic index, for chloroform, the 50 percent lethal  
10 concentration was 2½ times greater than the 50 percent  
11 effective concentration.

12           The MCIG is the minimum concentration causing  
13 growth inhibition. And the fingerprint endpoints are  
14 whatever specific types of malformations were seen in at  
15 least 50 percent of the embryos. And you can see what  
16 they've listed here are defects of eyes, heart, and tail,  
17 and then the percentages following.

18           And then just in the interests of time, I'm not  
19 going to go through the remaining endpoints in detail.

20                           --o0o--

21           DR. CAMPBELL: Now, we're turning to female  
22 reproductive toxicity. This is in rats by the inhalation  
23 route. The 3 studies shown here are all teratology  
24 studies, which included data on outcomes that could  
25 represent female reproductive toxicity as well as

1 developmental toxicity. So we've already seen a bit about  
2 these studies. Here, we're focusing on specific outcomes  
3 of fetal viability that could indicate effects on the  
4 female reproductive system.

5 An overlap could similarly be argued for measures  
6 of fetal growth, but, you know, that wasn't incorporated  
7 into the HIM table, so I didn't add them in here.

8 The top row, the Schwetz study, 300 ppm,  
9 pregnancy rate, live litter size were reduced and  
10 resorption frequency was increased. The middle row -- the  
11 Baeder & Hoffman, '88, found significant increases in  
12 totally absorbed litters at all concentrations tested with  
13 the lowest effective concentration of 30 ppm. And then in  
14 their 1991 study, where 30 ppm was the highest  
15 concentration, was associated with no significant evidence  
16 for female reproductive toxicity.

17 --o0o--

18 DR. CAMPBELL: Again turning to mice, inhalation  
19 route. Again, we're looking at previously discussed  
20 teratology studies. As a reminder in this study, there  
21 was a single concentration of 100 ppm. And then what was  
22 varied was the days of exposure. Exposure on gestation  
23 days 1 through 7 was associated with increased resorptions  
24 and decreased pregnancy rate. And a decreased pregnancy  
25 rate was also reported with exposure on gestation day 6

1 through 15.

2 --o0o--

3 DR. CAMPBELL: Okay. Here, we have -- now, we're  
4 turning to oral exposure. This is rats, female  
5 reproductive toxicity. And again, the data come from  
6 teratology studies. The range finding study that -- of  
7 the portion of the Thompson study found increased  
8 resorptions and decreased live litter size at 316  
9 milligrams per kilogram per day.

10 And then in the full scale teratology study, they  
11 found no clear evidence of female reproductive toxicity at  
12 their top dose of 126 milligrams per kilogram per day.

13 And Reddick -- Ruddick also found no effects on  
14 live litter size or resorption frequency with their doses  
15 up to 400 milligrams per kilogram per day, which was the  
16 highest they tested.

17 --o0o--

18 DR. CAMPBELL: Now, just a brief digression to go  
19 through the protocol, before we look at the next data  
20 slide. This is the NTP continuous breeding protocol. And  
21 what they do is it's an 18-week reproductive study. The  
22 parental animals represented by the P0 at the top of the  
23 slide are treated daily for one week prior to  
24 cohabitation, then daily through a 14-week cohabitation  
25 period, and for 3 weeks following the end of cohabitation.



1           The mated pairs are left together to produce as  
2 many litters as they can during the cohabitation period.  
3 That's not necessarily four litters like you have here.  
4 Although, that would probably be a maximum. Only the  
5 final F1 litters are left with their dams through  
6 lactation and retained for post-weaning treatment and  
7 evaluation. And of that final F1 group, only the high  
8 dose and control animals are carried through for breeding  
9 the second generation or F2. And as you can see from the  
10 slide, the data are collected for only a few outcomes.

11                   --o0o--

12           DR. CAMPBELL: So looking at what they found,  
13 that's the Chapin et al., '97, the top row here. For  
14 chloroform -- oh, I think -- I think I explained --  
15 they -- oh, the highest dose tested under this protocol is  
16 set at the adult maximum tolerated dose, or MTD. And  
17 that's defined specifically as a dose that does not  
18 depress weight gain by more than 10 percent or cause more  
19 than 10 percent mortality.

20           So for chloroform, the MTD was 41.2 milligrams  
21 per kilogram per day as given by gavage, which did not  
22 produce clear adverse effects on the reproductive outcomes  
23 that were evaluated.

24           The other study on this slide, the EPA 1980, was  
25 a 90-day subacute drinking water study in which pathology

1 of the reproductive organs was part of their evaluation.  
2 And they didn't identify any effects.

3 --o0o--

4 DR. CAMPBELL: Okay. Now, we're in the oral  
5 route in rabbits. And again, this is from a teratology  
6 study that we've already seen. At 63 milligrams per  
7 kilogram per day 1 out of 5 does died. And 2 out of the  
8 remaining 4 does were not pregnant. And the 2 pregnant  
9 does had litters with reduced viability.

10 In the full scale teratology study, where 50  
11 milligrams per kilogram per day was the high dose, there  
12 were aborted litters sporadically through all doses and  
13 controls with no apparent dose response.

14 --o0o--

15 DR. CAMPBELL: We also have data on -- from a 7½  
16 year chronic toxicity study performed in beagles. These  
17 dogs were given chloroform mixed with toothpaste and  
18 provided in pill capsule form to a high dose of 30  
19 milligrams per kilogram per day, given 6 days per week.  
20 And again, there was pathology including the reproductive  
21 organs. And they found no pathological changes in the  
22 ovaries or uteri.

23 --o0o--

24 DR. CAMPBELL: Moving on to male reproductive  
25 toxicity of chloroform by the inhalation route. This Land

1 at al. study exposed 10 groups of male mice to air  
2 concentrations of 0.04 or 0.08 percent chloroform for 4  
3 hours on each of 5 consecutive days. And the sperm  
4 parameters were evaluated at 28 days following the first  
5 day of exposure. Compared to controls, statistically  
6 significant increases were found in the percentage of  
7 abnormal sperm for both exposed groups.

8 --o0o--

9 DR. CAMPBELL: Now, on to male reproductive  
10 toxicity in the rat by the oral route. This is the U.S.  
11 EPA 90-day subacute drinking water study. It's not a  
12 reproductive study, so there were no endpoints of  
13 fertility or sperm quality. But again, there was some  
14 pathology on male reproductive organs, and all they  
15 reported was one case each of testicular hyperplasia and  
16 interstitial cell hyperplasia in the 160 milligram per  
17 kilogram per day group. And it isn't even clear if this  
18 represents 2 separate animals or only a single individual  
19 with both effects.

20 --o0o--

21 DR. CAMPBELL: Now, we've got male reproductive  
22 toxicity of -- in mice by the oral route, and this is  
23 the -- from the continuous breeding study. The increases  
24 in fertility indices and epididymal effects seen at 41.2  
25 milligrams per kilogram per day really didn't provide

1 clear evidence of adverse effects on the male reproductive  
2 system.

3 --o0o--

4 DR. CAMPBELL: And then again, we have the males  
5 from the beagle study, the 7½ year chronic oral study.  
6 They also looked at organ weights and pathology for  
7 productive organs. No significant changes in testes or  
8 prostate weights were identified. The pathological  
9 examination indicated some cases of what they called  
10 ectopic testes with inhibition of spermatogenesis, which  
11 couldn't be clearly related to treatment.

12 --o0o--

13 DR. CAMPBELL: Now, onto the last study. Again,  
14 I'm going to digress just to go through the protocol.  
15 This is the Borzelleca and Carchman, 1982, a  
16 multi-generation reproductive toxicity study with dominant  
17 lethal and teratology studies incorporated as satellites  
18 to the larger study. And it reports data relevant to all  
19 3 endpoints, developmental, female/male reproductive  
20 toxicity.

21 The document itself is an unpublished study that  
22 was provided to U.S. EPA by the Medical College of  
23 Virginia. And some of the tables in that document cite a  
24 preference -- cite a reference as being in press, but we  
25 could find no evidence that that cited paper was ever

1 actually published.

2           And just to go through the protocol, the parental  
3 or, what they call here, the F/0 generation were randomly  
4 mated to produce 3 sequential litters, the F/1A, the F/1B,  
5 and the F/1C. The F/1B generation were randomized and  
6 mated to produce the F/2A generation, then re-randomized  
7 and mated again to produce the F/2B generation. So there  
8 were 3 litters in the first filial generation, and 2 in  
9 the second filial generation.

10           Except for the animals used for breeding, all  
11 pups were sacrificed at weaning on postnatal day 21. Both  
12 the parental generation the, F/0, and the F/1B were  
13 started with 10 males and 30 females per group. The  
14 breeding animals were sacrificed once the last litter of  
15 the generation was weaned. And then teratology and  
16 dominant lethal satellite studies were spun off from the  
17 F/1C and F/2B litters.

18                                           --o0o--

19           DR. CAMPBELL: Now, this slide just shows an  
20 overview of the combined data for all the generations and  
21 litters. These animals were exposed by drinking water, so  
22 the dosing is expressed as milligrams per milliliter. The  
23 maternal and systemic effects column on the left includes  
24 observations on the 2 generations used for breeding. So  
25 it's not all of them. It's the F/0 and the F/1B.

1           The developmental or reproductive findings and --  
2 are like all the litters as shown on the slide. And there  
3 are significant -- were significant findings on a number  
4 of reproductive indices. The first one, the mating index,  
5 is the number of pairs that mated out of the number --  
6 divided by the number of pairs that cohabited.

7           The gestation index is the number of females that  
8 actually delivered live young divided by the number of  
9 females that were originally determined to be pregnant.

10           The viability index is the number of live pups on  
11 postnatal day 4 divided by the number of live pups at  
12 birth, so it's the 4-day survival. The lactation index is  
13 the number of live pups per litter at weaning on postnatal  
14 day 21, divided by the number of live pups at birth, and  
15 then adjusted for culling, if necessary.

16           Also, at the bottom of that slide, you can see  
17 litter size at birth in the 5 milligrams per milliliter  
18 group was decreased for all offspring generations. And  
19 then I have a second slide just showing the results from  
20 the satellite experiments for the dominant lethal protocol  
21 treated males from the parental, the F/0 and F/1B  
22 generations, were mated with unexposed females in order to  
23 produce a portion of F/1C and F/2B litters, and they did  
24 not identify any dominant lethal effects.

25           And they really found nothing in the teratology

1 satellites. But if you remember from the previous slide,  
2 litter sizes were considered to be significantly reduced  
3 for all litters, including the F/1C and F/2B animals  
4 included in the teratology study.

5 So in trying to figure out why, what happens is  
6 that in the report there's a table of the overall data  
7 where they compared exposed animals to vehicle controls,  
8 and found a significant difference. Now, for the specific  
9 teratology satellites, they compared litter sizes to  
10 untreated controls, and did not find a difference.

11 So that's why the inconsistency.

12 --o0o--

13 DR. CAMPBELL: And then just a couple of quick  
14 summary slides. And expressed here is a matrix of species  
15 and route of exposure. The numbers on the slide represent  
16 the lowest effective concentration or dose as just as  
17 reported in the study. The ones marked NE, that is no  
18 observed reported effect. And then the number in  
19 parentheses, in that case, represents the highest dose  
20 that was tested.

21 So for developmental toxicity, effects were  
22 observed in rats and mice by the inhalation route at  
23 concentrations as low as 30 ppm. Oral studies also  
24 provided evidence for developmental effects of chloroform  
25 in rats and rabbits, and adverse developmental effects

1 were noted in an in vitro study of zebrafish embryos.

2 For female reproductive toxicity with inhalation  
3 exposure in rats adverse effects were reported at  
4 concentrations as low as 30 ppm. An inhalation study in  
5 mice also reported adverse effects on resorption frequency  
6 and pregnancy rate.

7 The available studies conducted by the oral route  
8 of exposure did not show clear evidence of adverse effects  
9 on the female reproductive system in rats, mice, rabbits,  
10 or beagles.

11 --o0o--

12 DR. CAMPBELL: And the final slide. For male  
13 reproductive toxicity, an inhalation study in mice found  
14 significant increases in the frequency of abnormal sperm  
15 with increasing concentration of chloroform. Oral studies  
16 in rats, mice, and beagles failed to demonstrate clear  
17 adverse effects on the outcome -- outcomes of male  
18 reproductive toxicity.

19 And then that multi-generation toxicity study is  
20 an oral drinking water study in mice reported significant  
21 changes in indices of mating pregnancy and offspring  
22 viability. The mating index may reflect male as well as  
23 female reproductive toxicity. Alterations in the  
24 gestation index and viable litter size may reflect changes  
25 in the female reproductive system and/or developing



1 organism. And that concludes my presentation.

2 CHAIRPERSON GOLD: Thank you, Dr. Campbell.

3 Are there any questions from the Panel for Dr.  
4 Campbell?

5 Okay. Seeing and hearing none, we could, at this  
6 point, take a break. Would that be desirable?

7 Yes, 10 minutes maybe.

8 Okay. So let's come back at 11:35 roughly, try  
9 and reconvene, and we'll proceed with public comments at  
10 that time.

11 CHIEF COUNSEL MONAHAN CUMMINGS: And if the  
12 members could make sure that they don't discuss the issues  
13 among themselves during the break, that would be great.  
14 Thanks.

15 (Off record: 11:26 a.m.)

16 (Thereupon a recess was taken.)

17 (On record: 11:36 a.m.)

18 CHAIRPERSON GOLD: Can we please try and  
19 reconvene. Okay. If we can take our seats.

20 Maybe I'll ask the Panel one more time if they  
21 have any questions for either Dr. Campbell or Dr. Kaufman?

22 COMMITTEE MEMBER WOODRUFF: Okay. I have one.

23 CHAIRPERSON GOLD: Dr. Woodruff.

24 COMMITTEE MEMBER WOODRUFF: Okay. Thanks. Hi.

25 That was a nice presentation. I really appreciated the

1 graphical representations from the studies. It was very  
2 useful to see the numerical estimates put out on the  
3 graphs. I was wondering if you had considering doing a  
4 meta-analysis of the studies that were amenable to that?

5 DR. KAUFMAN: Well, it crossed my mind, but the  
6 studies are so different and their exposure measures are  
7 so different, I -- it would be difficult. There have been  
8 people who have done meta-analyses for trihalomethanes,  
9 because it's just an easier way when they group them.  
10 Exposure is kind of easier, but I -- I -- it would be  
11 difficult, so we didn't do it.

12 CHAIRPERSON GOLD: Dr. Plopper.

13 COMMITTEE MEMBER PLOPPER: Yeah. This is -- I  
14 wanted to compliment Dr. Campbell on the very nice summary  
15 of all the data there. And I wondered if you could expand  
16 a little bit on your initial comment about how the impact  
17 of a toxicant on the system of the -- say like the mother,  
18 which, in this case, this is a hepatotoxicant, how we  
19 should or should not be considered that when we're  
20 evaluating the impact on reproduction and development.  
21 You made a comment at the beginning. I guess if you could  
22 expand that out a bit.

23 DR. CAMPBELL: I think the point is more is  
24 it's -- it's an area to look at the biology closely and  
25 interpret, rather than just assuming, oh, there's a little

1 change in the dam, so this isn't really developmental  
2 toxicity. It's just not that simple. But certainly, if  
3 there's enough toxicity in the dam, it's going to affect  
4 the offspring, so -- but it's just -- it's something that  
5 you really have to look at.

6 CHAIRPERSON GOLD: Dr. Kim.

7 COMMITTEE MEMBER AUYEUNG-KIM: And I think  
8 we're -- Dr. Campbell was citing EPA standards as far as  
9 assessing maternal toxicity and the effect on the  
10 developing fetus. So it's not necessarily that that's how  
11 it should be interpreted, but that's EPA's strategy.

12 CHAIRPERSON GOLD: Any further questions or  
13 comments from the Panel, from the staff?

14 Okay. Okay. I think we can turn -- we have one  
15 public comment, unless somebody else. I only have one  
16 card. And so it's Steve Risotto who is with the American  
17 Chemistry Council.

18 You'll have 5 minutes.

19 MR. RISOTTO: Hello. Does that sound good?

20 Okay. Sorry. Good morning. I'm going to try  
21 and go paperless here, so hopefully it will go smoothly.  
22 My name is Steve Risotto. I'm a senior director at the  
23 American Chemistry Council, and I appreciate this  
24 opportunity to provide comments to the DART concerning  
25 your review of chloroform under Prop 65.

1 I'm here on behalf of the American Chemistry  
2 Council's Chlorine Chemistry Division, which represents  
3 major producers of users of chlorine in North America, and  
4 works to promote the sustainability of chlorine chemistry  
5 processes, products, and applications. And I'd like to  
6 suggest just a couple of seconds of silence for those poor  
7 beagles who were exposed to chloroform for 7½ years. Just  
8 staggering how we used to run our tests, I guess.

9 The chlorine division submitted written comments  
10 on the hazard identification materials that OEHHA staff  
11 developed in support of reconsideration of chloroform back  
12 in September. I'd like to briefly summarize those  
13 comments.

14 As evidenced by the presentations, a significant  
15 amount of human and animal data exists for chloroform.  
16 These data show a lack of consistent evidence to support a  
17 conclusion that chloroform has been clearly shown through  
18 scientifically valid testing, according to generally  
19 accepted principles to cause reproductive toxicants.

20 Numerous reviews of chloroform have been  
21 conducted. In 2001 -- excuse me -- the U.S. Environmental  
22 Protection Agency conducted a review of chloroform,  
23 including reproductive and developmental toxicity. EPA's  
24 assessment found that chloroform had been evaluated in a  
25 number of chronic and reproductive and developmental

1 studies. And although some effects had been reserved --  
2 observed, those effects were generally secondary to  
3 maternal toxicity.

4 In a 2004 review, the World Health Organization  
5 noted no impacts on fertility and reproduction -- excuse  
6 me, just a second -- in rodents. While some impacts on  
7 development were observed, the WHO review concluded that  
8 the results were inconsistent and generally resulted from  
9 maternally toxic doses when toxic doses were given.

10 The DART Committee itself has conducted 2  
11 previous reviews of chloroform in 2004 and 2005, and on  
12 both occasions decided against listing of the chemical as  
13 a reproductive toxicant. And more recently, a 2011 review  
14 by the Committee on Risk Assessment of the European  
15 Chemical Agency confirmed an earlier 2007 decision that  
16 the data for fertility do not justify a classification for  
17 male or reproductive -- male or female reproductive  
18 toxicity. And the data on developmental effects support  
19 classification in the lowest category, Category 3, under  
20 the European Union's Dangerous Substances Directive.

21 This classification has been subsequently  
22 converted to an equivalent classification under EU's  
23 regulation on the classification labeling and packaging of  
24 substances and mixtures, or the CLP, which has replaced  
25 the dangerous substance directive to better align with the

1 global harmonization system for classification and  
2 labeling.

3 Under the CLP, chloroform is classified in  
4 Category 2 as a suspected human reproductive toxicant.  
5 The CLP guidance notes that if deficiencies in the study  
6 make the quality of evidence less convincing, Category  
7 should be -- Category 2 should be the more appropriate  
8 classification, such as the case for chloroform.

9 A review of the literature by OEHHA staff through  
10 2015 confirms that the available evidence for chloroform  
11 remains inconsistent. Human and animal data are mixed  
12 when evaluating impacts on sperm quality. Some studies  
13 have reported a decrease in sperm quality associated with  
14 chloroform exposure while others found no association.

15 Data are lacking and inconsistent for impacts to  
16 fertility among females exposed to chloroform. The  
17 available human studies observed -- human study observed  
18 no impacts, while fertility in female mouse -- in mice was  
19 reported to decrease in one study and increase in another.

20 Three epidemiology studies demonstrate a lack of  
21 consistency for increased risk of spontaneous abortions,  
22 as a result of exposure to chloroform and/or  
23 trihalomethanes. A 2000 study by Wennborg et al. reported  
24 a weak association with increased risk from chloroform  
25 exposure. While the study by Savitz and colleagues found

1 the pregnancy loss was not associated with high  
2 trihalomethane exposures. Similar inconsistencies were  
3 seen in the results from studies in laboratory animals.

4           And epidemiologic data are not consistent for  
5 increased risk of stillbirths with chloroform  
6 concentrations in water. A 2014 study found no increased  
7 risk, while 2 other studies reported the observed increase  
8 in risk was not statistically significant and the 4th  
9 observed a small but significant increase.

10           Related to developmental toxicity, no effects of  
11 chloroform on gestation length were reported in  
12 experimental studies in animals. Of the 8 epidemiology  
13 studies that examined the risk of pre-term birth  
14 associated with chloroform exposure, 5 found no  
15 significant association, and 3 studies observed a  
16 significant, fairly consistent, inverse risk of pre-term  
17 birth associated with chloroform, i.e., a protective  
18 effect.

19           A large number of epidemiologic studies examined  
20 the risk of small for gestational age -- I'll be wrapping  
21 up very soon -- associated with exposure to chloroform.  
22 Ten studies observed no increased risk or no statistically  
23 significant increased risks with chloroform exposure,  
24 while 3 others reported an increase.

25           Of the 3 epidemiology studies that examined the

1 risk of birth defects while exposure -- with exposure to  
2 chloroform, only 1 reported an association. While birth  
3 defects have been seen in animal studies, they appear to  
4 be more indicative of general developmental delay, rather  
5 than frank malformations.

6           Finally, interpreting the results of epidemiology  
7 studies reporting an association with low birth weight is  
8 complicated by the fact that several studies reported an  
9 association with trihalomethane levels, rather than with  
10 levels of chloroform, and few, if any, actually measured  
11 concentrations at the individual tap.

12           Based on these inconsistent results, we encourage  
13 the Committee to recommend that chloroform be removed from  
14 the Prop 65 list of chemicals known to the State to cause  
15 reproductive toxicity.

16           Thank you for considering our comments.

17           CHAIRPERSON GOLD: Thank you.

18           Does the Committee have any comments or  
19 questions?

20           Thank you.

21           Okay. We'll now turn to the Committee discussion  
22 of the various papers, and we have established an order.  
23 And we're going to ask Dr. Nazmi to lead off with  
24 discussions of the -- so we divided the papers up into  
25 human and animal, and then into pregnancy outcomes, female



1 reproductive toxicity, male reproductive toxicity,  
2 developmental toxicity. And so Dr. Nazmi is going to  
3 start with studies that discuss human pre-term, small for  
4 gestational age, low birth weight, and birth weight,  
5 correct?

6 COMMITTEE MEMBER NAZMI: That's right.

7 CHAIRPERSON GOLD: And he'll be followed as a  
8 discussant by Dr. Carmichael.

9 COMMITTEE MEMBER NAZMI: Thank you, everybody.

10 I want to start off by saying thanks to Dr.  
11 Kaufman. You did all the hard work for us and the rest of  
12 the OEHHA staff, and we are just up here as a Committee  
13 discussing that you make our jobs really easy. So, much  
14 appreciated.

15 Because you gave such a thorough review of the  
16 evidence, I'm just going to point out a couple of -- a  
17 couple of items. It seems to me that given the evidence  
18 from the human studies for what I was charged to review,  
19 which was pre-term birth, small for gestational age, low  
20 birth weight, and birth weight, the data to me indicating  
21 a significant effect of chloroform exposure is most  
22 convincing with low birth weight and birth weight  
23 outcomes, which show that risk for low birth weight as  
24 increased and mean low birth weight has decreased, as  
25 shown by the 18 studies that included these outcomes.

1 I just want to point out 2 studies that I thought  
2 were notable that, in my opinion, were methodologically  
3 really strong. And, in some ways, these studies break  
4 down to -- I'm an epidemiologist by training, so I'm  
5 always thinking about the methods, and the sampling, and  
6 the sample size. So these 2 stuck to me as notable,  
7 mostly because each of these studies were so different,  
8 and we had so much different study designs, and we have so  
9 many different approaches to studying chloroform and the  
10 outcomes.

11 So I made -- I made a longer list, but after Dr.  
12 Kaufman's review, I trimmed that down to 2, because these  
13 2 studies -- one of them, Toledano et al., the UK study,  
14 with 3 study sites in 2005, which was a retrospective  
15 cohort. One thing that I thought was notable from that  
16 study is I believe it had the largest sample size at about  
17 almost 1 million.

18 So they looked at low birth weight and birth  
19 weight. I'm sorry low birth weight and very low birth  
20 weight, sampling water from 3 different -- 3 different  
21 companies, and showed a pretty clear dose response effect  
22 in terms of risk for low birth weight. Compared to the  
23 referent group, it was about a 5 and a 10 percent increase  
24 in low birth weight outcomes. That is Toledano et al.,  
25 2005.

1           It was a retrospective birth cohort, so they were  
2 looking at -- retrospective cohorts, so they were looking  
3 at birth and stillbirth records. The models seem to me  
4 that they will -- seem to me pretty extensively designed.  
5 The low birth weight and very low birth weight models  
6 adjusted for slightly different things, but they both  
7 included maternal age, socioeconomic status, and the low  
8 birth weight studies, the year of the study, and sex of  
9 the infant as well.

10           Besides having such a large study sample, the  
11 hierarchical links that were built into the model that Dr.  
12 Kaufman pointed out were -- I think took this -- took this  
13 study into a higher -- a higher level of design. Even  
14 though there were no data on gestational age, the dose  
15 response association together with the multi-site design  
16 and the large sample size were notable to me. So I wanted  
17 to point out that study, and wanted to point out the --  
18 I'm afraid I'm going to butcher the name -- Grazuleviciene  
19 study from Lithuania, which had a significantly smaller  
20 sample size. It was only about 5,400, but it was a  
21 prospective -- prospective birth cohort.

22           Water sampling happened at 4 different treatment  
23 plants. And so what we had was a range for internal dose  
24 of chloroform that was from 0.0013 to 2.1328. So the  
25 terciles, I thought, were pretty broad, although a

1 relatively low exposure, given some of the other studies.  
2 But again, here, we saw really convincing dose response  
3 effect for low birth weight at about 12 and 13 percent  
4 increase.

5           The participation rate of that study was really  
6 high at about 79 percent. And given the fact that it was  
7 a prospective cohort design, the participation rate was  
8 really important and kind of keeping kind of a standard --  
9 a standard follow up.

10           Besides that, there were a couple of -- there  
11 were a couple of items that I wrote down, and Dr. Kaufman  
12 mentioned them, so I don't want to be too redundant here.  
13 But I think there are still a few unknowns in terms of  
14 chloroform exposure. And one of those is genetic  
15 predisposition, for example, this GSTM10 versus 11  
16 genotype. I think potentially some additional studies  
17 down the pike in the next 5 or 10 years, I think, might  
18 help us out a little bit with determining some sort of  
19 genetic predisposition with low birth weight or with birth  
20 weight outcomes.

21           But the way I read it, the low birth weight and  
22 the birth weight outcomes were suggestive of good evidence  
23 indicating an impact with chloroform exposure. Not so  
24 much so, I thought, with pre-term birth and small for  
25 gestational age, but there's also another question of this

1 protective effect that we saw or evident -- protective  
2 effects that were evident with the pre-term studies that I  
3 think also raise a few more questions that they -- than  
4 they answer.

5           So I think with that, I'm just going to wrap it  
6 up. I want to open it up to any of the colleagues or  
7 otherwise that have any anything to add.

8           CHAIRPERSON GOLD: Thank you, Dr. Nazmi. Dr.  
9 Carmichael, would you like to comment?

10           COMMITTEE MEMBER CARMICHAEL: Okay. Am I on?

11           Great. Well, thanks, everybody again. Extended  
12 thanks to the OEHHA staff for all that you've done. This  
13 was a huge amount of work.

14           So I'm just trying to focus and not be redundant.  
15 It did seem with pre-term and small for gestational age,  
16 there was minimal evidence of increased risk. With birth  
17 weight, there was a little bit -- seemed to be a bit more  
18 of a mixture. I agree that Grazuleviciene -- however you  
19 say that -- was definitely one of the stronger studies and  
20 was one of the ones that showed a positive association.  
21 But then again, there were many that did not.

22           And just one thing to point out with Toledano, I  
23 agree that they were -- I believe relatively -- had some  
24 strengths in their exposure assessment, because that's  
25 definitely a theme of concern among all of these. I just

1 wanted to point out though that it was a -- it was a large  
2 study. So when their so very, very small effects become  
3 significant -- so looking back at one of your tables, the  
4 odds ratio for -- let me see, is this the -- either -- oh,  
5 here it is. Sorry. Trying to just -- odds ratios for low  
6 birth weight are what was significant, and the odds ratios  
7 for -- were 1.05 and 1.10. So it was very, very, very  
8 small risk, which just was very precise because of the  
9 sample size. I just wanted to point that out, given that  
10 it was just 1 of the 2 that had been highlighted.

11 And then I think that's the end of my comments.

12 CHAIRPERSON GOLD: Okay thank you. Maybe I'll  
13 pause and see if the Panel has any questions or discussion  
14 for these two presenters and this topic -- this set of  
15 topics?

16 COMMITTEE MEMBER NAZMI: Can I just point out one  
17 more thing real quick?

18 CHAIRPERSON GOLD: Yeah, sure.

19 COMMITTEE MEMBER NAZMI: You're absolutely right.  
20 I have here in my notes that -- and I didn't cover this,  
21 but the Iszatt et al. 2014 study, which, as Dr. Kaufman  
22 mentioned, showed a pretty strong dose response effect  
23 with low birth weight, and some effect with very low birth  
24 weight as well. That one stuck out to me methodologically  
25 because of the -- it was that enhanced coagulation study,

1 which basically halved the amount of chloroform in  
2 drinking water over time. And the outcome measures  
3 were -- percent change for rates before and after this EC,  
4 this enhance coagulation.

5           So as -- even though it was a retrospective  
6 cohort, it seemed -- it seemed that it provided a lot of  
7 good evidence that removing half of the chloroform in the  
8 water supply had a dose response effect on the low birth  
9 weight outcomes.

10           So I just wanted to throw that one out there as  
11 well, because it was a -- the design was very unique.

12           CHAIRPERSON GOLD: Thank you.

13           Dr. Woodruff, did you have a comment?

14           COMMITTEE MEMBER WOODRUFF: Yes.

15           CHAIRPERSON GOLD: Question.

16           COMMITTEE MEMBER WOODRUFF: Thank you. I really  
17 appreciated the comments that were given, and I wanted to  
18 agree with what I thought was that the effects on pre-term  
19 birth were not very consistent, but that there was  
20 consistent effects seen with -- whether it was measured as  
21 low birth weight as -- or birth weight changes. And I  
22 would just note, I agree about the comment in terms of the  
23 number being these are relatively large.

24           So even though I said that thing about the  
25 meta-analysis, which I still think is something important

1 to do, I'm not sure it would have been substantially added  
2 information, because the sample sizes were so large in  
3 these studies. But I would also note that because of the  
4 potential for the exposure misclassification, because it's  
5 very difficult to model it, that these effect estimates  
6 have a higher likelihood of being underestimated because  
7 of that, because of the exposure misclassification.

8           And I want to also comment that the birth  
9 weight -- effects on birth weight are supported by the  
10 findings in the animal studies.

11           CHAIRPERSON GOLD: Okay. Thank you.

12           Any other comments by the Panel, questions?

13           Okay. All right. I think what we'll do is go to  
14 the next topic, and then we'll see where we are in terms  
15 of taking a lunch break.

16           So the next topic, Dr. Carmichael will take the  
17 lead on talking about human birth defect studies, studies  
18 of spontaneous abortions and stillbirths. These, as I  
19 understand it -- I welcome being corrected by the Panel --  
20 can either be considered developmental toxicities or  
21 female reproductive. They fall sort of in both  
22 categories. And so -- but we'll discuss them separately.  
23 Is that -- am I incorrect about that?

24           DR. DONALD: Yes, that's correct.

25           CHAIRPERSON GOLD: Okay. Good. All right.



1 First, Dr. Carmichael, then Dr. Nazmi. And I've also  
2 asked Dr. Plopper if he would comment on the 3 studies on  
3 birth defects. So, first, Dr. Carmichael.

4 COMMITTEE MEMBER CARMICHAEL: Okay. A lot fewer  
5 studies here. So start with birth defects. There were 3  
6 studies and they varied to a great extent in design. Two  
7 of the 3 looked at multiple different types of birth  
8 defects in my -- so all 3 were basically not significant,  
9 but I just wanted to make the point that 2 of the 3 looked  
10 at multiple different types of phenotypes. And they  
11 really -- they grouped them together like grouping all  
12 heart defects together, grouping all musculoskeletal  
13 together, and so forth.

14 And just in -- in each -- that involves a lot of  
15 different specific types of phenotypes with what we see is  
16 they typically have very different etiologies. So while  
17 the -- so I consider that a strong negative that they --  
18 that they grouped them in that way. So I just wanted to  
19 say that although all 3 were negative, definitely too few  
20 studies with the substantial methodologic weakness for us  
21 to really -- it doesn't provide evidence for an  
22 association, but it also doesn't really tell us whether  
23 there really and truly isn't one.

24 As far as spontaneous abortion, again 3 studies.  
25 I think Savitz and Waller had some definite strengths as

1 far as how they were measuring the exposure. And then the  
2 3rd one by Wennborg was just -- was occupation --  
3 associated with just an occupation where chloroform was  
4 present, which is really not specific at all. So the  
5 other 2 Savitz and Waller both were not significant and  
6 were, I thought, relatively well done. So no real  
7 evidence for an association there.

8 And then stillbirth, there were 4 studies, again,  
9 quite heterogeneous. And with regard to design and  
10 potential strengths and weaknesses, none of them showed a  
11 strong evidence for an association. But again, I want to  
12 note that stillbirth again is a very heterogeneous outcome  
13 with respect to cause, and with respect to timing and so  
14 forth.

15 So there's no evidence for an effect, but I don't  
16 feel like we've studied it well enough to really know  
17 whether there really is one. So that is the extent of my  
18 comments.

19 CHAIRPERSON GOLD: Okay. Thank you.

20 Dr. Nazmi, would you like to follow up.

21 COMMITTEE MEMBER NAZMI: I'd like to defer to Dr.  
22 Plopper.

23 CHAIRPERSON GOLD: I'm sorry, I didn't hear you.

24 COMMITTEE MEMBER NAZMI: I'd like to defer to Dr.  
25 Plopper, first.

1           CHAIRPERSON GOLD: Okay. Dr. Plopper, any  
2 comments specifically on birth defects, but anything you  
3 would like to -- any of the foregoing.

4           COMMITTEE MEMBER PLOPPER: I thought the -- in  
5 terms of looking at a range of birth defects, I think  
6 they -- all 3 of the studies -- 2 of the studies did a  
7 very good job of looking around, but they did lump things,  
8 which was of concern, but -- and it appears that for --  
9 there were changes related to concentrations of other  
10 trihalomethanes, but not for chloroform, with the  
11 exception of the chromosomal aberrations in one study.  
12 And one of the studies did not base their assessments on  
13 maternal intake, another did, and they essentially found  
14 no effects for either one.

15           And the one that focused only on male urogenital  
16 damage found that chloroform concentration was not major.  
17 I thought they were fairly consistent that this is not a  
18 major problem. It doesn't seem to show up anywhere. I  
19 mean, they did about as thorough a job as you can do on  
20 these types of studies.

21           CHAIRPERSON GOLD: Thank you.

22           So, Dr. Nazmi, did you want to comment now?

23           COMMITTEE MEMBER NAZMI: Yeah. I have no further  
24 comments.

25           CHAIRPERSON GOLD: Okay. No further comments.

1           Okay. Anyone else on the Panel have questions or  
2 comments based on the discussion about birth defects,  
3 spontaneous abortions, or stillbirths.

4           Okay. Hearing none, we can go on to female  
5 reproductive toxicity before we do a lunch break. Does  
6 that sound -- yeah, so I'm -- it might go relatively  
7 quickly, and so do that before lunch break. Okay.

8           So I will start and then Dr. Woodruff will  
9 comment as the second discussant.

10           So basically, we had 2 studies, 1 dealing with  
11 the outcome of time to pregnancy, and the other one  
12 dealing with measures of a menstrual cycle disruption.  
13 They were rather different types of studies, in terms of  
14 their exposures assessment, their outcome assessment, and  
15 the quality of the study.

16           The one study that looked at time to pregnancy  
17 was looking at whether the -- that whether dental surgeons  
18 had exposure to chloroform based root canal sealers, and  
19 compared their time to pregnancy to teachers -- high  
20 school teachers. And basically, I had a number of  
21 concerns with the study design, but they found no effect  
22 on time to pregnancy.

23           And then the second study by Windham and  
24 colleagues was a well-constructed prospective study that  
25 followed up women and looked at their -- the exposure and

1 consumption and use of water, in terms of chloroform  
2 exposures.

3           And the outcomes of interest were changes in  
4 menstrual cycle length and in different phase lengths,  
5 follicular luteal phase length. And while this was a  
6 better constructed study, and they did find some effects  
7 with trihalomethanes, these were -- these changes were not  
8 observed in association with chloroform exposure.  
9 Although, it was associated with a shortened luteal phase.

10           And I will say in all of the -- many of these  
11 studies, I have concerns sort of about multiple  
12 comparisons, as well as -- we only have this 1 study. And  
13 so I would say that in terms of human female reproductive  
14 toxicity on the 2 measures that we have, which are time to  
15 pregnancy and disturbed menstrual cycles, which by the  
16 menstrual -- disturbed menstrual cycles can affect the  
17 ability to conceive and so forth. So it can have impacts  
18 on fertility. But I would say that the evidence before us  
19 is not sufficient to indicate suggestive perhaps from the  
20 Windham study.

21           I'd also note that, as I said earlier, we could  
22 consider spontaneous abortions and stillbirths under this  
23 category of female reproductive toxicity, but I don't have  
24 anything to add to what my colleagues have already said on  
25 that regard.

1           So, Dr. Woodruff.

2           COMMITTEE MEMBER WOODRUFF: Thank you. That was  
3 an excellent summary. I would agree that the Windham  
4 study was very well done, and they did find some potential  
5 effects on menstrual cycle. But the reality is we only  
6 have these 2 studies. And I do think that the Dahl study  
7 had a lot of challenges, including probably a very high  
8 risk of bias for their exposure assessment, which was  
9 based on recall and estimating the number of root fillings  
10 per week, so it's very far from having actual exposures  
11 for chloroform.

12           CHAIRPERSON GOLD: Okay. Thank you.

13           Anyone on the Committee have any comments or  
14 questions regarding the human female reproductive toxicity  
15 studies?

16           Okay. Well, given our speed, I wonder if we  
17 ought to take up the human and animal male reproductive?  
18 Do we think we could do that before lunch?

19           Yes. Okay. Dr. Baskin, you're nodding, so I'm  
20 going to turn it over to you.

21           COMMITTEE MEMBER BASKIN: Thanks again to Dr.  
22 Kaufman for an outstanding summary. There were 4 papers  
23 in this category. One paper was a case report. And since  
24 no journal will publish a case report anymore, I don't  
25 think we really need to discuss it, because it's a case

1 report with very low level of evidence, but something that  
2 should be researched in other papers.

3           And so we have 3 other papers that looked at  
4 humans. And 2 of them, as summarized by Dr. Kaufman,  
5 really showed no significant changes. And the Zeng paper  
6 in 2014 was the one that there was a potential suggestion  
7 that there was a decrease in the parameter of  
8 spermatogenesis.

9           Measuring outcomes of male reproductive fertility  
10 that's based on spermatogenesis in itself may have some  
11 problems, since the World Health Organization and a number  
12 of other well-documented reference papers have shown that  
13 over time there's been a decrease in the number of sperm  
14 to begin with. Although, that doesn't necessarily relate  
15 to fertility. But saying that, it's a reasonable  
16 surrogate, although not perfect.

17           I have some kind of global critiques of the 2014  
18 Zeng paper, which also relates to the 2013 paper, in that  
19 the patients were recruited from a fertility clinic. So  
20 there is already some question of subfertility, which  
21 makes it reasonably biased in respect to looking at the  
22 data. And I think a stronger epidemiologic study would  
23 have just picked people who weren't in a fertility clinic,  
24 as what I would say would be better controls.

25           We talked about the sperm as a surrogate for male

1 reproductivity. And it's a reasonable thing to study, but  
2 none of the papers really look at pregnancy or actual  
3 fertility.

4           The 2014 paper also looked at the estimation of  
5 the oral ingestion of chloroform. In other words, there  
6 was no actual measurement in this cohort, even though it  
7 was a prospective cohort, in contrast to the 2013 paper,  
8 which actually had a blood test measurement, which I think  
9 is -- would be a little more reliable. So in designing  
10 the paper, I think that would be something that would have  
11 made the evidence a little bit stronger.

12           Certainly, and as was talked about in the papers,  
13 there's multiple co-founders, because there's multiple  
14 exposure. It's not just chloroform. And that obviously  
15 could just come up with -- the conclusion could be this  
16 was all true, true, and unrelated, which makes the  
17 findings, although suggestive, not as strong.

18           So summarizing. One of the papers was a case  
19 report. And that doesn't have much credence. And then  
20 the -- there was an English study, which also recruited  
21 patients from a subfertility clinic, and found no changes.  
22 There was a 2013 Zeng paper, which actually measured the  
23 level in blood, which showed no changes in respect to  
24 analyzing.

25           The outcome was sperm morphology in all these



1 papers, which didn't show any significant changes. And a  
2 2014 paper is the only one that showed a decrease in sperm  
3 concentration, but not in many other parameters. And  
4 that's suggestive that chloroform could be an issue, but  
5 in my mind, not definitive.

6 CHAIRPERSON GOLD: Okay. Thank you. Dr.  
7 Plopper, do you have any comments on this?

8 COMMITTEE MEMBER PLOPPER: I think I share his  
9 comments. I was a little concerned, because the  
10 recruitment of all these patients had to do with fertility  
11 clinics, and so they already made selection. And I  
12 noticed in the case of the study from Britain, that  
13 actually the -- the ones that were used as referents  
14 actually had higher -- an average higher concentration  
15 exposure than the ones that were supposed to be the  
16 subjects for this.

17 So it's sort of -- I would agree with you, I  
18 don't think the evidence is very strong that there is a  
19 male reproductive impact. I thought they did a -- I think  
20 we -- it -- they did a careful job of trying to assess  
21 sperm function, but you don't know how that affects  
22 fertility. Okay. That's all.

23 CHAIRPERSON GOLD: Thank you.  
24 Dr. Woodruff, any comments?

25 COMMITTEE MEMBER WOODRUFF: No, I agree with all

1 the previous comments. I had essentially the same  
2 evaluation though. I would note there's more people in  
3 that first Zeng study with the -- where they model the  
4 exposures. And I thought that they actually did a pretty  
5 good job of trying to estimate -- evaluate the exposures  
6 from that study.

7 COMMITTEE MEMBER PLOPPER: I would agree. I  
8 think that's probably the best of 3 in terms of that.

9 CHAIRPERSON GOLD: Okay. Thank you. Any  
10 comments on male reproductive studies, animal or human,  
11 from the Committee, questions, comments?

12 Dr. Baskin.

13 COMMITTEE MEMBER BASKIN: We just --

14 CHAIRPERSON GOLD: Okay. You only commented on  
15 humans, but the category included males, so do you want to  
16 comment on the male?

17 COMMITTEE MEMBER BASKIN: No. Just for  
18 confusion, I just studied the -

19 CHAIRPERSON GOLD: The animals.

20 COMMITTEE MEMBER BASKIN: We just discussed the  
21 humans

22 CHAIRPERSON GOLD: Commented on -- right. Do you  
23 want to comment on the animal studies?

24 COMMITTEE MEMBER BASKIN: Well, aren't we going  
25 to discuss the animal studies in more detail?

1 CHAIRPERSON GOLD: Well, they were put into this  
2 category because -- because there were relatively few  
3 studies.

4 COMMITTEE MEMBER BASKIN: Yeah.

5 CHAIRPERSON GOLD: But if you'd prefer to do it  
6 later, we can do it later.

7 COMMITTEE MEMBER BASKIN: Let's do it later.

8 CHAIRPERSON GOLD: So we'll do it after lunch.

9 Okay. Any other comments or questions about male  
10 reproductive studies -- human male reproductive studies?

11 Yes. We'll do -- we'll start with -- if it's all  
12 right with you, we'll start with the animal male  
13 reproductive studies right after lunch, okay?

14 Okay. So do we need a half hour, 45 minutes?  
15 What would people prefer for lunch?

16 Half hour? Going, going, going. You prefer 45.  
17 More feasible. Well, let's aim for 30-ish, and, you know,  
18 it will probably be more like 40-ish. So let's aim for  
19 being back at 12:45, but it will probably be a few minutes  
20 after that, okay?

21 Thank you.

22 (Off record: 12:15 p.m.)

23 (Thereupon a lunch break was taken.)

24

25

1                   A F T E R N O O N   S E S S I O N

2                   (On record: 12:58 p.m.)

3                   CHAIRPERSON GOLD: Okay. We can now reconvene,  
4 as all Committee members have returned. And as promised,  
5 what we'll do is turn now to the animal male reproductive  
6 studies. And, Dr. Baskin, you're going to lead us first.

7                   COMMITTEE MEMBER BASKIN: Thank you to Dr.  
8 Campbell for summarizing these quite elegantly.

9                   So there were 4 studies. And the one that is the  
10 most concerning was from 1981, where mice were given quite  
11 a bit of chloroform by inhalation, and didn't die from  
12 arrythmia, so I was kind of surprised about that.

13                   (Laughter.)

14                   COMMITTEE MEMBER BASKIN: But they showed -- I  
15 mean, the study -- there were -- there were controls, as  
16 well as -- I'm forgetting the number of actually  
17 experimental, but they're relatively equal. And the  
18 outcome was epididymal sperm of morphology. So they  
19 analyzed the sperm in the epididymis, and they essentially  
20 showed a dose response of increasing abnormalities. And  
21 again that's from 1981.

22                   There is then 4 other studies, which 2 in mice, 1  
23 in rats, and 1 in dogs, which essentially showed no  
24 other -- which essentially showed no, what I would call,  
25 abnormalities in relationship to either analyzing the

1 sperm or, in some cases, specifically the dog study, which  
2 is quite a long-term study of long-term exposure. No  
3 histologic abnormalities in the testes.

4 I might note that in the Land study, they would  
5 have had a nice chance to actually analyze morphology of  
6 the testes, which would have been a little more  
7 interesting and a little more suggestive. But again, this  
8 is quite old.

9 So as to not really to belabor the point, there's  
10 one study that's slightly concerning, but it's 35 odd  
11 years old. And the other studies, which were I think of  
12 actually slightly higher scientific quality, which include  
13 rats, dogs as well as mice, did not show really any  
14 significant abnormalities in male reproductive toxicity.

15 CHAIRPERSON GOLD: Thank you. So now, Dr.  
16 Plopper, I believe you were going to comment on these.

17 COMMITTEE MEMBER PLOPPER: Okay. The one that I  
18 agree with his comments, the inhalation study in the mice  
19 was a very high concentration. And it was quite awhile  
20 ago, but they did show sperm changes. The other 2  
21 studies, 1 on rats and 1 on mice that were long term did  
22 not -- one of them did not do a very comprehensive  
23 evaluation, but they did find a change in 1 testis in 1  
24 study and some change in epidemiology -- epididymal weight  
25 was in another study, but no change in any of the sperm

1 parameters.

2           And then the study that fed the toothpaste to the  
3 dogs had one change in, and that was it. So essentially  
4 there really were no definitive changes except that very  
5 high inhalation study.

6           CHAIRPERSON GOLD: Okay. Thank you. Dr.  
7 Woodruff, anything additional?

8           COMMITTEE MEMBER WOODRUFF: I don't have anything  
9 additional to say, other than I did want to point out that  
10 we've had this situation in the past where we often have  
11 not very good studies, and not necessarily a very  
12 sensitive endpoint. So a lot of the ones that you  
13 mentioned were of the weights of the epididymal and the  
14 testes. And those are not really going to be very  
15 sensitive markers necessarily for effects on sperm  
16 function or motility or volume.

17           So I just would note that, as we have said in the  
18 past, that just because we don't find this, doesn't mean  
19 that more studies couldn't be done to evaluate this in  
20 more methodologically superior approaches.

21           CHAIRPERSON GOLD: All right. And any comments  
22 or questions from the rest of the Panel?

23           Dr. Baskin

24           COMMITTEE MEMBER BASKIN: I mean, on the other  
25 hand, the dog study was an incredibly long study 7 years.

1 And dogs are probably closer to the humans than mice and  
2 rats. And they did look histologically at the animals and  
3 didn't see anything. And that's pretty solid evidence in  
4 my mind that at least chloroform doesn't affect dogs. It  
5 doesn't say anything about humans.

6 CHAIRPERSON GOLD: Okay. Thank you.

7 Dr. Campbell.

8 DR. CAMPBELL: I just wanted to remind the  
9 Committee there's also that multi-generation study, which  
10 did show effects on various indices of mating infertility  
11 that could be related female or male reproduction as one  
12 more endpoint that didn't come up.

13 CHAIRPERSON GOLD: Dr. Baskin.

14 COMMITTEE MEMBER BASKIN: Thank you for pointing  
15 that out, because since it wasn't published --

16 DR. CAMPBELL: Yeah, I know.

17 COMMITTEE MEMBER BASKIN: -- we kind of missed  
18 it, or at least I did.

19 DR. CAMPBELL: Yeah, I know.

20 COMMITTEE MEMBER BASKIN: But it's conclusion  
21 with all the data again is as you stated.

22 CHAIRPERSON GOLD: Any other Committee members  
23 have comments, questions?

24 Anything further on either the human or the  
25 animal male reproductive studies?

1           Okay. Hearing none, let's move ahead. We have  
2 two more categories, one is animal developmental studies,  
3 and Dr. Auyeung-Kim is going to be the primary discussant  
4 followed by Dr. Woodruff and maybe Dr. Plopper might have  
5 something to say.

6           COMMITTEE MEMBER AUYEUNG-KIM: Thank you. I want  
7 to echo whatever everyone else has said about the OEHHA  
8 staff presenting that was especially Dr. Campbell for  
9 providing the comprehensive review of the animal data.  
10 And so the studies that were conducted in the rat, mouse,  
11 and rabbit, the rat and mouse had inhalation as well as  
12 oral administration, and the rabbit only had the oral  
13 administration as well as there was a zebrafish study.

14           And so most of the studies were of high quality  
15 and well designed with the typical endpoints that are  
16 appropriate to evaluate the developmental toxicity. And  
17 as we briefly touched upon earlier, when we had the  
18 questions after the staff presentation, most of the fetal  
19 effects were -- the decreased body weight was seen at  
20 doses that maternal toxicity was also observed, which  
21 included the decrease -- the maternal toxicity being  
22 decreased weight and death and hepatotoxicity.

23           And so I understand that EPA, their strategy for  
24 evaluation is that they couple both the maternal tox as  
25 well as the fetal toxicity and would label it as possibly



1 being a fetal -- a developmental -- or resulting in  
2 developmental effects.

3           However, I personally feel that you can't  
4 really -- because of -- you can't really say that the  
5 decrease fetal weights is a direct developmental insult,  
6 because of the maternal toxicity that was seen in the  
7 studies.

8           And so I would look at it as -- I would uncouple  
9 them and feel that there is no development -- that the  
10 fetal weight -- or the decrease in fetal weight is a  
11 result of the maternal toxicity, and not due to a primary  
12 developmental effect.

13           In looking at the designs of the studies, they  
14 were robust. Most of them had a sufficient number of  
15 animals. And there was a couple of studies that did not,  
16 which I think the rat and mouse oral studies that lack  
17 whether there was maternal -- lacked whether there was  
18 maternal toxicity observed in those studies.  
19 Additionally, the studies were conducted mostly in the 70s  
20 and 80s, so, you know, 30-plus years ago. A couple in  
21 19 -- there was a couple in the 1990s, 2004.

22           The inhalation studies also were high exposures,  
23 high concentrations for up to 7 hours per day. And, you  
24 know, the one study that the exposure was only 1 hour per  
25 day, the dose administered was up to 4,100 parts per

1 million per day -- or parts per million.

2           So I think that for these studies that extremely  
3 highly doses were administered.

4           And I think that's...

5           CHAIRPERSON GOLD: Thank you.

6           So, Dr. Woodruff, do you have anything?

7           COMMITTEE MEMBER WOODRUFF: Yes, I do. So  
8 I -- yes, there were a number of studies that evaluated  
9 developmental effects from maternal exposures during the  
10 developmental period to chloroform as was noted, both  
11 inhalation and oral.

12           I would say that the studies were reasonably well  
13 conducted. And they were experimental, so that gives  
14 them -- we have a higher confidence in their findings  
15 because they're experimental. But a lot of the studies  
16 did have some issues in terms of not every study mentioned  
17 whether they randomized. And it wasn't always clear  
18 whether the outcomes were blinded.

19           I did note the -- I agree that there was maternal  
20 toxicity in some of the higher exposures in the studies,  
21 so what I did was I looked at the studies because some of  
22 the studies looked -- particularly in the inhalation,  
23 looked above 100 -- or in the oral dosing looked above 100  
24 milligrams per kilogram day, but there were a number of  
25 studies that looked at lower exposures.

1           And the higher exposure studies it's true had  
2 decrements in birth weight, but the lower exposure studies  
3 actually whether -- if there were -- there either were not  
4 decrements of maternal birth weight and/or the decrements  
5 were pretty modest, as was said by OEHHA, that minor  
6 decrements in maternal birth weight should not necessarily  
7 influence our evaluation of the outcomes among the  
8 fetal -- the fetal outcomes for birth weight.

9           And this was actually something I think that it  
10 would be good for OEHHA to consider in the future was it  
11 actually used -- the National Toxicology Program has  
12 available software that they have for graph -- both  
13 extracting data and studies into -- their program was  
14 called HAWC project.

15           And so I used that to look at the differences in  
16 birth weight for the fetal measurements that were done in  
17 1, 2, 3, 4, 5 of the studies. And it's true that if you  
18 look at just the tables that the decrements and birth  
19 weight don't look -- they look modest, but once you graph  
20 them, they're modest, but consistent decrements in birth  
21 weight, especially in the Thompson study with the female  
22 Dutch-Belted rabbit, you see a nice dose response in the  
23 outcomes below the maternal -- the doses where they had  
24 maternal -- more frank maternal toxicity.

25           And the Garcia -- I would note that also the

1 Garcia-Estrada study which -- I don't remember if you  
2 talked about that, was -- saw consistent decrements in  
3 birth weight, though I have to say that study was a little  
4 bit difficult to interpret, because it's in Spanish. So I  
5 did ask for the English translation of the tables from the  
6 OEHHA staff, which I used to look at -- and then in terms  
7 of the -- kind of the birth defects piece, there were both  
8 findings in a number of studies in terms of impaired  
9 fertility.

10           So, for example, in the Murray study in the mice,  
11 they saw decrement in percentage of the mice that were  
12 pregnant. There was a decline in implants in the U.S. EPA  
13 study. Then there was an increase in birth defects in a  
14 number of the studies, including the Thompson and the  
15 Murray study. And I didn't count the Ruddick study  
16 because of the maternal toxicity effects that were  
17 observed at the higher exposures.

18           And then I also noted there were a number of  
19 studies that looked at embryo toxicity, and it didn't  
20 mention, but that zebrafish study was actually a very nice  
21 study that looked at effects on the -- this is the -- oh,  
22 and also the Lim study, which I didn't mention, which is  
23 not a study of directly looking at birth defects, but was  
24 evaluating maternal exposures to chloroform really to look  
25 at glucose tolerance tests in the out -- in the offspring.

1           But what's interesting about that study was there  
2 was consistent postnatal decrements in growth among the  
3 offspring. So while they didn't see necessarily decreases  
4 fertile -- fetal weights, they saw that the pups, as they  
5 were growing, were consistently -- those exposed to  
6 chloroform had consistently lower weights compared to the  
7 controls.

8           And finally, that Teixidó study -- I don't know  
9 if I'm pronouncing that correct -- in the zebrafish looked  
10 at a number of different developmental outcomes. And  
11 because that was an experimental study, and performed more  
12 recently in 2015, was quite a nice study that found a  
13 number of different effects on the offspring in the  
14 zebrafish.

15           That's it.

16           CHAIRPERSON GOLD: Thank you.

17           Dr. Plopper, do you have anything to add?

18           COMMITTEE MEMBER PLOPPER: Yeah. For not much I  
19 think they've covered just about everything. I just  
20 wanted to point out that the one study that -- by gavage  
21 at 1 dose did find problems with neuromotor control of the  
22 forelimb, which would indicate that there was some sort of  
23 a disruption there for development.

24           And I agree with the last comments that the  
25 changes in the zebrafish in vitro were quite startling,

1 quite interesting, but then there were clear developmental  
2 changes there. And it was inhibiting how that attached  
3 for the rest of it. It's all in vitro, but at least that  
4 means there's a control dose.

5 CHAIRPERSON GOLD: Thank you.

6 Anymore comments or questions by the Panel  
7 pertaining to the animal developmental studies?

8 Okay. So we'll go to our final category, which  
9 is the animal female reproductive studies. And, Dr.  
10 Plopper, you're going to lead us off and then Dr.  
11 Auyeung-Kim, you will be our secondary discussant.

12 COMMITTEE MEMBER PLOPPER: Okay. There were 10  
13 studies in this category. There's 11, but we addressed  
14 the -- most of these are -- have already been discussed in  
15 other aspects. There were 4 of these that were inhalation  
16 studies, and maybe we can talk about those first in --  
17 either in rats or in mice.

18 And the Schwetz study actually was -- had  
19 variable -- exposure over 6 to 15 days of gestation in  
20 pregnant rats, and did, in fact, find changes at the  
21 highest concentration, which included changes in ratios of  
22 male to female, and fetal body weights. I don't know if  
23 you consider those reproductive or not.

24 And there were changes in body weights of the  
25 mothers down to, but not including, the lowest of the 3

1 doses.

2           There was also changes in the other inhalation  
3 exposures with the Wistar rats. The mothers had  
4 considerable problem with body weight and food  
5 consumption, but the drop in fetal body weight was  
6 significant, but that was pretty much some skeletal  
7 abnormalities.

8           The same was true for both of the studies by  
9 Baeder and Hoffman. There were some changes there. And  
10 they were reasonably well-controlled studies. The mouse  
11 inhalation study showed really marked changes with  
12 exposure, as Dr. Campbell has already said, at the  
13 lowest -- in the low -- the 1 to 5 day gestational ages,  
14 and increases in absorption, decreases in fetal body  
15 weight, and crown rump length.

16           And then Ruddick study, which was -- also was by  
17 gavage or it was a drinking -- I'm sorry, that was a  
18 drinking study, also found some changes in fetal -- mostly  
19 in aberrations in the growth of the fetus. And so did the  
20 Thompson study really didn't find much except skeletal  
21 changes. Again, these were both studies where it was oral  
22 during gestational time. And the Chapin study, which was  
23 a gavage study, must have been a tremendous amount of  
24 work, did not really show much that was significantly  
25 different, except some changes in fertility index.

1           And then finally, the Thompson study, again oral  
2 in rats -- rabbits, had some problems with skeletal were  
3 the significant things, and some changes with fetal  
4 viability down.

5           And I think that -- maybe that's pretty much it  
6 for the start of those. So maybe we could discuss that  
7 first and then talk about this multi-generational study at  
8 the end, because there's a lot -- that's another whole  
9 story completely. So that was...

10           CHAIRPERSON GOLD: Okay. That sounds fine.

11           Dr. Auyeung-Kim, do you want to add anything to  
12 this part?

13           COMMITTEE MEMBER AUYEUNG-KIM: No, I think Dr.  
14 Plopper as well as Dr. Campbell have covered everything  
15 that I was going to say.

16           CHAIRPERSON GOLD: Okay. So well done. So now  
17 we could -- I think, if you want to address the  
18 multi-generational studies Dr. Plopper.

19           COMMITTEE MEMBER PLOPPER: Yes, this is an  
20 interesting study that Dr. Campbell has outlined very well  
21 already. But it's 3 -- 2 different, an F/0, an F/1, and  
22 an F/2. And some of the study they abandoned, but the  
23 issue was -- the thing that -- one of the concerns I had  
24 with this study, besides the fact that it was never  
25 actually published, which probably would have helped



1 interpretation tremendously, is that they only did a toxic  
2 evaluation of the mothers -- and the males and the females  
3 from 2 groups, the F/0 group and the F/1B. So we don't  
4 really know what the full range of toxicity to the males  
5 and females is in all the other groups.

6           And that can sort of impact how the rest of it is  
7 evaluated. But for those 2 groups, they had the 3 doses  
8 is a 0, which was a vehicle, and then a naive, and then a  
9 0.1, 1.0, and 5.0. And these were exposed continuously as  
10 they mixed up. The strength of this study is they did a  
11 really excellent job of trying to randomize everything  
12 within these various groups, so that as not to generate  
13 any extra bias. And they did find significant drops in  
14 the mating index, particularly in the F/1 and F/2 groups,  
15 not in all of them, just in part of them.

16           They found a change in gestational index again in  
17 F/1 and F/2, but not in all of those. There are 5  
18 different -- 3 F/1s and 2 F/2s that were being considered  
19 here. Unfortunately, one of them they didn't have  
20 controls for, so I don't know how it fits into this. Pups  
21 per litter were down, and as was viability index for the  
22 pups was also down, and lactation for the mothers index in  
23 2 groups was down, and then postnatal body weight was  
24 down.

25           Now, these were all in the high concentration

1 group, except for a few, and that includes the viability  
2 index in one of those groups. But remember, we have 5  
3 groups, it only showed up in 1.

4           And the same is true for the mating index was  
5 also down in one of these -- at one of the very low doses.  
6 So it did appear that there -- that there was some sort of  
7 a negative impact on, I don't know if you'd call it,  
8 reproduction fertility, all of the functional things that  
9 one would expect when you have actively breeding males and  
10 females put together in a relatively random fashion and  
11 record it as it goes along.

12           My main concern was there wasn't enough pathology  
13 at the end of this study. And, of course, it wasn't  
14 published, but there -- if we're not going to consider the  
15 fact that we don't know what the toxicity for all but one  
16 of these F/1 and F/2 groups is for this compound with this  
17 type of exposure, there -- at the highest concentration,  
18 there was, in fact, a change. It's some kind of  
19 indication of reproductive problems that seem to go on  
20 from generation to generation almost, but it's not a  
21 complete -- none of them are complete. There are groups  
22 in each F/1 and F/2 that didn't really have a significant  
23 change.

24           So I don't know if that's -- that's a start  
25 anyway.

1 CHAIRPERSON GOLD: Okay. Thank you,  
2 Dr. Auyeung-Kim, do you want to add to this?

3 COMMITTEE MEMBER AUYEUNG-KIM: I think that in  
4 looking at the study that they evaluated only at the high  
5 dose is that I think wasn't -- wasn't the -- if I recall  
6 correctly, the animals were -- fell sleep, where they  
7 were --

8 COMMITTEE MEMBER BASKIN: It's hard to mate if  
9 you're sleeping.

10 (Laughter.)

11 COMMITTEE MEMBER AUYEUNG-KIM: Yeah. So I think  
12 that that contributes to some of the findings.

13 COMMITTEE MEMBER PLOPPER: Right.

14 COMMITTEE MEMBER AUYEUNG-KIM: Human or animals.

15 COMMITTEE MEMBER PLOPPER: Yeah, it definitely  
16 did depress brain function. And these animals were not  
17 awake and not functioning, yes, I agree.

18 COMMITTEE MEMBER BASKIN: I mean, on the other  
19 hand, it did seem to pass through the generations, which  
20 could not be explained by that.

21 CHAIRPERSON GOLD: Okay. Sorry. Anyone have  
22 anything to add regarding the female reproductive studies  
23 in the animals?

24 Any other questions, comments?

25 Okay. Well, I think then we can open the Panel

1 up to just discussing developmental and reproductive  
2 toxicity of chloroform in general before we vote, whether  
3 there are any issues remaining that we haven't yet  
4 discussed or questions that remain unanswered?

5           Everybody is very quiet. Does that mean we're  
6 ready to vote, yes?

7           COMMITTEE MEMBER WOODRUFF: Yes.

8           CHAIRPERSON GOLD: Okay. I don't want to rush  
9 anybody.

10           Okay.

11           COMMITTEE MEMBER WOODRUFF: I'm ready. I don't  
12 know about anybody else.

13           CHAIRPERSON GOLD: Anybody not ready, let's put  
14 it that way?

15           Everybody is ready. Okay.

16           All right. So we'll start with male reproductive  
17 toxicity. We have formal language. So Has chloroform  
18 been clearly shown through scientifically valid testing,  
19 according to generally accepted principles to cause male  
20 reproductive toxicity? All those voting yes, raise your  
21 hand.

22           (No hands raised.)

23           CHAIRPERSON GOLD: I see 0.

24           All those voting no?

25           (Hands raised.)

1 CHAIRPERSON GOLD: Five, six, seven.

2 Any abstentions?

3 I count none, but just checking.

4 Okay. You have a question.

5 COMMITTEE MEMBER WOODRUFF: Yeah, because we're  
6 going to go on to the female, is that right?

7 CHAIRPERSON GOLD: Yes.

8 COMMITTEE MEMBER WOODRUFF: So if we see some of  
9 these effects or we're concerned about some of these  
10 effects about reabsorption, or not -- less successful  
11 pregnancies, is that -- we just had this. We can consider  
12 that a developmental, right?

13 CHAIRPERSON GOLD: So as I understand it, it can  
14 be considered either developmental or female reproductive.  
15 And if there's any guidance as to which category we should  
16 decide it in, that would be appreciated.

17 COMMITTEE MEMBER WOODRUFF: I know. I've known  
18 this for a while.

19 DR. DONALD: Yes, there are many endpoints.  
20 Well, as we all know, male, female -- male and female  
21 reproduction and development are not independent  
22 categories. They're all biologically interrelated. So  
23 it's really the Committee's prerogative to decide whether  
24 you think the evidence for any particular endpoint reaches  
25 your standard of clearly shown. And then also your

1 prerogative to decide whether you believe it's indicative  
2 of developmental or male or female reproductive toxicity,  
3 or some combination of those.

4 COMMITTEE MEMBER WOODRUFF: Can we vote on the  
5 developmental first then?

6 CHAIRPERSON GOLD: Okay. There's no problem with  
7 that, I don't believe, but -- I mean, I think the issue is  
8 particularly -- and correct me if I'm wrong -- with  
9 spontaneous abortions and stillbirths in humans and  
10 resorptions in animals, or lower litter size that it could  
11 be a female -- you know, an issue with a female exposure,  
12 it can be an issue with -- some issue with the fetus  
13 itself. And so it's not a clear distinction. You can --

14 DR. DONALD: That's exactly right.

15 CHAIRPERSON GOLD: -- pretty decide which basket  
16 you want to put it in.

17 DR. DONALD: That's exactly right, so it may be a  
18 direct effect on the conceptus. It may be entirely  
19 mediated through some effect on the female reproductive  
20 system that makes it incapable of supporting the  
21 pregnancy, or it may be both. So the empirical outcome is  
22 usually clear and is clearly an effect on development.

23 Usually, it comes down to a question of how well  
24 you understand the mechanism by which that empirical  
25 outcome is being induced as to whether you can also

1 determine whether it's an effect on the female  
2 reproductive system.

3 CHAIRPERSON GOLD: Yeah, and I just mentioned 2  
4 outcomes, but really I guess you could consider like  
5 pre-term birth, and small for gestational age, and low  
6 birth weight as also not -- either -- it could be either  
7 one, either the fetus or --

8 DR. DONALD: Yes, there are many developmental  
9 outcomes that can be directly impacted by effects on the  
10 female reproductive system. There are others where it's  
11 less likely that that's happening. But again, it's  
12 usually a question of how well do we understand the  
13 mechanism or multiple mechanisms by which those effects  
14 are being induced, whether we can attribute it to effects  
15 on female or male reproductive function or a direct effect  
16 on development.

17 CHAIRPERSON GOLD: I don't mean to leave the male  
18 out. I guess that's a possibility as well.

19 All right. So the request is that we take up  
20 developmental toxicity next. Okay. So we'll be voting on  
21 that.

22 So according to general accepted principles --  
23 sorry. Has chloroform been clearly shown through  
24 scientifically valid testing, according to generally  
25 accepted principles to cause developmental toxicity? All

1 those voting yes, please raise your hand.

2 (Hands raised.)

3 CHAIRPERSON GOLD: I see 3, 4, 5. Going, going  
4 1, 2, 3, 4, 5. Yes.

5 All those voting no?

6 (Hands raised.)

7 CHAIRPERSON GOLD: One, two.

8 Okay.

9 And no abstentions, correct?

10 Right.

11 So now we'll go back to female reproductive  
12 toxicity. Has chloroform been clearly shown through  
13 scientifically valid testing, according to generally  
14 accepted principles to cause female reproductive toxicity?  
15 All those voting yes, please raise your hand.

16 (No hands raised.)

17 CHAIRPERSON GOLD: I see 0.

18 All those voting no?

19 (Hands raised.)

20 CHAIRPERSON GOLD: 1, 2, 3, 4, 5, 6, 7.

21 Everybody raise your hand if you're voting no?

22 (Hands raised.)

23 CHAIRPERSON GOLD: I see 7.

24 Those abstaining should be easier?

25 (No hands raised.)



1 CHAIRPERSON GOLD: No one.

2 Okay. So I'm supposed to announce the results.  
3 So for male reproductive toxicity, we had 0 voting yes, 7  
4 voting no, and no abstentions. For female reproductive  
5 toxicity, we had 0 voting yes, 7 voting no, and 0  
6 abstentions. And for developmental toxicity, we had 5  
7 voting yes, 2 voting no, and 0 abstentions.

8 Okay.

9 ACTING DIRECTOR ZEISE: So I just want -- hi,  
10 this is Lauren Zeise. And I'd just like to interpret this  
11 result in terms of Proposition 65 listing. So there are,  
12 I believe, 8 members -- no, 9 members currently of DART  
13 Committee members. And what is required for listing is  
14 that the majority of the appointed members vote  
15 positively. So we have 5 votes. So this will be --  
16 chloroform will be added to the Proposition -- or remain  
17 on the Proposition 65 list, and the parenthetical will be  
18 developmental toxicity.

19 CHAIRPERSON GOLD: Okay. So having completed  
20 that -- by the way, thank you to the staff for really  
21 excellent detailed, evaluations, reviews, and  
22 presentations.

23 Do you have something you want to say?

24 COMMITTEE MEMBER WOODRUFF: Yes, I do. Thank  
25 you. Yes, I wanted to echo that. And I think that the

1 presentation that -- I said this already, but I'm going to  
2 say it again, is that the presentation of the epidemiology  
3 studies graphically was very helpful. And I think this  
4 can also be done with the animal studies. And NTP has a  
5 program to do this now that's well vetted. And I just  
6 think -- I'm not going to think. I've asked about this  
7 before, so I want to see it at the next meeting. That's  
8 what I'm -- so...

9 CHAIRPERSON GOLD: Okay. Thank you.

10 So our next agenda item has to do with an update  
11 on the Section 27000 list of chemicals which have not been  
12 adequately tested as required. And I believe Carol  
13 Monahan-Cummings is going to update us.

14 (Thereupon an overhead presentation was  
15 presented as follows.)

16 CHIEF COUNSEL MONAHAN CUMMINGS: Right. So this  
17 is the other Prop 65 list. As you may recall, we've  
18 looked at this issue in the past. The law requires 2  
19 different lists. The one that you were just talking about  
20 is the one that most people are aware of. And that's the  
21 list of chemicals that are known to cause cancer or  
22 reproductive toxicity.

23 However, there's another list that we call the  
24 Section 2700[sic] list, which is a list of chemicals that  
25 require testing that -- where the testing has not been

1 completed.

2           And so each year, we contact the U.S. EPA, a  
3 couple of different offices within U.S. EPA, and the  
4 California Department of Pesticide Regulation and ask them  
5 for updates on this list of chemicals that needs -- need  
6 testing.

7           And so earlier, I think in the last couple weeks,  
8 you got a letter from me that had attachments showing the  
9 responses we got from U.S. EPA and CDPR in regard to these  
10 chemicals. And so we're going to show you, I think, 3  
11 slides. The first one being a change to an existing  
12 chemical on the list sodium fluoride. We're suggesting  
13 that you agree with U.S. EPA, or DPR in this case, and  
14 agree that some of the testing has been satisfied, but  
15 that there still needs to be these 2 tests completed.

16           So I don't know -- Dr. Gold, would you like me to  
17 go through all of these first and then you guys can vote  
18 once? Does that sound all right?

19           CHAIRPERSON GOLD: Yeah, that sounds good.

20           CHIEF COUNSEL MONAHAN CUMMINGS: Okay. All  
21 right. So next slide.

22                                           --o0o--

23           CHIEF COUNSEL MONAHAN CUMMINGS: All right. So  
24 here's some chemical -- or endpoints that need to be added  
25 to the list for these chemicals that are on the slide

1 here. And they're either adding the chemical or a  
2 particular endpoint that needs testing. And this is base  
3 on information from DPR again.

4 And the last slide.

5 --o0o--

6 CHIEF COUNSEL MONAHAN CUMMINGS: According to  
7 U.S. EPA, these 3 chemicals, they've received all of the  
8 testing that they've requested. And so they're suggesting  
9 we take these 3 off of our list.

10 All right. So maybe if you don't mind, Dr. Gold,  
11 if you can ask the question whether or not the Committee  
12 wants to agree with U.S. EPA and DPR about these changes  
13 to the list?

14 CHAIRPERSON GOLD: So I guess the question is  
15 whether we want to agree with U.S. EPA or if we want to go  
16 through these one by one. Any sense of the Committee on  
17 that?

18 CHIEF COUNSEL MONAHAN CUMMINGS: Well, we've got  
19 a protocol, so I suppose we could just go ahead and  
20 follow. We can go back to the first slide.

21 CHAIRPERSON GOLD: Okay. So the first one has to  
22 do with sodium fluoride.

23 CHIEF COUNSEL MONAHAN CUMMINGS: You want to go  
24 back to that.

25 CHAIRPERSON GOLD: Is that correct?

1 CHIEF COUNSEL MONAHAN CUMMINGS: Right.

2 CHAIRPERSON GOLD: And whether this is partially  
3 satisfied, and so should it be removed from the list is  
4 the question you're asking us?

5 CHIEF COUNSEL MONAHAN CUMMINGS: No. This is to  
6 add these 2. Woops, I'm sorry.

7 Okay. So there's 2 endpoints that we want to  
8 remove from the list for sodium fluoride, because they've  
9 already been satisfied.

10 CHAIRPERSON GOLD: So I have a question. It  
11 seems like this would be more --

12 CHIEF COUNSEL MONAHAN CUMMINGS: Of course,  
13 this -- it looks like it's a cancer endpoint.

14 CHAIRPERSON GOLD: This is would be a cancer  
15 endpoint, so shouldn't it go to the carcinogenesis --

16 CHIEF COUNSEL MONAHAN CUMMINGS: And it will. It  
17 will. And so --

18 COMMITTEE MEMBER WOODRUFF: But if it's not been  
19 tested for development and reproduction, does it stay on  
20 the list?

21 CHIEF COUNSEL MONAHAN CUMMINGS: Correct. If  
22 you'd look in your materials. I don't know if -- do they  
23 have the full list? I don't have it.

24 MS. RAMIREZ: They're in the meeting materials.

25 CHIEF COUNSEL MONAHAN CUMMINGS: Okay.

1           Sorry. What we normally do is just give you the  
2 full list of the chemicals that the 2 departments have  
3 given us, and we don't separate them out for whether  
4 they're reproductive or carcinogenic testing.

5           You might decide to do that in the future. It  
6 would be probably make more sense, though the statute  
7 actually just says the State's qualified experts have to  
8 make the decision. And so that's both this Committee, as  
9 well as the CIC Committee.

10           CHAIRPERSON GOLD: So can I just clarify, is the  
11 EPA saying it should be tested for oncogenicity, or is it  
12 saying it needs testing for both oncogenicity and  
13 reproductive toxicity?

14           CHIEF COUNSEL MONAHAN CUMMINGS: Could you give  
15 me that?

16           She's giving it to the wrong person.

17           CHAIRPERSON GOLD: Oh, we have this long list, is  
18 that what you're referring to?

19           CHIEF COUNSEL MONAHAN CUMMINGS: Correct.  
20 Michelle, maybe I could see that, since I don't have a  
21 copy and they do.

22           CHAIRPERSON GOLD: Yeah. So this was in  
23 something that was recently sent to the Committee --

24           CHIEF COUNSEL MONAHAN CUMMINGS: Correct.

25           CHAIRPERSON GOLD: -- like about 10 days ago.

1 And so according to my list it says teratology in the rat,  
2 teratology in the rabbit.

3 CHIEF COUNSEL MONAHAN CUMMINGS: Could I see  
4 that, Michelle?

5 So what you should have is a markup of what we're  
6 trying to change for each of these chemicals. And, for  
7 example, for sodium fluoride, there's still -- what we're  
8 saying is that we want to take these 2 oncology tests off,  
9 because they've been satisfied, but there are still  
10 testing -- there's still testing requirements for repro  
11 and teratogenicity in the rat and the rabbit. And so this  
12 group -- I mean, it's still going to be tested for that.  
13 Does that make sense?

14 CHAIRPERSON GOLD: Okay. So you're asking for  
15 the concurrence of the Committee to remove it for  
16 oncogenicity, but to retain it for teratogenicity.

17 CHIEF COUNSEL MONAHAN CUMMINGS: Correct, right.

18 CHAIRPERSON GOLD: Okay. And we have to take a  
19 formal vote, is that --

20 CHAIRPERSON GOLD: Well, yes. Unfortunately,  
21 there's not a way around that. We have to have your  
22 concurrence on that in order to change the list.

23 CHAIRPERSON GOLD: Except the voting thing I have  
24 I don't think goes --

25 CHIEF COUNSEL MONAHAN CUMMINGS: Well, the first

1 one says that...

2 CHAIRPERSON GOLD: This one says should the 5  
3 chemicals, so it's asking us to vote on 5 of them at once.

4 CHIEF COUNSEL MONAHAN CUMMINGS: So that's the  
5 next slide, I think.

6 CHAIRPERSON GOLD: Okay. Oh, I see, so you think  
7 maybe I've gone one for one endpoint.

8 CHIEF COUNSEL MONAHAN CUMMINGS: They're not  
9 necessarily in the order of the slides unfortunately.

10 COMMITTEE MEMBER AUYEUNG-KIM: So basically  
11 there's a list of studies that were request to be  
12 conducted and we're asking to approve that they remove  
13 those 2, because they've been satisfied?

14 CHIEF COUNSEL MONAHAN CUMMINGS: Correct.

15 COMMITTEE MEMBER AUYEUNG-KIM: Okay.

16 CHIEF COUNSEL MONAHAN CUMMINGS: And on this  
17 particular slide, we're talking about adding these  
18 testing -- these requirements for testing that haven't  
19 been completed yet. So I --

20 CHAIRPERSON GOLD: So the vote -- ballot that I  
21 have doesn't specify the chemicals, but it specifies the  
22 number of endpoint or the number of chemicals. And  
23 so this one --

24 CHIEF COUNSEL MONAHAN CUMMINGS: Right. So the  
25 first one on here, I believe, is for this slide.



1           CHAIRPERSON GOLD: Is for these 5. So the  
2 question is whether these 5 chemicals --

3           CHIEF COUNSEL MONAHAN CUMMINGS: If we're adding  
4 the end --

5           CHAIRPERSON GOLD: -- the 5 chemicals, as  
6 identified, have endpoints added is the question?

7           CHIEF COUNSEL MONAHAN CUMMINGS: Right.

8           CHAIRPERSON GOLD: Have added the list of  
9 chemicals.

10           CHIEF COUNSEL MONAHAN CUMMINGS: Right, so these  
11 5 chemicals we're adding these studies, not really  
12 endpoints, but these studies that we're -- that are still  
13 required to be done, based on what CDPR has advised us.  
14 So you're -- the question is whether you agree that we  
15 should add these studies as still needing to be done based  
16 on the advice of CDPR?

17           CHAIRPERSON GOLD: Okay. And we have to vote on  
18 each of your 3 slides basically. So the first one we're  
19 voting on is the slide that's currently up, which has 5  
20 chemicals on it with various outcomes listed.

21           Are we ready to vote?

22           COMMITTEE MEMBER WOODRUFF: So these are going to  
23 be added?

24           CHIEF COUNSEL MONAHAN CUMMINGS: Correct. These  
25 are added studies that are needed.

1 CHAIRPERSON GOLD: So maybe I should read what  
2 the vote says, so you'll understand what you're voting on?

3 Based upon the information you've been provided  
4 from the California Department of Pesticide Regulation,  
5 should the 5 chemicals as identified on the Section 27000  
6 slides have endpoints added the list of chemicals required  
7 by State or federal law to be tested, but which have not  
8 been adequately tested as required?

9 That's what you're voting on for this particular  
10 slide, this particular set of chemicals.

11 Are we ready to vote?

12 Okay. Among all those voting yes, please raise  
13 your hand.

14 (Hands raised.)

15 CHAIRPERSON GOLD: I see 7.

16 All those voting no, please raise your hand.

17 (No hands raised.)

18 CHAIRPERSON GOLD: I see 0.

19 And all those abstaining --

20 (No hands raised.)

21 CHAIRPERSON GOLD: -- should be also be 0.

22 Okay. So the result is that we have 7 votes of  
23 yes, and 0 voting no or abstaining.

24 Okay. And then the next one.

25 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. This is

1 the one that we were mentioning before. So the idea is  
2 that these 2 would be eliminated but there's still a  
3 number of other tests that are still needed, including  
4 reproductive tests.

5 CHAIRPERSON GOLD: Okay. So the next vote is  
6 pertaining to the slide that's currently up about sodium  
7 fluoride. Okay. And the vote reads based upon the  
8 information you've been provided from the California  
9 Department of Pesticide Regulation, should the 1 chemical  
10 as identified in the Section 27000 slides have endpoints  
11 removed from the list of chemicals required by State or  
12 federal law to be tested, but which have not been  
13 adequately tested as required?

14 Ready to vote?

15 Okay. All those voting yes, please raise your  
16 hand.

17 (Hands raised.)

18 CHAIRPERSON GOLD: I see 1, 2, 3, 4, 5, 6, 7.

19 Voting no should be zero.

20 (No hands raised.)

21 CHAIRPERSON GOLD: Abstentions?

22 (No hands raised.)

23 CHAIRPERSON GOLD: Zero.

24 Okay. So we have 7 votes yes, 0 votes no, and 0  
25 abstentions.

1           Okay. And then the final 3 chemicals that are  
2 listed on the slide that's currently up.

3           Based on the information you've been provided  
4 from the U.S. EPA, should the 3 chemicals as identified on  
5 the Section 2700[sic] slides be removed from the list of  
6 chemicals required by the State or -- required by State or  
7 federal law to be tested, but which have not been  
8 adequately tested as required?

9           COMMITTEE MEMBER WOODRUFF: Can I ask a question?

10          CHAIRPERSON GOLD: Yes.

11          COMMITTEE MEMBER WOODRUFF: Did we -- so they  
12 have all their tests -- the teratogen -- I don't see what  
13 the testing is that's been done on them. Is that --

14          CHAIRPERSON GOLD: That was the long list.

15          COMMITTEE MEMBER WOODRUFF: Oh, it was in the  
16 long list I can't find in my email. Okay. Fine.

17          CHIEF COUNSEL MONAHAN CUMMINGS: So according to  
18 the markup list that we gave you, this would be removing  
19 the entire chemical off the list, because all of the  
20 testing has been satisfied.

21          CHAIRPERSON GOLD: Okay. Are we ready to vote?

22          Do we need to read it again or -- No. Okay.

23          All those voting yes, please raise your hand.

24          (Hands raised.)

25          CHAIRPERSON GOLD: Five, six, seven.

1 Any no votes?

2 (No hands raise.)

3 CHAIRPERSON GOLD: Zero.

4 Abstentions?

5 (No hands raised.)

6 CHAIRPERSON GOLD: Zero

7 So we have 7 voting yes, 0 voting no, and no  
8 abstentions.

9 Okay. Do we need a break?

10 CHIEF COUNSEL MONAHAN CUMMINGS: I think we're  
11 done.

12 CHAIRPERSON GOLD: Well we have any further staff  
13 updates, I guess.

14 ACTING DIRECTOR ZEISE: Yeah, we already did that

15 CHAIRPERSON GOLD: We did all of them.

16 CHIEF COUNSEL MONAHAN CUMMINGS: That was at the  
17 beginning.

18 CHAIRPERSON GOLD: And So now, Dr. Zeise, is  
19 going to summarize what we did today.

20 ACTING DIRECTOR ZEISE: Okay.

21 CHAIRPERSON GOLD: Okay.

22 ACTING DIRECTOR ZEISE: So the -- so to first to  
23 summarize the actions. The Committee deliberated on  
24 chloroform and -- which was on -- is on the Proposition 65  
25 list. And their action was to vote 5 in favor, 2 against

1 for chloroform to be known to cause reproductive toxicity  
2 for the developmental endpoints. So chloroform will  
3 remain on the Proposition 65 list for the developmental  
4 endpoint.

5           The Committee also unanimously voted on chemicals  
6 in the Section 27000 to add 5 chemicals -- 5 chemicals for  
7 studies that are required by DPR, then to remove for  
8 sodium chloride -- fluoride oncogenicity studies, that  
9 are -- have been conducted, and then to take 3 chemicals  
10 off that list, because they have been adequately tested  
11 according to U.S. EPA.

12           So I guess to conclude, I'd like to conclude with  
13 some thank yous. Thank you to the Committee members for  
14 the enormous amount of time that you take reviewing the  
15 materials, and taking time out of your busy schedules to  
16 be here. We really appreciate it. You've donated a lot  
17 of time to the State and expertise to us. So again,  
18 really much appreciated.

19           Also, I'd like to thank the staff for all the  
20 hard work that they did. And we heard from many Committee  
21 members appreciation for all that work to tee up the  
22 discussions for them.

23           And also for the implementation staff for all of  
24 the work you take to organize these meetings, much, much  
25 appreciated. And to the members of the public for

1 participating by webcast and in person and for your  
2 comments.

3           So thank you so much. And with that, I guess  
4 we'll adjourn the meeting and safe travels home.

5           CHAIRPERSON GOLD: I think we are now adjourned.

6           (Thereupon the Developmental and  
7 Reproductive Toxicant Identification  
8 Committee adjourned at 1:48 p.m.)

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

## 1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand  
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the  
5 foregoing California Office of Environmental Health Hazard  
6 Assessment, Developmental and Reproductive Toxicant  
7 Identification Committee was reported in shorthand by me,  
8 James F. Peters, a Certified Shorthand Reporter of the  
9 State of California, and thereafter transcribed under my  
10 direction, by computer-assisted transcription.

11 I further certify that I am not of counsel or  
12 attorney for any of the parties to said meeting nor in any  
13 way interested in the outcome of said meeting.

14 IN WITNESS WHEREOF, I have hereunto set my hand  
15 this 7th day of November, 2016.

16  
17  
18  
19   
20  
21

22 JAMES F. PETERS, CSR, RPR  
23 Certified Shorthand Reporter  
24 License No. 10063  
25