

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT

---o0o---

SAFE DRINKING WATER AND TOXIC ENFORCEMENT ACT OF 1986
(PROPOSITION 65)

---o0o---

MEETING OF THE SCIENCE ADVISORY BOARD'S
CARCINOGEN IDENTIFICATION COMMITTEE (CIC)

---o0o---

TUESDAY, DECEMBER 18, 2001

---o0o---

HELD AT:

California Environmental Protection Agency
Headquarters Building
1001 I Street
Sacramento, California

Reported By: PHYLLIS MANK, CSR No. 5093

ORIGINAL

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

INDEX

---o0o---

<u>AGENDA ITEM</u>	<u>PAGE</u>
I Introduction and Opening Remarks	
By Dr. Joan Denton	6
II Consideration Of Chemicals Known To The State To Cause Cancer	
A. Allyl isovalerate	
Staff presentation	
By Dr. John Faust	8
Committee discussion	15
Public Comments	
By Dr. Jay Murray	22
Committee discussion and decision	29
B. N-carboxymethyl-N-nitrosourea	
Staff presentation	
By Dr. Thomas McDonald	36
Committee discussion	43
Public Comments	N/A
Committee discussion and decision	44
III Consideration Of Chemicals For Possible Removal From The List Of Chemicals That Have Not Been Adequately Tested	

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

INDEX (Continued)

---o0o---

AGENDA ITEM

PAGE

A.	PCP (onc rat), maneb (onc) and propachlor (onc)	
	Staff presentation	
	By Ms. Colleen Heck	50
	Committee discussion	52
	Public Comments	N/A
	Committee discussion and decision	55

IV Staff Updates

	Chemicals added via the administrative listing mechanism	
	By Ms. Cynthia Oshita	55
	Chemicals [*] proposed via the administrative listing mechanism	
	By Ms. Cynthia Oshita	55
	Prioritization process/ Random selection	
	By Ms. Colleen Heck	56
	Proposition 65 litigation and rulings	
	By Ms. Colleen Heck	56
	Public Comments	N/A



1 this is the first time we've had an opportunity to host
2 a meeting of the CIC, and yesterday we had a meeting of
3 the Developmental and Reproductive Toxicant Committee.
4 We hope that in future years we'll be able to at least
5 have some of those meetings in this facility.

6 I guess that's all I had to say. We have copies
7 of the agenda in the back.

8 So with that, Colleen.

9 MS. HECK: I just want to get one procedural
10 issue out of the way before we get into the meat of the
11 meeting; and that is, you'll notice that there's five of
12 you and typically there are seven.

13 I just want to clarify that OEHHA has received a
14 legal opinion from the Attorney General's Office that
15 this is a properly-constituted quorum, that it is lawful
16 to meet with less than the typical seven.

17 But there is one additional wrinkle, which is,
18 under the counting rules for votes and what it would
19 take to take action typically to list or de-list a
20 chemical, four votes are still required even though
21 there are only five.

22 Because typically this committee should consist
23 of seven, a majority of what your normal composition is
24 required, so that's four. So even though there are five
25 of you, it will still take four votes to take any action

1 by this committee.

2 DR. DENTON: Thank you. I'll turn it over to
3 Dr. Peters.

4 CHAIRMAN PETERS: This is my first opportunity
5 to chair this group, and I'm asking Dr. Denton to stay
6 close to my right elbow and prompt me if I make any
7 procedural mistakes.

8 The first thing we want to consider is the
9 compound allyl isovalerate, and as I understand it, we
10 will first have a staff presentation.

11 Dr. Faust.

12 DR. FAUST: Yes, good morning.

13 The first compound under consideration is allyl
14 isovalerate. Shown here on the first slide are the
15 chemical structure of this branch-chained allyl ester,
16 it's molecular weight and CAS registry number.

17 The primary use of allyl isovalerate is as a
18 flavoring agent with fruit-like organoleptic properties.
19 The compound is synthetic and is not known to occur
20 naturally.

21 The Food and Drug Administration has included
22 the compound on a list of chemicals which may be safely
23 used in foods.

24 Although recent data on levels to which people
25 may be exposed were not located, available data indicate

1 the concentrations in food in which it is present are
2 frequently in excess of ten parts per million.

3 Given allyl isovalerate approved use as a
4 flavoring agent and its reported levels in some foods,
5 it is expected that widespread exposure of the general
6 population to low levels of this compound may occur.

7 This slide summarizes the available data
8 regarding the carcinogenicity of allyl isovalerate. No
9 data were available from humans.

10 With respect to experimental animals, long-term
11 exposure studies were initiated under the National
12 Cancer Institute's Carcinogenesis Testing Program and
13 later published by the National Toxicology Program.

14 Briefly, male and female B6C3F1 mice and Fisher
15 344 rats (50 per group) were treated by oral gavage with
16 two doses of allyl isovalerate in corn oil with an equal
17 number of control animals receiving corn oil alone.
18 Treatments were five days a week for 103 weeks.

19 The B6C3F1 mice originated from a C3H parental
20 strain with a high degree of variance at one to three
21 genetic loci. However, control and treated groups are
22 expected to have the same degree of genetic
23 heterogeneity.

24 The slides that follow describe the primary
25 tumor data from these experiments.

1 Among male rats, a significant increase in
2 mononuclear cell leukemia was observed in the high dose
3 group. This increase showed a significant positive
4 linear trend.

5 A significant increase in combined adenomas and
6 carcinomas of the preputial gland was also observed in
7 the low dose group, but not in the high dose group,
8 although two such tumors were observed. The test for
9 linear positive trend was not significant.

10 Among female rats, no significant increases in
11 tumor incidences were observed in allyl
12 isovalerate-treated animals, although a marginally
13 positive linear trend for combined leukemias was
14 observed.

15 Among male B6C3F1 mice, a marginally positive
16 linear trend was observed for squamous cell papillomas
17 of the gastric mucosa, although the increase was not
18 statistically significant in either of the treatment
19 groups relative to the control group.

20 In female mice, overall survival was reduced in
21 the low dose group due to what NTP called suppurative
22 lesions in the ovary and uterus.

23 Among female mice, a significant positive trend
24 was observed for histiocytic malignant lymphomas,
25 although the increase was only marginally statistically

1 significant in either of the treated groups relative to
2 the control group by Fisher's exact test.

3 When all lymphomas were combined, there was a
4 marginally significant increase in the incidence in the
5 high dose group relative to the controls and a
6 marginally significant positive linear trend.

7 Life Table analysis showed the increase in
8 incidence to be statistically significant in the high
9 dose group relative to controls.

10 This slide summarizes the overall animal data
11 from the NTP studies.

12 Male rats showed an increased incidence and
13 positive trend in mononuclear cell leukemia. An
14 increase in preputial gland tumor incidence was observed
15 in the low dose group alone.

16 Female rats showed a* marginally significant
17 positive trend in leukemias, primarily mononuclear
18 cell. Male mice showed a marginally positive trend in
19 papillomas of the gastric mucosa, and female mice showed
20 an increase in the incidence of malignant lymphomas with
21 a positive trend.

22 Allyl isovalerate has been tested in numerous in
23 vitro assays for genotoxicity, including bacterial and
24 mammalian assays. The compound did not induce
25 mutagenicity in several strains of Salmonella, with or

1 without metabolic activation.

2 Allyl isovalerate tested positive for increases
3 in both sister chromatid exchange and chromosomal
4 aberrations in Chinese hamster ovary cells.

5 A positive finding in a mouse lymphoma cell
6 assay has been reported by the National Toxicology
7 Program and is referred to in a paper by Tennant et al.,
8 although primary source for this information was not
9 located.

10 A positive finding -- allyl isovalerate did not
11 induce morphological transformation in the mouse 3T3
12 cells, nor did it induce sex-linked recessive lethal
13 mutations in *Drosophila*.

14 While allyl isovalerate has not been examined
15 for metabolism in vivo, a metabolic scheme has been
16 proposed for allyl esters and is presented in this
17 slide.

18 Allyl esters are proposed to be hydrolyzed to
19 allyl alcohol and a corresponding alkyl ester.
20 Allyl alcohol may then be hydrolyzed to acrolein or
21 glycidol. The alkyl ester, isovaleric acid, may be
22 converted to isovaleryl-Coenzyme A. Allyl alcohol and
23 acrolein may undergo epoxidation to glycidol and
24 glycidaldehyde respectively and then may be further
25 oxidized to glycerol and glyceraldehyde.

1 The proposed intermediate metabolites, which
2 appear in red, glycidol and glycidaldehyde, are on the
3 Proposition 65 list of chemicals known to cause cancer.

4 Glycidaldehyde has been shown to produce
5 application site tumors in skin painting and
6 subcutaneous injection studies. Glycidol produces
7 tumors at numerous sites in rats and mice following oral
8 administration.

9 Two compounds with some structural similarity to
10 allyl isovalerate, both allyl esters, have been tested
11 in long-term exposure studies. They are diallyl
12 phthalate and allyl hexanoate.

13 NTP reported equivocal evidence for
14 carcinogenicity of diallyl phthalate in male and female
15 mice. Males showed positive increasing trends for
16 lymphoma and lymphoma or leukemia. There were positive
17 trends for forestomach papillomas in both sexes.

18 Female rats showed equivocal evidence of
19 mononuclear cell leukemia. Positive tests for
20 chromosome aberrations and sister chromatid exchange
21 have been reported for this compound, although there's
22 negative Salmonella assays.

23 Allyl hexanoate was reported to induce bile duct
24 adenomas in rats treated in diet, although the details
25 available for this study were limited. No genotoxicity

1 data were located.

2 Overall, the evidence for the carcinogenicity of
3 allyl isovalerate includes positive findings of
4 hematopoietic tumors in male rats and female mice in
5 long-term gavage studies.

6 Other relevant evidence include positive
7 findings for genotoxicity, possible metabolic conversion
8 to carcinogenic compounds and structural similarity to
9 other compounds shown to cause tumors.

10 Two authoritative bodies have assessed the
11 carcinogenicity of allyl isovalerate.

12 The NTP concluded in their report of the
13 bioassay results that, under the conditions of these
14 studies, allyl isovalerate was carcinogenic for F344/N
15 rats and B6C3F1 mice, causing increased incidences of
16 hematopoietic system neoplasms, mononuclear cell
17 leukemia in male rats, and lymphoma in female mice.

18 IARC has placed allyl isovalerate in Group 3,
19 not classifiable as to its carcinogenicity to humans,
20 based on limited evidence in experimental animals and no
21 human data.

22 IARC described the animal evidence as follows.
23 Allyl isovalerate was tested for carcinogenicity by
24 gavage in mice and rats. In mice, it induced squamous
25 cell papillomas of the forestomach in males, and

1 increased the incidence of lymphomas in females. In
2 rats of both sexes, increases in the incidence of
3 mononuclear cell leukemia were observed.

4 That concludes the presentation.

5 CHAIRMAN PETERS: Thank you very much.

6 Are there any comments or questions from the
7 committee regarding this report?

8 DR. EASTMOND: I have one.

9 In the NTP evaluation when they tested -- well,
10 I guess I should back off.

11 On the diallyl phthalate where they saw the
12 similar sorts of tumors, although they saw the
13 increases, they considered those equivocal. Can you
14 kind of go through the rationale or why you think they
15 came up with that? Any explanation?

16 DR. FAUST: Well, I think it was because of the
17 trend verses the statistically significant increases in
18 the incidence.

19 DR. EASTMOND: You mean, the individual doses?

20 DR. FAUST: As I said, the mice showed positive
21 increasing trends for lymphoma or lymphoma or leukemia
22 in males and positive -- yes. Were you referring to the
23 rats or the mice?

24 DR. EASTMOND: Well, they're similar in both
25 cases. It seems like it describes there are some

1 positive trends for an increase, and yet they considered
2 it equivocal. In the parallel way, in this study here
3 we have positive trends are positive trends. Yet, in
4 this case, it's not called positive.

5 So I'm trying to get a sense of if you know. If
6 you don't know, that's okay.

7 DR. FAUST: I don't know the answer as to why
8 they called it equivocal, but I think it would rest with
9 the significance of the increase in the treated group
10 versus control.

11 CHAIRMAN PETERS: Are there any other comments
12 or questions from our committee?

13 Dr. Spangler.

14 DR. SPANGLER: I believe this compound is also
15 responsible for a decrease in several forms of neoplasms
16 in rats. How do you deal with that in your analysis of
17 the --

18 DR. FAUST: We presented the increases -- or
19 decreases in tumor incidence in the description of the
20 studies. We generally consider that an increase in
21 tumor incidence is a cause for concern, so it didn't
22 add --

23 DR. SPANGLER: In other words, it doesn't
24 impact your decision at all as to how you approach it?

25 DR. FAUST: No.

1 DR. SANDY: The purpose of the hazard
2 identification document is to present the evidence of
3 carcinogenicity to you, the committee, to make a
4 decision.

5 If you're referring to how we do a dose response
6 assessment, then that's a different question, and there
7 we're looking at the dose response and tumor response.

8 DR. SPANGLER: I was just thinking
9 philosophically, if you have a compound that maybe
10 marginally increases one type of hematopoietic neoplasm
11 in one sex, in rats for instance, but this compound
12 causes a dramatic decrease in four or five tumors that
13 normally occur in a population of rats, would that bring
14 any weight to bear on your decision at all as to how to
15 evaluate the compound?

16 DR. SANDY: Again, I* think we summarize all the
17 data and present the data for your committee for the
18 decision as to whether it's been clearly shown to cause
19 cancer.

20 CHAIRMAN PETERS: Dr. Landolph.

21 DR. LANDOLPH: There is a precedent for what I
22 think Dr. Spangler is alluding to, and I'm thinking of
23 dioxin, which has hormonal antagonistic properties, but
24 it's pretty widely accepted now as a carcinogen, a tumor
25 promoter, but it does decrease the incidence of tumors

1 at other sites. It just has hormonal antagonistic
2 properties, so there is precedent for that in other
3 situations.

4 CHAIRMAN PETERS: Dr. Felton.

5 DR. FELTON: The one thing that we hadn't really
6 talked about before, which I'd like to ask a question
7 about is, looking at these large control studies that
8 NTP did -- in one case there was seven sites in the rat,
9 and I think in six different locations in the mouse --
10 is this really -- this was in the data that you gave us
11 to look over -- is this really a good way to do this?

12 I mean, what you really want is to control data
13 from the same location where the tumor studies were
14 done, and yet the NTP took six or seven sites and got
15 large numbers, but I'm not convinced that's the best
16 comparison to use.

17 Now, you didn't use the argument of these large
18 control studies in your discussion, but I was trying to
19 use them in my mind, and I have trouble using that
20 data. I just wanted your comment on that.

21 DR. FAUST: Well, we included the information
22 because NTP had done so in their report. As far as how
23 you might weight it, that is your judgment. It's there
24 for you to use or not to use.

25 DR. FELTON: I think the data gives us a pretty

1 good background on that particular strain, but what you
2 really want to look at in controls is whether there's
3 some environmental impact in the location where the
4 particular tumor study went on, whether it's the feed or
5 the air or -- I don't know -- the cages.

6 So I have a hard time using that particular data
7 when we're so close on deciding whether there's
8 statistical significance here.

9 DR. EASTMOND: I have a minor question. With
10 regards to the historical control coincidence, I think
11 it's actually very important to the compound to look at
12 those and evaluate the responses in that context. In
13 some respect, a lot of these strike me as frequent -- in
14 some cases, they're outside of the historical range and
15 sometimes within it. *

16 If you look at the historical range given for
17 the same laboratory methods, the tests, which is on one
18 page, and then put that -- apparently that's a subset of
19 what's in the tables, so -- the information on the
20 bottom of page seven, for example, talks about
21 historical control incidences and gavage studies at
22 Southern Research Institute, it's a subset of what's
23 found on Table 4, the information on Table 4, but it
24 seems like some of them are outside of the range.

25 So the range reported on female mice was between

1 ten and 22 percent incidence in this particular
2 institute, and that's supposed to be a subset of this
3 other group, and yet the range in the other only goes to
4 18 percent.

5 So I didn't know if these were covering
6 different periods of time, or if this was just a minor
7 somehow discrepancy between -- it's a minor thing, but
8 I'm trying to get a feel for historical control
9 frequencies.

10 Does everyone follow what I'm saying? It's a
11 minor discrepancy, but it's fairly important when you
12 start looking at these because you are looking at fairly
13 high control frequencies, and then the treatment is
14 increased but it's not a great increase. So I'm trying
15 to get a feeling for that.

16 CHAIRMAN PETERS: Any^{*} response from the staff?
17 Any further questions from our committee?

18 DR. DENTON: Lauren, did you want to say
19 something?

20 DR. ZEISE: Well, the historical control range I
21 don't know with respect to this particular report, but
22 it's frequently a three-year window for the historical
23 control range that is used for comparison in the NTP
24 studies.

25 As you suggest, it is quite possible that the

1 Southern Research Institute's historical control covers
2 a broader time period and that might explain that
3 discrepancy.

4 DR. EASTMOND: It seems to me in this case, if
5 we're looking at -- this is in the female B6C3F1 mice.
6 The control incidence in this case for malignant
7 lymphomas is 22 percent, and that is the highest control
8 incidence that they've seen.

9 So we're looking at a test in which controls
10 have the highest, for instance, they've seen in this
11 particular institute and among the highest that's been
12 seen historically.

13 So an increase in tumors in a group that's
14 always having a very, very high incidence for some
15 unknown reason certainly plays a factor in the way I
16 look at the data.

17 CHAIRMAN PETERS: Okay.

18 DR. FELTON: Can I ask David, so what you're
19 saying is, your feeling from this data is that the
20 Southern Institute had higher controls than the overall
21 controls for the other locations based on the average?

22 DR. EASTMOND: What it says is the range is from
23 ten to 22 percent, which is presumably a subset of
24 what's in Table 4. But the range in Table 4 only goes
25 to 18 percent, so there's a little bit of discrepancy

1 there.

2 But, in context, this is the study which had the
3 highest control frequency that they've seen in actually
4 probably any of these. So you're looking at an increase
5 in the specific study in which the control frequency was
6 the highest that had been seen in any of the -- not only
7 this study and -- those studies conducted at Southern
8 Research Institute, but apparently those also conducted
9 at these other sites, the six study locations.

10 CHAIRMAN PETERS: Okay. If I understand the
11 procedure, we're now ready for any public comments.

12 I see the name Jay Murray.

13 DR. MURRAY: Thank you, Chairman Peters. I'm
14 Jay Murray. I'm here today on behalf of two
15 organizations: the Flavor and Extract Manufacturers
16 Association, and the Fragrance Materials Association.
17 I'm only going to take one minute, literally.

18 I submitted written comments which I believe you
19 all have and have read, and I'm not going to run back
20 through everything that I had in the written comments.
21 I'll just make a couple of very brief comments.

22 One is you've been talking a little bit about
23 the female mouse lymphoma and some of the controversial
24 aspects of the interpretation of the female mouse
25 lymphoma, and it's not clear -- although we know what

1 the conclusions of the NTP bioassay were in 1983, it's
2 doubtful that NTP would come to that same conclusion
3 today, specifically with respect to lymphoma in female
4 mice.

5 We've got an example from earlier this year of
6 another compound that went through an NTP bioassay where
7 there was an even more clear example of a statistically
8 significant increase in lymphoma in female mice where
9 NTP called that equivocal evidence in female mice.

10 Probably more importantly, NTP, as you all know,
11 publishes its Report on Carcinogens, and they are
12 compelled to include chemicals where they believe there
13 is sufficient evidence of carcinogenicity in animals,
14 and allyl isovalerate is not in the Ninth Edition of the
15 Report on Carcinogens, which is the current edition.
16 Also, remember, it hasn't been in any of the previous
17 editions of the Report on Carcinogens.

18 So it looks to me like NTP, for whatever reason,
19 didn't consider their own bioassay in '83 to be
20 sufficient evidence to have allyl isovalerate be in the
21 NTP Report on Carcinogens.

22 Finally, as Dr. Faust has already pointed out,
23 IARC has also looked at this one, initially in 1985,
24 more recently in 1999, and concluded that allyl
25 isovalerate was not classifiable, gave it a Group 3.

1 And both NTP today and IARC, when it did its
2 evaluation in 1999, is dealing with the same set of data
3 that you're dealing with today. There's nothing that
4 you're looking at, to my knowledge, that they didn't
5 consider.

6 Thank you.

7 CHAIRMAN PETERS: Do we have any committee
8 questions on the public comments? Please come back in
9 case there are any questions. Does anybody have any
10 questions?

11 I had one. I understood your arguments in the
12 letter you provided except for one, and that was the old
13 style NTP assays were two level and nowadays they're
14 three.

15 Seems like the argument you made is reversed;
16 that is, if you find something with two levels, you're
17 more likely to have found it with three.

18 So could you comment on that, please?

19 DR. MURRAY: Yes. My point on that is that this
20 is really one of the earlier NTP studies, and it was
21 when NTP was using two dose levels of the test material
22 rather than the three that they currently use.

23 My concern with that is that it's harder to look
24 at a dose response relationship if you only have two
25 dose levels rather than three.

1 So I thought -- that by itself is not a critical
2 flaw in an NTP bioassay, but it certainly -- certainly
3 you have more evidence if you have three dose levels
4 because you can start to look at dose response. It also
5 gives you another opportunity to know how much
6 variability you're seeing in various tumor types within
7 that current study. But that's the nature of the early
8 NTP studies.

9 The other thing I remember about that is,
10 remember, this is before NTP had its current
11 classification system. This is before we had clear
12 evidence, some evidence, equivocal evidence and so on.

13 In '83, NTP used the term "positive," it called
14 this a positive study, but it was before the current
15 classification system was in place.

16 CHAIRMAN PETERS: Thank you.

17 Dr. Eastmond.

18 DR. EASTMOND: As I recall, your document
19 indicated there was no evidence for hematopoietic
20 toxicity from this compound. Yet, in the document
21 apparently there with some relatively minor effects seen
22 in a follow-up study.

23 Did you notice that difference, and would you
24 like to elaborate on that?

25 DR. MURRAY: The study you're referring to is

1 the NTP follow-up study where they were specifically
2 looking for effects on the hematopoietic system --

3 DR. EASTMOND: I saw an increase in spleen
4 weight and some changes in --

5 DR. MURRAY: They did, but my understanding is
6 they didn't see the histologic changes that they were
7 looking for that would give them a better sense that the
8 hematopoietic system was a target.

9 The reason for NTP's doing the study, as I
10 understand it, was NTP was having some trouble
11 interpreting the study, and that was the trigger to go
12 back and to look at the hematopoietic system to see if
13 that was a target organ for toxicity. That was a study
14 of much shorter duration than the two-year study.

15 But the idea was that if the hematopoietic
16 system were a target, it would likely show up even in a
17 study of shorter duration; and if that was a target, it
18 would give increased confidence that this compound might
19 cause hematopoietic tumors; but if it didn't show up, it
20 would give less confidence that allyl isovalerate was
21 really causing tumors of the hematopoietic system.

22 DR. EASTMOND: Your take is they didn't see any
23 overt signs of hematopoietic toxicity, but they did see,
24 using some subtle sorts of tests, changes in the spleen
25 weight and colony forming units? They did see some

1 possible effects? Is that kind of the summary?

2 DR. MURRAY: That is correct. My understanding
3 is their interpretation of that was that it was less
4 likely that tumors of the hematopoietic system would be
5 caused by allyl isovalerate because they didn't see more
6 in their follow-up study.

7 CHAIRMAN PETERS: Dr. Felton.

8 DR. FELTON: I wanted a little more data, if
9 it's possible, on the infection in the female mice. You
10 describe it in your letter as a general infection. Did
11 that ever get defined by the investigators as a specific
12 agent? And how -- I mean, you also describe in there
13 that animals died before their normal time. How sick
14 were they? It would be interesting to know just more
15 detail, if you had it.

16 DR. MURRAY: Dr. Felton, I don't remember what
17 the specific cause was, and I'm not sure -- I just don't
18 recall whether it was in the NTP report or not.

19 I do remember it was a high incidence, there
20 were a number of early deaths, but it was seen in all
21 three groups in female mice.

22 I know some people have looked at that and said,
23 well, it's not such a problem because it was at least
24 seen across the board, it's in the controls, the low and
25 the high dose.

1 But I also think it's important to take into
2 account you had something else going on at the time this
3 study was done in the female mice, such that more than
4 50 percent of the female mice had died from the
5 bacterial infection in all three groups.

6 CHAIRMAN PETERS: Okay. As I understand it,
7 it's now the committee's opportunity to have our lead
8 reviewers present their findings, and in this -- sorry.

9 DR. SANDY: I wonder if we might respond to some
10 of the points?

11 CHAIRMAN PETERS: Absolutely.

12 DR. FAUST: In regard to the concern regarding
13 its lack of appearance in the Report on Carcinogens, I
14 did want to mention that the technical report process
15 for NTP and the Report on Carcinogens are separate
16 processes in that there are numerous compounds which
17 have been tested in NTP protocols which have not entered
18 into the report on carcinogen consideration. So that's
19 there.

20 I also wanted to call your attention to an issue
21 that you brought up before regarding the historical
22 control incidence.

23 It appears that there is a transposition error
24 in the table -- Table 4 in the document that, for those
25 incidences -- the range of incidences reported at the

1 different test sites, the male and females were
2 transposed there. So the true range for females is 4.2
3 to 34.7 percent, and among males, 0 to 18.2 percent.

4 CHAIRMAN PETERS: Thank you. That explains at
5 least one puzzle.

6 DR. SPANGLER: I have another comment for Jim.
7 I think he was asking about the NTP report does make
8 specific mention of the reproductive tract pathology in
9 the female mice.

10 But I think, typical for this kind of study,
11 they really didn't investigate that to the fullest
12 extent. I mean, they were characterized as suppurative
13 lesions of the ovaries and the uterus, but they didn't
14 culture the organism.

15 Presumably it was a bacteria, and they mentioned
16 Klebsiella bacteria that is known to be associated with
17 this type of change -- or has been associated with this
18 type of change in mouse colonies in other studies.

19 CHAIRMAN PETERS: Okay. Now, back to the
20 committees's presentations.

21 Dr. Landolph and Dr. Spangler have been asked to
22 provided reviews, and we'll go to Dr. Landolph first.

23 DR. LANDOLPH: Okay. I read this data very
24 carefully. In fact, I read Dr. Murray's critique first,
25 and then I read the data just to make sure I looked

1 through everything.

2 I guess that's seeing chemicals as a function of
3 time -- I think we're getting things which are less
4 carcinogenic than others; i.e., we're working down
5 towards scraping the bottom of the barrel as a function
6 of time, so some of these data are not as clear-cut.

7 When I looked at Table 1, the mononuclear cell
8 leukemia was dose responsive. The tumors went from 1 to
9 4 to 7, and the trend was statistically significant, and
10 so I weighed dose responsiveness. Malignant lymphomas
11 were 0, 0 and then 2 at the high end.

12 I looked at the female data, and certainly the
13 control is highest, as pointed out, but the mononuclear
14 cell leukemia goes 4, 6 and 8 out of roughly 50. The
15 trend was not significant, but there is a dose response
16 there. And the leukemias combined went from 4 to 6 to
17 9, and that trend was statistically significant.

18 In the mice studies, the gastric mucosa, that's
19 the benign tumor, the squamous cell papilloma, that went
20 from 0 to 1 to 3, and the trend was statistically
21 significant.

22 All malignant lymphomas had a high background in
23 the males, but they went from 4 to 6 to 8. The trend
24 wasn't statistically significant, but there was evidence
25 of a dose response there.

1 I also looked at the malignant lymphomas,
2 histiocytic, and they went from 0 to 1 to 4, and that
3 trend was statistically significant. All malignant
4 lymphomas certainly had a horrendously high background,
5 as was pointed out, but at the high dose, that was
6 statistically significant, 11 versus 18, and "f"
7 indicates that the trend was significant.

8 So I found it a little bit difficult to accept a
9 null hypothesis, that there was no positivity here, even
10 though the studies certainly are not perfect that the
11 background was high. It's difficult for me to ignore
12 that positive data.

13 The genotox, I think, adds a little bit in terms
14 of the mutagenicity in the mouse L5178Y lymphoma system
15 without S9 metabolic activation. And the chromosomal
16 aberrations with S9 metabolic activation gives a tenfold
17 increase, so there's some evidence that this compound
18 caused mutations in chromosomes as well.

19 The business end of the molecule is certainly
20 the dangerous part. It seems to be the allyl alcohol
21 part which can be metabolized to acrolein and to other
22 genotoxic molecules.

23 So when I integrated this data together, yeah,
24 it's not perfect, but it led me to the conclusion that I
25 would view this compound as a carcinogen.

1 I'd sure like to see NTP re-test it with their
2 present tiering and their present skills, but I think,
3 based on the data which we have here, I would vote
4 positively in favor.

5 CHAIRMAN PETERS: Do we want any questions at
6 this point or Dr. Spangler present his and then have a
7 discussion?

8 Go ahead, Dr. Spangler.

9 DR. SPANGLER: I basically agree with Joe in the
10 way he approached the problem. All of the analysis and
11 data that -- I think overall I guess I have more doubts.

12 The summary of the peer review -- this study was
13 peer reviewed by a group of people who came in and
14 looked at the data and actually looked at the mice and
15 rats, and they had -- these people had some serious
16 doubts about -- well, they were ambivalent, I guess, and
17 that probably sums up my feelings about this particular
18 compound.

19 I agree that the weight of the evidence suggests
20 it's probably a carcinogen, but I think -- to me, I
21 think the data does not rise to the occasion that we use
22 on this panel, and that is that this compound clearly
23 causes cancer.

24 Based on all of the data that we have here, I
25 can't myself say that I think this compound clearly

1 causes cancer. I think there is some equivocal data,
2 and I think there's room on both sides of the fence. So
3 that's my position on the compound.

4 CHAIRMAN PETERS: Okay. The subject is now open
5 to discussion. Any questions from other committee
6 members?

7 Dr. Felton.

8 DR. FELTON: You know, I looked this over.
9 Joe's opening remark is the best part. You know, we're
10 down to these toughies. If they were easy, I guess we
11 wouldn't even be talking about them.

12 You bring in all the little factors about
13 historical controls and infection and weak dose response
14 in some cases, one decent dose response in another case,
15 the lack of genotoxicity, obviously, it's so much on the
16 edge, you could go either way on this one. It's really
17 on the edge.

18 I guess I would be more inclined to go with the
19 latter comments. It's just so close to being equivocal
20 that there's no way -- at least I couldn't convince
21 myself that this was really a solid case, so I probably
22 would vote no on this one.

23 CHAIRMAN PETERS: Any other comments?

24 DR. EASTMOND: I'll echo a few of the things Jim
25 said. Obviously, we're looking at a compound that does

1 have some general patterns and tendencies that makes it
2 a little uncomfortable. It would certainly make me
3 uncomfortable.

4 But on the other hand, when I look at these in
5 context with the historical controls for those
6 particular tumor types in those tissues, a lot of
7 those -- these trends fall within the historical control
8 range, which weakens the argument, from my perspective.

9 In addition, when we talk about statistical
10 significance, certainly a number of them -- the
11 increases are statistically significant using one
12 particular type of test and another one it's not. We're
13 really working right on the edge.

14 As far as the genotoxicity data, certainly with
15 the positive structural chromosomal aberration, it seems
16 to me that those concentrations are really very, very
17 high. Probably -- it's 300 to 500 micrograms, which
18 strikes me as a really high concentration. So that
19 could be simply a high dose phenomenon in this
20 particular assay.

21 As far as the mechanism, there are some
22 postulated mechanisms going through that make some
23 sense; but if, indeed, that was taking place -- well, if
24 you can mimic that in vitro, you would expect them
25 probably to be positive in the Ames test. The lack of

1 that in the Ames test is a little bit of concern.
2 However, you probably do not get those metabolites
3 formed given the complexity of the metabolic pathway.

4 So I have kind of mixed feelings about it, but
5 as Bill indicated, my concern is the charge to the
6 committee is really clear evidence and, to me, this
7 falls into this much more fuzzy -- in the realm where I
8 don't think it is quite as clear as I would be
9 comfortable with.

10 CHAIRMAN PETERS: Any other comments?

11 I wish we had a clearer definition of "clear."

12 Any comments on that that might help this
13 process?

14 DR. SPANGLER: I think my comment from the
15 historical point of view is that it's clear that we're
16 not going to get a clear definition of "clear."

17 CHAIRMAN PETERS: So, Joe, do you have anything
18 further, or are we ready to vote?

19 DR. LANDOLPH: I think we're pretty much ready
20 to vote. I also was struck by the positive
21 carcinogenicity of the allyl chloride and the allyl
22 hexanoate and the fact that you have positives in the
23 two species. So that worried me about this compound.

24 Regardless, if we vote negatively on it overall,
25 I would like to see perhaps Dr. Denton and staff

1 recommend to NTP to get a better animal carcinogenicity
2 test, however this comes out.

3 CHAIRMAN PETERS: Any other comments or issues?

4 I assume somebody will make a motion. I have
5 two scripts. One says: Please indicate by a show of
6 hands if, in your opinion, allyl isovalerate has been
7 clearly shown. And the other one is: Has not been
8 clearly shown. Which one should I read? Okay.

9 Please indicate by a show of hands if, in your
10 opinion, allyl isovalerate has been clearly shown
11 through scientifically valid testing according to
12 generally accepted principles to cause cancer.

13 We have one vote, and that ends it, doesn't it?
14 The record should reflect one vote was cast to add allyl
15 isovalerate to the Proposition 65 list as causing
16 cancer. The majority, which* in this case is four, of
17 the appointed members is required to add a chemical to
18 the list. Accordingly, allyl isovalerate is not added
19 to the Proposition 65 list.

20 With enough coaching, I could get this right.

21 Okay, let's move on to the compound
22 N-carboxymethyl-N-nitrosourea, and we're ready for the
23 staff presentation.

24 Dr. McDonald.

25 DR. McDONALD: Hello, everyone. My name is Tom

1 McDonald, and I'll be presenting the evidence of
2 carcinogenicity for N-carboxymethyl-N-nitrosoourea, which
3 throughout my presentation I'll abbreviate as CMNU.

4 The structure of CMNU as well as its molecular
5 weight and CAS number are shown on the first slide.

6 CMNU is a naturally occurring N-nitrosoourea
7 compound with no known commercial uses. CMNU is formed
8 primarily from the reaction of glycoxyamine and nitrite.

9 Glycoxyamine is a direct metabolic precursor of
10 creatin and is present in muscle. In other words, it's
11 present in meat and meat products. Nitrite is a
12 compound produced endogenously, is added to cured meat
13 as a preservative and color enhancer and is a common
14 drinking water contaminant. CMNU may also form from
15 reaction of nitrite and hydantoic acid, which is found
16 in some plants.

17 The typical daily dose of CMNU received by
18 humans is unknown, but is expected to vary widely
19 depending primarily on nitrite and meat intake.

20 The available carcinogenicity studies of CMNU
21 are as follows.

22 In humans, OEHHA is not aware of any studies
23 directly examining the potential associations of CMNU
24 and cancer.

25 In experimental animals, the carcinogenicity of

1 CMNU has been investigated in two drinking water
2 studies.

3 Buley et al. in 1979 treated male Wistar rats
4 with CMNU in drinking water five days a week for 74
5 weeks and then followed them until death.

6 Maekawa et al. in 1983 dosed female Donryu rats
7 with CMNU in drinking water on a daily basis for 68
8 weeks and then sacrificed the animals after dosing
9 ceased.

10 CMNU has not been tested for carcinogenicity in
11 mice.

12 With respect to the actions taken by
13 authoritative bodies, none of the authoritative bodies
14 shown on this slide have evaluated CMNU. Thus, to my
15 knowledge, this committee is the first to evaluate this
16 chemical for determination as a carcinogen.

17 The tumor findings among male rats from the
18 Buley study are shown on this slide. Increases of
19 adenocarcinomas of the large and small intestines were
20 significantly increased relative to vehicle and
21 untreated control animals.

22 These findings are important since in the next
23 slide I will show intestinal tumors were also observed
24 among female rats treated with CMNU.

25 Marginal increases in the incidences of squamous

1 cell carcinomas of the tongue and forestomach combined
2 were also observed.

3 CMNU treated rats exhibited significantly
4 increased incidence of squamous cell papillomas and
5 carcinomas combined of the skin compared to vehicle or
6 untreated controls.

7 This slide and the next describe the studies
8 conducted by Maekawa et al. in female rats.

9 Increased incidences of intestinal hyperplasia,
10 adenoma and adenocarcinoma were observed in the two
11 highest groups compared to controls. Strong
12 dose-related trends were observed for all three
13 endpoints. Fibromas, fibrosarcomas and myosarcomas of
14 the intestine were also observed in a few animals in the
15 two highest dose groups.

16 Also, squamous cell tumors of the oral cavity
17 were significantly increased with dose, significant by
18 trend test only.

19 The findings of Maekawa et al. for the female
20 CMNU treated rats continue on this slide.

21 The incidences of mammary fibroadenoma and total
22 mammary tumors among CMNU treated rats were
23 significantly increased in the low and mid dose groups,
24 but not in the high dose group relative to controls.

25 The number of mammary tumors per tumor-bearing

1 rat was also elevated above controls for all treatment
2 groups. However, the lack of increased incidence of
3 mammary tumors in the high dose group questions whether
4 the observation of increased rates in the lower dose
5 groups are truly treatment related.

6 Also, among CMNU treated females, squamous cell
7 tumors of the Zymbal's gland were significantly
8 increased with dose, significant by trend test but not
9 pairwise comparisons with controls.

10 Thus, to summarize the tumors findings, CMNU
11 administered in the drinking water induced malignant
12 cancers of the intestines in two independent studies,
13 one in male Wistar rats and another in female Donryu
14 rats. Treatment related increases in malignant cancers
15 of the skin were also observed in male rats.

16 Increases in tumors of the Zymbal's gland were
17 significant by trend test in female rats and increased
18 tumors of the oral cavity were significant by trend test
19 in females and marginally significant among males.

20 It is worth noting that the tumors of the skin,
21 Zymbal's gland and oral cavity were all of the same cell
22 type, squamous cell tumors. Findings of mammary tumors
23 among female rats are unclear. CMNU, as I said before,
24 has not been tested in mice.

25 Other relevant data with respect to CMNU's

1 carcinogenic potential include genotoxicity, structure
2 activity, as well as mechanistic data.

3 Genotoxicity of CMNU is summarized in this
4 slide. CMNU is a direct acting mutagen and clastogen.
5 In bacterial assays, CMNU caused mutations in
6 Salmonella, strains TA98, 100 or 1537, but not in 1535.
7 CMNU caused mutations in E. coli in either a wild type
8 or pair deficient strain.

9 In mammalian cells in vitro, CMNU caused
10 mutations and chromosomal aberrations in Chinese hamster
11 lung fibroblast cells.

12 No in vivo genotoxicity studies of CMNU were
13 located.

14 CMNU bears strong structural resemblance to
15 other N-alkyl-N-nitrosoarea compounds such as the model
16 carcinogens, methyl- and ethyl-nitrosoarea, which are
17 carcinogenic to rodents, pigs and primates.

18 Maekawa and his colleagues compared CMNU to
19 other alkylnitrosoarea compounds in rat drinking water
20 studies conducted in their laboratory.

21 CMNU, like methyl-, ethyl-, propyl-, butyl- and
22 isobutyl-N-nitrosoarea caused tumors of the intestines
23 or oral cavity.

24 Although the precise mechanism of carcinogenesis
25 is not known, CMNU likely causes cancer through a

1 genotoxic mechanism. As mentioned earlier, CMNU caused
2 mutations and chromosomal damage in short-term test
3 systems.

4 CMNU is a carboxymethylating agent which likely
5 gives rise to carboxymethyl-DNA adducts, which was
6 reviewed by Harrison in 1997, although these adducts
7 have not been directly measured.

8 Other carboxymethylating agents are carcinogenic
9 and mutagenic. For example, azaserine and
10 N-nitrosoglycocholic acid are compounds known to form
11 carboxymethyl adducts with DNA in vivo.

12 Azaserine has been the subject of more than 50
13 publications demonstrating its ability to induce
14 pancreatic cancer in animals. N-nitrosoglycocholic
15 acid, when administered orally to rats, resulted in
16 increases in stomach and liver cancer.

17 N-nitrosated peptides which contain glycine on
18 the C-terminus, such as the ones shown here, are also
19 expected to be carboxymethylating agents and have been
20 observed to be carcinogenic in rodents.

21 Thus, a genotoxic mechanism is likely
22 responsible for the observed carcinogenic effects of
23 CMNU.

24 To summarize the evidence, CMNU induced
25 intestinal tumors in two independent drinking water

1 studies: one in male rats and one in female rats.

2 CMNU also induced squamous cell carcinoma of the
3 skin in male rats, and induced squamous cell tumors of
4 the oral cavity and Zymbal's gland in female rats.

5 Other relevant evidence includes observations
6 that CMNU is mutagenic and clastogenic in vitro. CMNU
7 is also structurally similar to well-recognized
8 carcinogens such as ENU. Also, other carboxymethylating
9 agents, like CMNU, cause cancer in rodents.

10 Thank you, and I'd be happy to answer any
11 questions.

12 CHAIRMAN PETERS: Do we have some questions? If
13 not, we will go to public comments. If not, we'll go to
14 committee presentations --

15 DR. EASTMOND: Can I ask a question?

16 CHAIRMAN PETERS: Yes.

17 DR. EASTMOND: I realize some of the primary
18 articles you were looking at were difficult to tease out
19 some of the information. There were some tumors in the
20 adrenal gland that were mentioned, and according to the
21 article they were statistically significant, but it was
22 impossible to detect what the control incidence had
23 been.

24 DR. McDONALD: That's correct. The author of
25 the Buley study claimed that adrenal gland tumors were

1 significantly increased. Although, as I mentioned in
2 the document, in the untreated controls there was also a
3 relatively high incidence.

4 But the way -- the reporting being so poor, we
5 couldn't tell if those tumors were in female or male
6 rats because this was a study of many chemicals and also
7 used females as controls for other nitrosourea
8 compounds.

9 So I felt uncomfortable stating that this was
10 truly a treatment related effect when we don't know what
11 the true incidence in the controls are.

12 CHAIRMAN PETERS: I'd like to make one minor
13 comment before we go on to the committee presentations;
14 and that is, you should check the document for the
15 spelling of the name Buley because it's spelled two
16 different ways throughout. *

17 DR. McDONALD: Thank you. I'll check that.

18 CHAIRMAN PETERS: In this case, we've assigned
19 Dr. Eastmond and Dr. Felton to comment, and Dr. Eastmond
20 will go first.

21 DR. EASTMOND: This is a compound that would be
22 interesting to discuss on the committee. In contrast
23 from the last compound, this is from a class of
24 compounds which are widely recognized as being mutagenic
25 and carcinogenic, although the specific compound has

1 much less data.

2 As I looked at this, there were really two
3 animal studies, the two studies in different strains of
4 rats, and there were some similarities between the two
5 studies.

6 There were a lot of tumors increased -- well,
7 there was an increase in tumors seen in both studies in
8 the gastrointestinal tract. Depending on how you
9 combine tumors together, you can get significant
10 increases or not.

11 The ones I found to be probably the most
12 convincing were the adenomas and the adenocarcinomas of
13 the large and small intestines in which there was a
14 significant dose-related increase in the Wistar rats and
15 also in the Donryu female rats -- in fact, there was a
16 very strong response in that* particular strain of
17 rats -- in which the tumor incidence increased from very
18 low frequencies of 0 out of 36 animals to -- for
19 adenomas, it was 23 out of 34, and adenocarcinomas
20 increased in a dose-related fashion to 19 out of 34.

21 So that, I thought, was a very strong response,
22 and there was consistency between these two different
23 strains of rats.

24 There was also an increase in skin tumors seen
25 in the male rats and not in the female rats. There was

1 a really strong increase in mammary tumors seen in the
2 middle doses in the female rats, but not at the high
3 dose. A very peculiar sort of dose response
4 relationship. In addition, there were some other tumor
5 types that were increased.

6 I also considered that this was -- the results
7 were consistent with known chemical properties, it's a
8 direct alkylating agent and similar carcinogenic and
9 genotoxic results have been seen with other nitrosoureas
10 and other carboxymethylating agents.

11 In addition, I looked at the mutagenicity data,
12 and it appears to be fairly mutagenic. It also causes
13 chromosomal aberrations.

14 I might point out, also, that I believe the
15 concentration in which increases in structural
16 chromosomal aberrations were* seen in the Chinese hamster
17 lung fibroblast cells may be incorrect in the document.

18 The table is a little confusing to read, but I
19 believe, rather than being 12.5 micromoles, it's
20 actually about 850 micromoles. That should be checked.
21 The table heading is confusing to read, but I believe
22 it's a higher concentration.

23 Anyway, the assessment really is that it's a
24 mutagenic agent. It appears to be -- the DNA adducts
25 seem to be fairly rapidly repaired. And this may be

1 consistent with some of the effects in some of the
2 organs being relatively weak.

3 In my opinion, the real challenge here is that
4 we have what I think is consistent data in two different
5 strains of rats, but no data from the mouse.

6 DR. FELTON: Well, I don't have much to add. I
7 mean, we're being asked to make the decision on this
8 because we don't have the mouse data. If we had the
9 mouse data, we wouldn't be discussing this compound.

10 My background and feeling on this is sort of
11 similar to David's in that with this class of compounds,
12 there's no reason to suspect that it's not going to be
13 also a major dose dependent carcinogen in the mouse. We
14 just don't have the data.

15 We're being asked to make a decision based on
16 the genotoxicity, which is strong and expected for a
17 class of compounds like this, and the results from the
18 other relatives of this compound, which are also strong
19 mutagenic carcinogens, and then we also have the
20 strong -- I consider this strong rat data, but we don't
21 have the mouse data.

22 So that's what we're being asked to make the
23 decision on, and I guess I'm really leaning toward
24 saying yes just because I have a hard time believing
25 this isn't going to be a carcinogen in the mouse, and

1 the other data really supports it pretty strongly.

2 There's no negative data to suggest that it's --
3 the other compound we looked at earlier this morning
4 was very equivocal, that is, positive and negative data.
5 Everything here is positive. It's just that we don't
6 have as much as we'd like to see.

7 So with the interpretation of the structure
8 activity relationships and the genotoxicity, this looks
9 like it's probably one we should worry about.

10 CHAIRMAN PETERS: Any other comments or
11 questions from committee members?

12 DR. LANDOLPH: While you do have the
13 genotoxicity data, as you point out, it does fit into
14 the nitrosamine class, all those are carcinogenic, and
15 yet the carcinoma data I found particularly
16 compelling -- 0 to 1 to 9 to*19 tumors in the trend is
17 pretty significant -- and you've got two different
18 experiments in rats, although the first one didn't have
19 extensive dosing data, just one dose, it all looks
20 pretty positive to me.

21 CHAIRMAN PETERS: Anything?

22 DR. SPANGLER: Yeah, I think from the
23 perspective of the pathologists this probably is a study
24 that can be looked on with some positivity because you
25 are producing a high level of significance in a tumor --

1 or an organ system that is not normally involved a great
2 deal or which there's not a large background of cases of
3 these intestinal lesions, which I think are fairly
4 compelling evidence in this particular case.

5 CHAIRMAN PETERS: Any further comments?

6 Then do we have a motion?

7 Please indicate by show of hands if, in your
8 opinion, N-carboxymethyl-N-nitrosourea has been clearly
9 shown through scientifically valid testing according to
10 generally accepted principles to cause cancer.

11 Okay. The record should reflect four votes were
12 cast to add N-carboxymethyl-N-nitrosourea to the
13 Proposition 65 list as causing cancer.

14 A majority, which in this case is four, of the
15 appointed members is required to add a chemical to the
16 list. Accordingly, N-carboxymethyl-N-nitrosourea is
17 added to the Proposition 65 list.

18 Oh, I didn't know that the chairman was supposed
19 to vote. I should have asked about that. I don't know
20 whether we can do things retroactively. If we can,
21 there would be two votes on the first one and there
22 would be five votes on this one.

23 MS. HECK: You just took care of that by
24 clarifying that on the record that you would like to
25 clarify that your abstention the last time wasn't an

1 abstention, you didn't know you could vote, if I'm
2 correctly capturing your thoughts here, and you would
3 have cast a no vote.

4 CHAIRMAN PETERS: I would have cast a yes vote.
5 So there would have been two votes in favor.

6 MS. HECK: It doesn't change the listing status,
7 but clarifies the record as to your vote. And then you
8 wish to cast a vote for yes on this compound, which
9 means it's five votes rather than four. And, again, the
10 outcome is the same, it is added to the list.

11 CHAIRMAN PETERS: Correct.

12 We move on to Roman numeral III, and we have a
13 presentation of possible removal, and we'll have to have
14 some explanation on this, but I assume we'll get it and
15 Colleen Heck will provide that.

16 MS. HECK: Thank you*, Dr. Peters.

17 This is a nonsubstantive presentation, to be
18 sure. The possible removal is not from the list of
19 chemicals known to the State to cause cancer; rather
20 it's a much lesser known list that we also have in
21 regulation.

22 In Title 22, Section 14000, there's a list of
23 chemicals that are required by state or federal law to
24 have been tested for their potential to cause cancer but
25 which have not been adequately tested as determined by

1 this committee.

2 This is a statutory duty that's in Proposition
3 65 that we have not made highly visible or brought to
4 this committee's attention as currently constituted for
5 some ten years or so. We're now bringing it back to you
6 because this is a task assigned to you.

7 But, again, because nothing is ever quite that
8 simple, it seems, we're not asking you to weigh in on
9 whether or not all these three compounds have been
10 adequately tested.

11 The way the regulation reads, a chemical cannot
12 simultaneously be placed on the list of chemicals to
13 cause cancer and on the list of chemicals which you find
14 not to be adequately tested.

15 We did a manual check, if you will, side by
16 side, and all three of these* chemicals are on the
17 Proposition 65 list as known to the State to cause
18 cancer.

19 This is largely a housekeeping detail, an
20 administrative matter, and we would like you at this
21 time to direct us, if you would, if it's appropriate, to
22 remove these three chemicals from the Section 14000 list
23 of chemicals that are not adequately tested.

24 In the future, there may be more significant or
25 substantive matters where you may actually be delving

1 into whether or not the chemical has been adequately
2 tested, but we're not asking for that kind of input from
3 you today.

4 CHAIRMAN PETERS: Is the committee clear on what
5 we're being asked to do?

6 DR. LANDOLPH: No. If I understand this right,
7 it's listed on the Proposition 65 list, but you also
8 have it listed as it's not been adequately tested?

9 MS. HECK: That's correct.

10 DR. LANDOLPH: So what do you believe is true?

11 MS. HECK: I certainly wouldn't want to weigh
12 in, but I can tell you this. The regulation on the list
13 of not yet adequately tested says there cannot be on the
14 list, as a matter of law, those -- under the heading of
15 not adequately tested, anything that's on the list of
16 known to cause.

17 DR. LANDOLPH: I understand that, and that's one
18 of the better laws I've heard of in a long time.

19 My question is now: Why is it on both lists?

20 MS. HECK: We haven't gone through to clean up
21 the list of not adequately tested to keep it current
22 with the known to cause. There's just been a lag.
23 We're trying to fix that by this action today.

24 DR. LANDOLPH: Does your staff feel that it was
25 adequately tested?

1 MS. HECK: They made no substantive review
2 because there was none called for. It's a mutually
3 exclusive proposition to be on both lists, so there was
4 no superficial review even of the carcinogenicity of any
5 of them.

6 DR. LANDOLPH: So you're asking the committee
7 for guidance; is that correct?

8 MS. HECK: No, I'm asking you to take the action
9 as only this committee can take, which is to direct us
10 to take the administrative task of actually pulling
11 these three off the list of those not adequately
12 tested.

13 We're asking for an affirmative vote, if you
14 will, of, yes, it's true that it's on both lists, but it
15 can't be on both lists, so take it off the list of those
16 not yet adequately tested. *

17 CHAIRMAN PETERS: Any other comments or
18 questions from the committee before we try to deal with
19 this?

20 Joe.

21 DR. LANDOLPH: This is odd, to be blunt.

22 CHAIRMAN PETERS: I think that the issue is
23 clear -- it is odd, but the issue is clear.

24 DR. SPANGLER: It is odd, but there's only one
25 course of action.

1 CHAIRMAN PETERS: Any public comments? Hearing
2 none, seeing none, seeing no blue slips, are we ready to
3 make a motion or vote?

4 DR. LANDOLPH: Can I ask one more question?
5 How did it get onto the Proposition 65 list?
6 Was that by deliberation, prior addition by this
7 committee, or was it by an authoritative body listing?

8 MS. HECK: We have three, and I'm going to have
9 to defer to either Martha Sandy or the Proposition 65
10 implementation folks as to how they got on.

11 DR. SANDY: I wasn't prepared for this, but I
12 know propachlor is a recent listing by an authoritative
13 body. Maneb, I believe, is a U.S. EPA authoritative
14 body listing. PCP, I'm not sure, it may have been a
15 committee listing. It's an older listing. I don't know
16 if Cindy can help me. If you give us ten minutes, we
17 can give you the answer.

18 CHAIRMAN PETERS: But they're clearly
19 constituted compounds on the lists somehow or another,
20 right?

21 DR. SANDY: That's right.

22 CHAIRMAN PETERS: I think that's all we need to
23 know.

24 Let's have a motion.

25 DR. FELTON: Okay.

1 CHAIRMAN PETERS: Motion to approve the removal
2 of these three items from the 14,000 list. Is there a
3 second?

4 DR. SPANGLER: I'll second.

5 CHAIRMAN PETERS: Any discussion on the motion?
6 Let's vote. In favor, please raise your hand. Opposed,
7 none. It carries unanimously.

8 Staff updates.

9 MS. OSHITA: I would like to take a few moments
10 to brief the committees members on the status of the
11 administrative listings under Proposition 65. Since the
12 Carcinogen Identification Committee met last November,
13 OEHHA has administratively added 19 chemicals to the
14 Proposition 65 list. Nine were added as causing cancer,
15 nine were also added as causing reproductive toxicity,
16 and we added one for both endpoints, as causing
17 reproductive toxicity and cancer.

18 There is a complete current list of these
19 chemicals within your binders of meeting materials, and
20 we have highlighted each of the newly-added chemicals
21 for your reference.

22 In addition to these, we have several other
23 chemicals for which we have received comment and they
24 are still under consideration for administrative
25 listing, and we hope to make some final decisions on

1 those in the very near future.

2 CHAIRMAN PETERS: Thank you. Anybody have any
3 questions? Thank you.

4 The next presentation, prioritization process/
5 random selection, Colleen Heck.

6 MS. HECK: Thank you.

7 Just briefly -- this is really combining the two
8 items -- I have no litigation in the classic sense,
9 court suits, to report on.

10 But there was an administrative challenge filed
11 with the Office of Administrative Law, actually some
12 almost three years ago now, asserting that the
13 prioritization process that we follow for working up
14 chemicals that ultimately make their way to this
15 committee and your counterpart committee is what's known
16 as an underground regulation*. That is, it should have
17 been adopted as a regulation but it was not.

18 As you may know, we have engaged in the past in
19 three random selections, which is the first step toward
20 the ultimate more substantive review of chemicals for
21 potential listing, most recently in the fall of this
22 year, and it is that practice that was challenged.

23 The Chemical Industry Council filed documents
24 with the Office of Administrative Law asking that agency
25 to deem that practice unlawful until adopted as a

1 regulation. We filed papers with the OAL asserting that
2 the practice was not a regulation and, therefore, did
3 not need to be adopted as such.

4 A decision is expected within the next two to
5 six weeks. There's basically only two outcomes that can
6 happen:

7 Either the challenge is correct and our agency
8 will be charged with adopting a prioritization process,
9 whether it's the current one or some other version, in
10 regulation;

11 Or OEHHA is correct, it's not a regulation, and
12 we're free to continue using the current practice
13 without reg or would be free to change it internally
14 without going through this full regulation adoption
15 process.

16 So we'll keep you apprised when we next see you
17 as to the outcome of that challenge.

18 That's all I have.

19 DR. DENTON: Colleen, do you want to mention
20 about the random selection?

21 MS. HECK: I'm not sure what you --

22 DR. DENTON: We underwent a random selection for
23 carcinogens in, I think, September of --

24 CHAIRMAN PETERS: Would somebody describe that
25 process? I think it would be useful for us to know.

1 DR. SANDY: I can tell you that random
2 selection -- the results were published on November 9th
3 and -- Dr. Peters, can you repeat --

4 CHAIRMAN PETERS: The process by which you do a
5 random selection.

6 DR. SANDY: Yes. As we've done in the past, we
7 have a pool of chemicals that we are tracking for
8 carcinogenicity concern, and we select from a subset of
9 that pool a group. This time we had 100 chemicals. We
10 randomly order them using a seed from the California
11 Lotto and the top 50, after randomly ordering them, were
12 selected and we will now prioritize them.

13 For chemicals which receive a priority of high
14 carcinogenicity concern, we will then place those on the
15 final candidate list and bring to you chemicals from
16 that list in the form of a hazard identification
17 document for your consideration.

18 This process has evolved over time. We've given
19 you a few presentations over the years. There's a
20 document that was finalized in May 1997 that discusses
21 the prioritization procedures. This random selection
22 was discussed in there as a pilot process that we've
23 been using.

24 CHAIRMAN PETERS: Thank you.

25 DR. EASTMOND: Can I ask a question?

1 Martha, if I get this process correct, the top
2 100 chemicals that you are tracking, 50 are randomly
3 selected for placing on this list. The other 50 are
4 just held in some sort of reserve until later.

5 It would seem to me that you could have a
6 chemical which would be of significant concern from a
7 public health point of view which would randomly fall in
8 into that second half of the list and would sit there
9 for a long period of time without being considered.

10 It strikes me as an unusual way to do this. I
11 would think at some level you would be prioritizing all
12 of these 100 and bringing forward those which are of
13 most concern to the people potentially of the State of
14 California and acting upon those in as practical a
15 fashion as possible.

16 Can you comment on that?

17 DR. SANDY: I should let you know that I spoke
18 of a pool of 100 that we randomly selected from.
19 However, to create that pool, as we discussed in the
20 notice, we randomly selected from a larger pool, so
21 there are more than 100.

22 Of this pool of 100, we made no determination as
23 to whether they're of the highest concern or not.
24 They're just the randomly selected group.

25 CHAIRMAN PETERS: That's a requirement, right?

1 DR. DENTON: Maybe I could pitch in here, too.

2 Dr. Eastmond, this is a process, using the lotto
3 system and so forth, that was worked out over a period
4 of years for selection of these chemicals.

5 And you're right that some chemicals may or may
6 not be selected which would be of more concern than
7 others, but we are subject to the random selection.

8 We look upon this committee as an advisory
9 committee to OEHHA. If you would want to be briefed on
10 this process, would want to have some input into this
11 process, that would certainly be within your authority
12 and responsibility as the committee.

13 The history goes back, but it was designed to be
14 completely random without any really kind of
15 pre-selection, so to speak.

16 So depending upon the* desire of the committee,
17 you could look at it or not.

18 DR. EASTMOND: I would guess the intention is
19 that a particular group wouldn't want to feel like they
20 were being unfairly targeted, so it does bring some sort
21 of fairness to the process.

22 Counterbalancing that, though, is we want to use
23 your staff's time and the committee's time as
24 efficiently as possible to protect the people of
25 California.

1 I would think, under those circumstances, it
2 would be wise to try to identify a way to use some sort
3 of judgment to prioritize -- to bring things forward,
4 because something could sit in this larger list, even
5 greater than 100, for many, many years that might be of
6 significant concern to the State of California that
7 would never rise to the upper list.

8 I think it's probably worth looking at that.
9 Maybe we should go through this another time and talk
10 about it some more.

11 DR. DENTON: It looks like George wants to say
12 something.

13 DR. ALEXEEFF: George Alexeeff here.

14 You make a good point, Dr. Eastmond. Actually,
15 the panel, or specifically the chair, has a role of
16 embarking on that process. The chair, in consultation
17 with the director, can propose chemicals of specific
18 concern.

19 So if there was a chemical that either you in
20 your work or you somehow became aware was something you
21 felt needed to be looked at carefully because of public
22 health interests, that could be brought up to the
23 forefront. So there is a way of addressing those public
24 health issues.

25 DR. EASTMOND: George, is that from within the

1 list or is that just where --

2 DR. ALEXEEFF: Any chemical.

3 CHAIRMAN PETERS: The mechanism would be a
4 committee member could go through the --

5 DR. ALEXEEFF: The chair. I presume the
6 committee member could talk to the chair.

7 The other point is the administrative listing
8 process also is, in part, a prioritization process as
9 well because chemicals are administratively listed.

10 But if a chemical doesn't quite make it through
11 the listing process, without getting into
12 technicalities, it makes it to a notice of intent to
13 list, but then evidence is brought forward that brings
14 that information into question, then that comes to the
15 committee as well. So it's sort of a prioritization
16 process.

17 That has actually been the most common method
18 chemicals have gone to the DART committee in the last
19 couple of years, is through the administrative --
20 almost-administratively-listed process.

21 So there are really three ways chemicals can
22 come to the committee. In the past few years, it has
23 been mainly through this random selection prioritization
24 process.

25 CHAIRMAN PETERS: Are there any public comments?

1 I see no blue slips, so I assume none.

2 Then at this point Dr. Denton is going to
3 summarize what we did.

4 DR. DENTON: Before I summarize, maybe to go
5 back to the last item, is this something the committee
6 would like to see on their next agenda, to review how
7 chemicals are brought forward to them or --

8 DR. FELTON: I don't think it's necessary to go
9 through the process. I think David, Dr. Eastmond, is
10 the newest member of the panel and hasn't been through
11 this in the past.

12 But I think what would be nice is communication
13 with this panel from the staff in reminding us that when
14 we do hear about compounds that are not on the list
15 through some research, or whatever the context, that we
16 get some communication about* it. I think that would be
17 a nice thing, to have a reminder to do that, because I
18 have one in mind.

19 DR. LANDOLPH: I remember, Dr. Denton, your
20 predecessor in the period of time this random
21 prioritization started, I thought it was a little odd at
22 the time, to be honest with you.

23 I guess my druthers would be, if you see
24 something, your staff sees something that they think is
25 pretty genotoxic or looks like it might be carcinogenic,

1 my recommendation would be to move it up as fast as you
2 can and don't wait for all these things to take place.

3 But I don't want to interfere with your
4 machinery that obviously has been polished over a five-
5 or six-year period. I would like to see you use your
6 judgment if you think something needs to be moved
7 faster, you have my vote to move it faster.

8 DR. DENTON: With that, I will summarize the
9 actions of the committee today.

10 Allyl isovalerate was not added to the
11 Proposition 65 list. I also am -- Dr. Landolph
12 requested, and I assume that the committee is in
13 agreement, that we ask NTP to do a chronic animal
14 bioassay on that chemical.

15 N-carboxymethyl-N-nitrosourea was added to the
16 Proposition 65 list of carcinogens.

17 The committee also voted to remove the three
18 chemicals that are listed on the agenda from Section
19 14000, the list of chemicals that have not been
20 adequately tested, an administrative action, as Colleen
21 mentioned.

22 I guess, finally, regarding chemicals and how
23 chemicals come to this committee, the committee
24 expressed the interest that if the staff at OEHHA see
25 chemicals which are genotoxic or carcinogenic, that we

1 communicate with the panel as well as expedite those
2 chemicals, if possible. So that's my summation.

3 I turn it back to you, Dr. Peters. Dr. Peters,
4 thank you for being acting chair today.

5 CHAIRMAN PETERS: I would just say thank you to
6 the committee members, thank you to the staff for your
7 usual excellent work, and thank you to the audience for
8 at least one participant who came forward, and thanks to
9 Dr. Denton for trying to keep me under semi-control.

10 (Meeting concluded at 11:35 a.m.)

11 ---o0o---

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25



REPORTER'S CERTIFICATE

---o0o---

STATE OF CALIFORNIA)
) ss.
COUNTY OF SACRAMENTO)

I, PHYLLIS MANK, certify that I was the Official Court Reporter and that I reported in shorthand writing the foregoing proceedings to the best of my ability; that I thereafter caused my shorthand writing to be reduced to typewriting, and the pages numbered 1 through 64, inclusive, constitute a complete, true and correct record.

In witness whereof,* I have subscribed this certificate at Sacramento, California, on this 7th day of January, 2002.

 
PHYLLIS MANK) CSR No. 5093

