

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
CARCINOGEN IDENTIFICATION COMMITTEE

JOE SERNA JR.
CAL/EPA HEADQUARTERS BUILDING
1001 I STREET
COASTAL HEARING ROOM
SACRAMENTO, CALIFORNIA

THURSDAY, DECEMBER 5, 2013
10:20 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

COMMITTEE MEMBERS:

Thomas M. Mack, M.D., M.P.H., Chairperson

Jason Bush, Ph.D.

Shanaz Dairkee, Ph.D.

David A. Eastmond, Ph.D.

Joseph Landolph, Ph.D.

Peggy Reynolds, Ph.D.

Duncan Thomas, Ph.D.

Luoping Zhang, Ph.D.

STAFF:

Dr. George Alexeeff, Director

Mr. Allan Hirsch, Chief Deputy Director

Dr. John Budroe, Chief, Cancer Toxicology and Epidemiology
Section

Ms. Rose Cendak, Cancer Toxicology and Epidemiology
Section

Dr. Jennifer Hsieh, Cancer Toxicology and Epidemiology
Section

Ms. Fran Kammerer, Staff Counsel

Ms. Cynthia Oshita, Proposition 65 Implementation

Dr. Martha Sandy, Chief, Reproductive and Cancer Hazard
Assessment Branch

Dr. Meng Sun, Cancer Toxicology and Epidemiology Section

A P P E A R A N C E S C O N T I N U E D

STAFF:

Dr. Rajpal Tomar, Cancer Toxicology and Epidemiology
Section

Dr. Lauren Zeise, Deputy Director, Scientific Affairs

ALSO PRESENT:

Dr. John Butala, Consultant to Ferro Corporation

Ms. Ann Claassen, Latham and Watkins

Dr. Michael Cunningham, Cunningham & Associates

Dr. Jennifer Foreman, ExxonMobil Biomedical Sciences, Inc.

Dr. Nina Hallmark, ExxonMobil Biomedical Sciences, Inc.

Dr. Gordon Hard, Independent Consultant to BASF

Mr. Stanley Landfair, McKenna, Long & Aldridge, BASF

Mr. Alan Olson, Ferro Corporation

I N D E X

	PAGE
I WELCOME AND OPENING REMARKS George Alexeeff, Ph.D. Director, Office of Environmental Health Hazard Assessment(OEHHA)	1
II CONSIDERATION OF CHEMICALS AS KNOWN TO THE STATE TO CAUSE CANCER	
A. Diisononyl phthalate	
- Staff presentation(Rose Cendak, M.S. and Dr. Rajpal Tomar, RCHAB)	7
- Committee discussion	32
- Public comments	35
- Committee discussion and decision	73
B. Butyl benzyl phthalate	
- Staff presentation(Dr. Meng Sun, and Dr. Jennifer Hsieh, RCHAB)	102
- Committee discussion	123
- Public comments	127
- Committee discussion and decision	143
III UPDATE OF THE SECTION 27000 LIST OF CHEMICALS WHICH HAVE NOT BEEN ADEQUATELY TESTED AS REQUIRED Fran Kammerer, Staff Counsel, OEHHA	166
IV STAFF UPDATES	
- Chemical listings via the administrative listing mechanism and safe harbor level development (Cynthia Oshita, Proposition 65 Implementation, OEHHA)	171
- Proposition 65 litigation (Fran Kammerer, Staff Counsel, OEHHA)	177
V SUMMARY OF COMMITTEE ACTIONS	180
Adjournment	182
Reporter's Certificate	183

P R O C E E D I N G S

DIRECTOR ALEXEEFF: Good morning, everybody.

Welcome to this chilly morning in California. I'm George Alexeeff, Director of the Office of Environmental Health Hazard Assessment. A couple things I need to remind you of. In case of an evacuation, we have exit doors in the back with the green sign there. And take your valuables with you have, if you have to leave. And then you can just follow the exit signs to the street.

Also, in terms of any drinking fountains and restrooms, you can go out the back -- the doors to the back and turn left and you'll find them over there. And then there's also a restaurant downstairs or cafeteria, let's say, downstairs where you can get basic food and drink, if you need that.

So let me go ahead and introduce the members of the Carcinogen Identification Committee. I want to welcome everyone to today's meeting of the Carcinogen Identification Committee. To my left is Dr. Tom Mack, the Chairman of the Committee. And he is a professor of the Department of Preventive Medicine and pathology at the USC Keck School of Medicine.

And then to his left is Dr. Luoping Zhang. She is an associate adjunct professor of toxicology in the Division of Environmental Health Sciences in the School of

1 Public Health at the University of California at Berkeley.

2 And then to her left is Dr. Joseph Landolph, who
3 is the associate professor of the Department of Molecular
4 Microbiology and Immunology at the USC Keck School of
5 Medicine.

6 And then to his left is Dr. Peggy Reynolds, who's
7 a senior research scientist at the Cancer Prevention
8 Institute of California, and a consulting professor at the
9 Stanford University School of Medicine, Department of
10 Health Research and Policy.

11 And directly to my right is Dr. David Eastmond.
12 He's a professor and Chair of Cell Biology and
13 Neuroscience, and a research toxicology -- toxicologist at
14 the University of California at Riverside. And then to
15 his right is Dr. Shanaz Dairkee. She is a senior
16 scientist at the California Pacific Medical Center and a
17 consulting professor for the Stanford University School of
18 Medicine.

19 And then to her right is Dr. Duncan Thomas, who
20 is a professor of biostatistics and the Verna R. Richter
21 scale -- Richter Chair in cancer research at the
22 University of Cal -- University of Southern California.

23 And to my far right is Dr. Jason Bush, associate
24 professor of cancer biology at California State
25 University, Fresno.

1 And I thought I'd just also mention the leads.
2 Dr. Luoping Zhang and Dr. Joseph Landolph are co-lead
3 reviewers for DINP, one of the chemicals to be discussed
4 today. And then Dr. Eastmond, Dr. Dairkee, and Dr. Thomas
5 are all co-leads reviewers for BBP.

6 I'd like to also introduce the OEHHA staff, since
7 they may be answering questions or making presentations
8 today. Directly in front of me is Dr. Lauren Zeise.
9 She's our Deputy Director for Science in OEHHA. And then
10 to her right is Dr. Martha Sandy -- Dr. Martha Sandy.
11 She's our branch chief for our Reproductive Cancer and
12 Hazard Assessment section. And then to her right is Dr.
13 John Budroe, who's -- I'm sorry to our -- our branch
14 chief. And then Dr. John Budroe who's our section chief,
15 our cancer section chief. And to his right is Dr. Raj
16 Tomar. And then to his right is Rose Cendak. And then
17 Dr. Jennifer Hsieh and Dr. Meng Sun who will be giving a
18 presentation today. And our legal counsel for the day is
19 Fran Kammerer. Carol is not able to be with us today.
20 And also here is Allan Hirsch, our Chief Deputy Director.

21 So, let's see, I think we wanted to -- I want to
22 welcome everyone here. First, I want to welcome all the
23 panel members for taking time out of their busy schedules
24 to be here and help us on these important issues for this
25 Committee. We really appreciate it. And we know that

1 you're donating a lot of your time and expertise to
2 this -- to us and to the State of California.

3 And I also want to thank the members of the
4 public who are here attending, either making presentations
5 or just listening. And I also want to mention -- thank
6 those who are listening on our webcast. And since we are
7 having a webcast today, and actually probably any time
8 since we are recording this as well, it's important that
9 if you're going to speak, please speak into the
10 microphone. And actually, you have to get pretty close.
11 I get really close now, and it sounds much better, I can
12 tell, but I feel like I'm almost swallowing the
13 microphone, but I think that's what we have to do.

14 All right. So let's see. I think I've welcomed
15 everybody, so I think I will now ask Fran Kammerer, our
16 legal counsel, to give some introductory comments.

17 STAFF COUNSEL KAMMERER: Can you hear me?

18 Good morning. As Dr. Alexeeff said, my name is
19 Fran Kammerer. I will be your counsel for the day. I'm
20 staff counsel for OEHHA. I just want to give you a few
21 reminders today. The first one is that there are certain
22 criteria for listing chemicals. And you have those
23 criteria in front of you. You're listing decisions should
24 be based on those criteria, and the discussions you have
25 on those criteria.

1 The listing criteria was determined by
2 Proposition 65 -- well, actually, it was determined by the
3 Panel, your Panel. And Proposition 65 states that a
4 chemical is clearly shown, through scientifically valid
5 testing, according to generally accepted principles to
6 cause cancer. The clearly shown standard is that the
7 statute is a scientific judgment call on your behalf.
8 It's not a legal standard of proof. You're not a jury.
9 You don't have to find reasonable doubt -- or beyond
10 reasonable doubt that you would in a courtroom.

11 This Committee is also allowed to decide to list
12 a chemical based on animal evidence only. There need not
13 be any evidence that a chemical causes human cancer. And
14 you don't need to consider the future impact of a listing,
15 whether a warning will be required or whether or not the
16 current human exposures to the chemical are sufficiently
17 high to cause cancer. That is a dose related question.
18 It's not something you need to make a finding on today.

19 You were appointed by the Governor because of
20 your scientific expertise. And so you need not feel
21 compelled to go outside of that charge, regardless of the
22 comments you may hear from the public. In the event you
23 feel that you have insufficient information or need more
24 time to think about a listing or discuss it, there's no
25 requirement that you make a decision today or even this

1 morning. You can table the discussion and ask us to get
2 you more information. So you're not required to make a
3 decision pro or con today.

4 Are there any questions about that?

5 Okay. Dr. Mack.

6 DIRECTOR ALEXEEFF: Actually, Dr. Sandy, did you
7 have something you wanted to say in the beginning here,
8 before we turn to her?

9 DR. SANDY: I did, if I may. Good morning,
10 everyone. Because several of you members are new, this is
11 your second meeting, I wanted to give some background on
12 how the chemicals that are before you today reached your
13 Committee. And so to do that, I need to tell you that we
14 went through a multi-year prioritization process where we
15 screened a number -- hundreds of chemicals. We brought to
16 this Committee over a three-year period in 2009, 2010, and
17 2011, about 100 chemicals, and asked your Committee to
18 rank them as to their priority for selection and hazard
19 identification document preparation.

20 So back in 2009, DINP was ranked as a high by the
21 CIC. And in 2009, OEHHA selected DINP to -- and announced
22 in a notice to the public that we had selected it for
23 hazard identification preparation. At that time, we also
24 issued a request for relevant information, and asked the
25 public to provide us with any information they wished to

1 provide us with. We did receive information, and I
2 believe that's been -- a copy of that has been submitted
3 to you as comments. And I wanted to let you know -- so
4 that was -- in response to our request for relevant
5 information, we looked at all that information as well as
6 the information we identified through literature searches.
7 We considered all the information in preparing the
8 document for you.

9 Thank you.

10 DIRECTOR ALEXEEFF: Okay. Dr. Mack.

11 CHAIRPERSON MACK: Well, let me add my welcome to
12 that, George. It's nice to see all these enthusiastic
13 faces. I hope they stay enthusiastic throughout the
14 course of the next couple hours.

15 Martha who's going to go be first up?

16 DR. SANDY: I'll turn it over to Dr. John Budroe
17 and he'll introduce his staff.

18 DR. BUDROE: Good morning, Dr. Mack, members of
19 the Committee, I'd like to present you Dr. Rajpal Tomar
20 and Ms. Rose Cendak, who will be presenting evidence on
21 the carcinogenicity of diisononyl phthalate.

22 MS. CENDAK: Good morning. Can you all hear me?

23 (Thereupon an overhead presentation was
24 presented as follows.)

25 MS. CENDAK: I'm going to start with an -- I'm

1 going to start with an overview of our talk. We're going
2 to cover production, use, and exposure of DINP;
3 carcinogenicity studies in animals; other relevant data,
4 including pharmacokinetics and metabolism; genotoxicity
5 and other mechanistic data and structure activity
6 relationships. Then we'll cover possible mechanisms of
7 action, reviews by authoritative bodies, and then present
8 a summary of the evidence that we've given.

9 --o0o--

10 MS. CENDAK: DINP is produced by multiple
11 processes. And these different production processes yield
12 isomeric mixtures with various CAS numbers, but the
13 general structure is shown here.

14 DINP is an isomeric mixture consisting of a
15 branched alkyl diester of either 8, 9, or 10 carbons, with
16 the bulk of the mixture containing 9 carbons. Isomeric
17 mixtures of DINP produced by different production
18 processes are considered commercially interchangeable and
19 are being considered for listing today.

20 --o0o--

21 MS. CENDAK: DINP is a general purpose
22 plasticizer used in a variety of PVC products, including
23 vinyl flooring, undercoatings for cars, roofing materials,
24 and more. It's also used in non-PVC products like
25 rubbers, inks, and sealants. DINP is used in limited food

1 packaging materials, and it is not used in medical
2 applications.

3 Among the 10 individual phthalates, DINP has the
4 highest production volume with the American Chemistry
5 Council predicting annual world production of DINP to be
6 1.5 million metric tons in 2013.

7 In California, use of DINP at concentrations
8 greater than 0.1 percent is prohibited in toys and child
9 care articles intended for use by a child under the age of
10 three, if the product can be placed in the child's mouth.

11 --o0o--

12 MS. CENDAK: DINP has been detected in both
13 indoor and outdoor environments. Biomonitoring studies
14 have measured DINP in populations of pregnant women,
15 children, and adults with no known exposure to DINP. Many
16 studies detected DINP urinary metabolites in 75 to 100
17 percent of people sampled. And the study in persons with
18 no known exposure detected metabolites in 87 to 100
19 percent of people sampled.

20 An occupational study in car manufacturing
21 employees showed higher DINP exposure values for all
22 workers engaged in seam sealing with DINP based plastisol
23 compared to other workers from the same plant. Higher
24 DINP exposure levels were also reported in PVC film
25 manufacturing workers compared to unexposed controls.

1 --o0o--

2 DR. TOMAR: Good morning. I'll start with the
3 carcinogenicity studies. There are no known human
4 carcinogenicity studies with DINP. We have 12 animal
5 carcinogenicity studies that include six dietary studies
6 Fischer 344 rats, two dietary studies in Sprague-Dawley
7 rats, and four dietary studies in B6C3F1 mice.

8 --o0o--

9 DR. TOMAR: This is the incidence data from a
10 two-year feeding study conducted by Lington et al. Since
11 all of the tables are laid out in the similar fashion,
12 they start with the left column, indicate the organ
13 involved. The second column indicates the tumor types,
14 and the rest of the table gives the incidence data, except
15 the last column, which gives the P value for the trend
16 test. The dosages used in this study were 0, 300, 3,000,
17 and 6,000 ppm. There's a dose dependent increase. The P
18 value for the trend is significant for hepatocellular
19 carcinoma.

20 The next, kidney tumors, were observed in the
21 middle dose, three tumors. And they were transitional
22 cell carcinoma arising from the urothelium. And we also
23 have two tumors at the highest dose of the tubular cell
24 carcinoma. These two types of tumors are considered
25 uncommon or rare. However, there was no laboratory data

1 provided, so we looked at the literature and we found that
2 there was about seven years of the data from feeding
3 studies was collected, and by Haseman et al. in 1998.
4 That NTP study gives about 0.1 percent for the
5 transitional cell carcinoma with a range of 0 to 2, and
6 about 0 to 2 percent for tubular cell carcinoma, again
7 with the range of 0 to 2.

8 It was further indicated in 2013 by NTP again,
9 giving a percentage of 0.9 for transitional cell
10 carcinoma, and 0.8 for the tubular cell carcinoma,
11 indicating that these tumors are rare in Fischer 344 rats.

12 We also have one significant increase in
13 mononuclear cell leukemia. There's a significant dose
14 dependent effect on the two highest doses, as well as the
15 trend test for these two tumors.

16 COMMITTEE MEMBER THOMAS: Can I ask a point of
17 clarification?

18 DR. TOMAR: Yes, please.

19 COMMITTEE MEMBER THOMAS: In the briefing book
20 that was given to us, it gives a different P value. Which
21 one is correct?

22 DR. TOMAR: I'm sorry? I didn't get that.
23 What's the question?

24 COMMITTEE MEMBER THOMAS: Table 3 in the briefing
25 book gives a different P value. Both are significant. I

1 would just like clarification, if you have it available.

2 DR. SANDY: So you're correct, it's 0.01 --

3 COMMITTEE MEMBER THOMAS: I can imagine you might
4 need to go back to the raw -- the original publication to
5 find this. So I don't want to belabor the point since
6 both are significant.

7 DR. SANDY: It's 0.01, you're correct.

8 COMMITTEE MEMBER THOMAS: Thank you.

9 --o0o--

10 DR. TOMAR: This is a two-year study again by
11 Lington et al. conducted in the female rats. There are no
12 liver tumors in these female mice -- female rats.
13 However, there's a significant increase by pairwise
14 comparison as well as the trend test for the mononuclear
15 cell leukemia.

16 --o0o--

17 DR. TOMAR: Moore conducted another study with
18 F344 rats. And this is the incidence data for the male
19 rats. There's a significant pair -- trend for the
20 hepatocellular adenoma. Also, there's a significant
21 increase by pairwise comparison, as well as the trend test
22 for the hepatocellular carcinoma at the highest dose. And
23 we also have a combined hepatocellular carcinoma/adenoma
24 at the highest dose with a very strong positive trend.

25 Again, we have kidney tumors in the 6,000 ppm

1 dose group, which is transitional cell carcinoma. And we
2 also have renal tubular cell carcinoma at the highest
3 dose. As I indicated earlier, I gave the two -- both are
4 rare tumors. We again have a very strong positive trend,
5 as well as significant increase at the two highest doses
6 for mononuclear cell leukemia in this study.

7 --o0o--

8 DR. TOMAR: In a related two-year feeding study
9 in the female rat with the same dose range as in the male
10 of 0, 500, 1,500, 6,000, 12,000 ppm, we have again a trend
11 for hepatocellular adenoma, and as well as for
12 hepatocellular carcinoma. And a combined hepatocellular
13 adenoma and carcinoma is significantly increased by
14 pairwise comparison, as well as by the trend test.

15 Again, in female, we have a significant increase
16 at the two highest doses for mononuclear cell leukemia
17 with a very strong positive trend.

18 --o0o--

19 DR. TOMAR: In a 78-week feeding study followed
20 by 26 weeks feeding normal diet, we call it a recovery
21 study, conducted by Moore 1998. The incidence here only
22 for the renal tubular carcinoma, which is significantly
23 different and only the two doses were used there.

24 Again, we also have a significant increase in
25 mononuclear cell leukemia. And all these two types of

1 tumors were observed after 78 weeks of exposure.

2 --o0o--

3 DR. TOMAR: In a related study in the female,
4 again fed for 78 weeks, followed by 26 weeks of the
5 recovery, we find again there's a significant increase in
6 mononuclear cell leukemia in this study.

7 --o0o--

8 DR. TOMAR: This is another study conducted by
9 Bio\dynamics. It's a two-year feeding study in
10 Sprague-Dawley rats. And this is the incidence data in
11 the male -- this is the incidence data in the male rat.
12 And we see again there's a significant -- there's an
13 increase at the highest dose for interstitial cell
14 carcinoma.

15 Here we indicated in our HID that this is outside
16 the range of the historical control. This was misquoted
17 there by CPSC 2001. In fact, this is slightly -- indeed,
18 slightly increased compared to the mean, but not to the
19 study control historical range. We also have pancreatic
20 islet cell carcinoma which is 4 out of 70. And these two
21 tumor types are considered uncommon or rare in S-D rats.

22 We did not have control data for the islet cell
23 carcinoma. So we looked at the literature and we found a
24 paper by Chandra et al., which gives a percentage of 0.07
25 for the islet cell carcinoma in Sprague-Dawley rat. These

1 two tumors are also considered rare.

2 --o0o--

3 DR. TOMAR: In a related study at the same dose
4 level by -- in Sprague-Dawley rat, we have a significant
5 increase for hepatocellular carcinoma at the two highest
6 doses, as well as a very strong positive trend test for
7 hepatocellular carcinoma. We also have some uterine
8 tumors endometrial adenocarcinoma at the highest dose, two
9 out of the 69.

10 --o0o--

11 DR. TOMAR: This is another study in the B6C3F1
12 mice conducted by Moore 1998 with a slightly different
13 dose range as we have seen in the previous study, which is
14 0, 500, 1,500, 4,000, and 8,000 ppm in the diet. And only
15 the liver tumors were observed in this male study. We
16 have a positive trend test for the adenoma. We have a
17 pairwise, as well as very strongly positive trend for the
18 hepatocellular carcinoma. And we have a significant
19 increase at the two highest doses, the 4,000 and 8,000
20 with a very strong positive trend for combined
21 hepatocellular adenoma and carcinoma in this study.

22 --o0o--

23 DR. TOMAR: This is a related study conducted by
24 Moore 1998 in the B6C3F1 mice, female. And we have again
25 a significant increase at the highest dose for the

1 hepatocellular adenoma with a very strong positive trend.
2 We also have a significant increase at the two highest
3 doses of hepatocellular carcinoma along with the very
4 strong positive trend, as well as hepatocellular adenoma
5 and carcinoma combined on the three highest doses of
6 1,500, 4,000, and 8,000 with a very strong trend --
7 positive trend.

8 In this study, we also have again pancreatic
9 islet cell carcinoma at the highest dose 2 of 70. As I
10 indicated earlier that this we consider a rare tumor.

11 --o0o--

12 DR. TOMAR: This is another study in the female
13 mice -- this is another recovery study where the mice were
14 fed for 78 weeks and there was a recovery for 26 weeks
15 making it a full two year study. And only thing we have
16 in male mice here is a significant increase in the
17 hepatocellular adenoma and carcinoma.

18 COMMITTEE MEMBER EASTMOND: Rajpal, how confident
19 are you in those statistics, because that looks very hard
20 to believe the P value --

21 DR. TOMAR: Which tumor?

22 COMMITTEE MEMBER EASTMOND: The hepatocellular
23 carcinoma. You're got a P value there of less than 0.001
24 and the -- no, the previous -- next slide. The one you
25 were talking about.

1 DR. TOMAR: I have a statistician sitting next to
2 me who did most of the statistics and I'm pretty
3 confident.

4 COMMITTEE MEMBER EASTMOND: Go the next -- the
5 one you were talking on. I was asking about the slide you
6 were looking at.

7 DR. TOMAR: This one?

8 COMMITTEE MEMBER EASTMOND: Yes. The P value
9 seems very hard to believe, given the numbers there.

10 DR. TOMAR: 16 out 70 compared to the 19 out of
11 50?

12 COMMITTEE MEMBER EASTMOND: Yeah. That seems
13 very hard to believe.

14 MS. CENDAK: We used the standard pairwise
15 comparison that we use for the other -- you know, the
16 other P values that we calculated here. I can run it and
17 get the back number for you.

18 COMMITTEE MEMBER EASTMOND: Yeah, if you could
19 check that one, because this one looks really suspicious.
20 Most of the others -- this one just looks really
21 questionable. I mean if you look at the numbers
22 themselves, that P value should barely be significant, if
23 it's significant at all, and certainly not at a less than
24 0.001 significance.

25 DR. SANDY: Again, I'll -- let me just point out

1 that the denominators are quite different.

2 COMMITTEE MEMBER EASTMOND: Oh, I know, but
3 they're not that different.

4 DR. SANDY: So we'll have Rose run that again and
5 get back to you.

6 --o0o--

7 DR. TOMAR: Okay. This is another study, same
8 recovery type in B6C3F1 mice in females. And we have a
9 significant increase in hepatocellular carcinoma, as well
10 as hepatocellular adenoma and carcinoma. And this I can
11 be sure that this is correct.

12 (Laughter.)

13 --o0o--

14 DR. TOMAR: Pharmacokinetics and metabolism in
15 humans. In single oral dose studies multiple metabolites
16 were observed. More than 90 percent of the metabolites
17 were excreted in the first 24 hours. There was a biphasic
18 elimination pattern. Elimination half-life was three to
19 five hours in the first phase, and 12 to 18 hours in the
20 second phase. Essentially, similar pharmacokinetics and
21 metabolism was observed in animals.

22 --o0o--

23 DR. TOMAR: This is proposed metabolism for DINP.
24 DINP is hydrolyzed to MINP. MINP is oxidized at the
25 ultimate carbon, then conjugated with either hydroxy,

1 carboxy, or oxo metabolites.

2 This carboxy octyl phthalate can change to -- I
3 can hardly see that -- hexyl, then butyl, and then ethyl,
4 and finally to phthalic acid. So it's kind of a soup of
5 long chain, as well as the small chain. And the notion of
6 that only the long chain or small chain work differently,
7 it just doesn't seem to work when we talk about the
8 metabolism of this compound.

9 --o0o--

10 DR. TOMAR: Genotoxicity. Reverse gene mutation
11 was conducted in salmonella typhimurium. There was a
12 forward mutation in mouse lymphoma cells, and chromosomal
13 aberrations in Chinese hamster ovary cells with and
14 without metabolic activation, and all three were negative.

15 There's also unscheduled DNA synthesis in primary
16 rat hepatocytes, which is also negative, and in vivo
17 Micronucleus assay in rats and mice, which was also
18 negative. I should mention here that we missed this study
19 by unscheduled DNA synthesis in primary rat hepatocytes we
20 did not include in our HID.

21 --o0o--

22 DR. TOMAR: In vitro cell transformation. DINP
23 has been tested in seven studies using BALB/c-3T3 A31
24 mouse cells. We indicated again in our HID there were
25 eight studies, and that was my mistake. It should be

1 seven. Also, DINP was found to be positive in one study,
2 negative in three studies, and a non-significant increase
3 in transformed foci in the three studies.

4 --o0o--

5 DR. TOMAR: Yes, Dr. Landolph.

6 COMMITTEE MEMBER LANDOLPH: Sorry to interrupt
7 you. Did they get dose responses in any of those studies
8 where they're called positive for the cell transformation
9 assays?

10 DR. TOMAR: There was one study which was
11 positive. And --

12 COMMITTEE MEMBER LANDOLPH: What does that mean
13 positive, just at one point or --

14 DR. TOMAR: A significance increase in the foci.

15 COMMITTEE MEMBER LANDOLPH: Was the trend test
16 positive for the trend for dose response?

17 DR. TOMAR: No, there was no trend test. There
18 was not -- just only I think on one dose.

19 COMMITTEE MEMBER LANDOLPH: Just the one dose.
20 Um-hmm. Thank you.

21 DR. TOMAR: There was no number of studies.

22 --o0o--

23 DR. TOMAR: And those were non-significant
24 increase, some of the foci, you know, were increased, but
25 they were not -- there was neither the dose response or

1 nor it was, you know, highly significant.

2 DINP effects on steroidogenesis.

3 Multiple perinatal DINP exposure studies in rats
4 indicate reduced testosterone levels in male pups and
5 reduced ex vivo testosterone production. Reduced
6 messenger RNA expression of genes involved in steroid
7 production, example, insulin-like-3, cytochrome P455 11A,
8 and steroidogenic acute regulatory protein(StAR)

9 Reduced anogenital distance in the male pups, and
10 reduced absolute weight of seminal vesicles.

11 Disturbances of testosterone production in humans
12 are associated with testicular dysgenesis syndrome in
13 children. And TDS is associated with germ cell cancer.
14 However, according to NAS report of 2008, rats do not get
15 germ cell cancer. They get Leydig cell cancer, Leydig
16 cell tumor, as we have seen in the Sprague-Dawley rat
17 studies.

18 Excuse me, I have to go back. Dr. Landolph,
19 there is a dose response for cell transformation assay,
20 the one positive study.

21 COMMITTEE MEMBER LANDOLPH: There was?

22 DR. TOMAR: Yes.

23 DR. SANDY: So if I can point you to the HID.

24 It's page 31 and 32 where we discuss that, but we are --
25 we did not have the original studies. The study that is

1 positive, it's -- we're taking what -- how it was cited by
2 the ECJRC report of 2003. So we're going off a secondary
3 review, but we did report that apparently there was a dose
4 response.

5 COMMITTEE MEMBER LANDOLPH: It was a dose
6 response. And was the trend statistically significant?

7 DR. SANDY: We don't have that information.

8 COMMITTEE MEMBER LANDOLPH: That's good. Thank
9 you.

10 DR. SANDY: No, I'm sorry. Misspoke. We have
11 written here that the increases were statistically
12 significant and thought to be dose related. Again, this
13 is what's reported by the ECJRC 2003 report.

14 COMMITTEE MEMBER LANDOLPH: Thank you.

15 DR. TOMAR: Before I go further, I might as well
16 mention one thing that we do not have most of the original
17 studies. We requested it, but we were denied for
18 confidentiality. So there might be a difference here and
19 there, because one study has been reported three different
20 places by three different names. So it was not always
21 possible to keep track of those studies.

22 MS. CENDAK: I just want to mention, Dr.
23 Eastmond, you're correct. It was a typo. It should have
24 been two asterisks, not three for that table. Good eye.

25 DR. TOMAR: Structure Activity Comparisons.

1 We're comparing DINP with DEHP, as well BBP, which you'll
2 be listening in afternoon today. And we see that there is
3 common tumor types for all three phthalates together. We
4 have a mononuclear cell leukemia by DINP in male and
5 female. We have with BBP in male and female rats, and
6 with the DEHP. So all three phthalates produce
7 mononuclear leukemia.

8 Also, they all three produce pancreatic tumors,
9 DINP, DEHP, BBP. Only difference is that DINP produces
10 islet cell carcinoma, while DEHP and BBP produces acinar
11 cell carcinoma. They also have in common DINP and DEHP
12 produces liver tumors in mice, as well as in rats, and in
13 both sexes, male and female.

14 Also, we have testicular and testes carcinoma for
15 DINP and DEHP. So all these three phthalates, it's
16 remarkable that they all produce the common type of
17 tumors.

18 --o0o--

19 DR. TOMAR: This is a structure activity
20 comparison for other parameters. And if you see that all
21 these three phthalates have many of the parameters in
22 common.

23 To start with the DNA damage, it's not evaluated
24 for the DINP, but DEHP and BBP both are positive. For
25 gene -- what is this? There's only DEHP. And we have

1 produce a lot of the hydroxyl radical as well as the
2 hydrogen peroxide, which will change in the hydroxyl
3 radical again.

4 Inhibition of steroidogenesis. As we know that
5 testosterone production play an important role during
6 fetal and early postnatal life, and thus disturbances of
7 testosterone production in fetal life are important, and
8 may lead to the male reproductive malformation. This has
9 been suggested that postnatal phenotype of hypospadias,
10 cryptorchidism, testes germ cell cancer, and poor semen
11 quality are manifestations of the aberrant fetal testes
12 development.

13 Tumor necrosis factor. This is the most
14 interesting. Tumor necrosis factor is produced by
15 macrophages, activated macrophages, as well as T&B cells
16 in a normal situation. However, tumor necrosis factor can
17 be produced by endothelial cell or many neutrophils or
18 many other types of cells under stress.

19 Tumor necrosis factor is a two-way factor. In a
20 normal situation, it regulates your immune system and it
21 keeps you healthy. However, if you increase the tumor
22 necrosis factor above a certain level, it can cause
23 cancer. An as a matter of fact for liver cancer, this is
24 considered one of the most important factor. And it's of
25 course got -- many of the autoimmune diseases arthritis,

1 they all have high concentration of the TNF.

2 Decreased gap junction intercellular
3 communication, it's simply the way for a process by which
4 exchange of small molecule cell maintain homeostasis. The
5 inhibition of gap junction has been proposed as a known
6 genotoxic carcinogenic mechanism. Several types,
7 including hepatocellular carcinoma, have been shown to
8 inhibit gap junction.

9 CAR -- activation of CAR and PXR. They both have
10 transcription regulator and affect the phase 1 and phase 2
11 enzymes for the metabolism. They also affect induction of
12 CYP 2B, CYP 2C, and CYP 3 enzyme. And testosterone is a
13 substrate for all these three enzymes. So there's a good
14 reason why we might have a problem with the reduced
15 testosterone in the case of many of the phthalates.

16 --o0o--

17 DR. TOMAR: Possible mechanisms of action.

18 Activation of PPAR alpha. It was hypothesized
19 that activation of PPAR alpha is a necessary event in
20 liver tumor induction in rat and mice. And it was further
21 suggested that the liver tumors induced are not relevant
22 to humans.

23 Findings inconsistent with the PPAR mode of
24 action. Initially, it was because in null mice one of the
25 agonists WY 14,640 very strong agonist for PPAR alpha, did

1 not produce any tumor in null mice or the peroxisome
2 proliferation. So this was the basis that it is not
3 relevant to the humans.

4 Now, recently we have found that DEHP induces
5 liver tumors in PPAR alpha null mice, Ito 2007. And we
6 also know that receptor activation in a mouse model does
7 not produce liver tumors. So you could have constituted
8 activation of the receptors for PPAR alpha, that alone
9 would not produce the liver tumor. In fact, based on
10 these two studies and some other data, IARC re-reviewed
11 the DEHP. And now in 2013, from -- they changed from
12 Group 3 to Group 2B. Group 3 is not carcinogenic. Group
13 B possible human carcinogenic.

14 DINP-specific data related to PPAR activation
15 suggests the hypothesized mode of action may not be
16 operative in DINP-induced liver tumors. Inconsistent
17 observations of a short-term hepatocellular proliferation,
18 lack of sustained long-term hepatocellular proliferation
19 with DINP.

20 --o0o--

21 DR. TOMAR: Possible mechanism of actions
22 continued with alpha 2 globulin nephropathy. Usually, it
23 is believed that in case of the F344 rats, if the tumors
24 is because of alpha 2 globulin, then they are not
25 considered relevant to the humans. And there are five or

1 six criteria given by the International Agency of Research
2 on Cancer, as well as there are some other criteria which
3 are not necessary, but they could be, you know, used.

4 So as a matter of fact here, I have criteria
5 that's really handy. Renal tumors only in the male rats.
6 Acute exposure cause hyaline droplets. Alpha 2 globulin
7 accumulates in hyaline droplets. Other characteristics,
8 histopathology kidney changes, like granular cast
9 formation and mineralization.

10 No such kidney changes in female rats. This
11 would not be -- it should be completely clean, in order to
12 have the alpha 2 globulin nephropathy as a cause. And it
13 should be negative for genotoxicity. However, what we see
14 here that some of the criteria for alpha 2 globulin
15 nephropathy are not met by DINP.

16 Acute exposure does not exacerbate hyaline
17 droplet formation, because only time they observed it
18 after 12 months. They did not observe six months. And I
19 think 12 months is not acute exposure, to the best of my
20 knowledge.

21 Again, the subchronic histopathological changes
22 including granular cell cast formation, and linear
23 papillary mineralization was not observed.

24 Next one. Renal histopathological changes in
25 female rats were observed, which was not supposed to be,

1 in renal tubular, Lington, et al. 1997. And so it does
2 not eliminate the criteria for alpha 2 globulin
3 nephropathy, so the tumor should be considered relevant in
4 cases of the human.

5 Now, we talk about later.

6 --o0o--

7 DR. TOMAR: Review by authoritative bodies.

8 DINP has not been classified as to its
9 carcinogenicity by United States Environmental Protection
10 Agency, Food and Drug Administration, National Toxicology
11 Program, National Institute of Occupational Safety and
12 Health, and International Agency for Research on Cancer.

13 Yes, sir.

14 COMMITTEE MEMBER LANDOLPH: Could I ask you a
15 question about that. Is that it's too hot potato, they
16 don't want to touch it or have they looked at it? You
17 know, have they looked at it and shelved it, or have they
18 just not looked at it at all?

19 DR. TOMAR: U.S. EPA has reviewed in 2005, but
20 they still have their judgment -- they're waiting for
21 certain things, and they can wait for another long time
22 with my experience. So I really don't know why.

23 FDA has been looking for it.

24 DR. SANDY: Excuse me. If I can just add to what
25 Dr. Tomar said about U.S. EPA. They have looked at the

1 different phthalates under different programs, but they
2 have not done a review and classified it as to its
3 carcinogenicity.

4 COMMITTEE MEMBER LANDOLPH: And what about the
5 other agencies?

6 DR. TOMAR: As far as the FDA is concerned, they
7 deal with mostly the drug for alpha-1, which is in case of
8 the hyperlipidemia. And in case of the diabetes 2, both
9 the drugs are there. They reviewed in 2005 I think 11
10 alpha and B -- gamma agonist, and they finally decided
11 that they require -- because they found multiple tumors in
12 multiple species, multiple strains and they decided that
13 they would require a two-year study. That's all I have.

14 COMMITTEE MEMBER LANDOLPH: They would require it
15 to be what?

16 DR. SANDY: Well, this --

17 DR. TOMAR: Now, before you could --

18 COMMITTEE MEMBER LANDOLPH: No, I missed your
19 last word of your last sentence.

20 DR. TOMAR: Before it can go for the clinical
21 trial, they need to have a two-year carcinogenicity study
22 for rats and mice.

23 DR. SANDY: So Dr. Tomar is referring in general
24 to PPAR alpha and gamma agonists, and the review of those
25 agonists by FDA, but FDA has not reviewed DINP, to our

1 knowledge.

2 COMMITTEE MEMBER LANDOLPH: And how about NIOSH
3 and IARC?

4 DR. SANDY: They have not. They have not.

5 DR. TOMAR: They have not.

6 DR. SANDY: We don't know why. NTP has not
7 conducted a bioassay on DINP. That may be why. And most
8 of this literature -- all of the studies we're reporting
9 are not published. There's Lington et al., which has a
10 male rat study and a female rat study, but the other
11 studies are not published in the literature.

12 COMMITTEE MEMBER LANDOLPH: Thank you.

13 --o0o--

14 DR. TOMAR: Summary of carcinogenicity evidence.
15 We know that human evidence there are no data. As for
16 animal evidence is concerned, we divided the tumors in two
17 different groups. A statistically significant increase in
18 tumor incidence, we have liver tumors in male and female
19 rats and mice. Mononuclear cell leukemia, we have male
20 and female rats. And we have renal tubular cell carcinoma
21 in male rats, which is a rare tumor.

22 Tumor incidence increase not statistically
23 significant, but tumor type considered to be rare or
24 uncommon. Pancreatic islet cell carcinoma, which is rare;
25 uterine adenocarcinoma, again which is rare; renal

1 transitional cell carcinoma, again which is rare; and
2 Leydig cell carcinoma, which is considered to be uncommon.

3 --o0o--

4 DR. TOMAR: Summary carcinogenicity evidence,
5 other relevant data. DINP activates several nuclear
6 receptors, PPAR alpha, PPAR gamma, constitute androgen
7 receptor, and pregnane X receptor. DINP has
8 anti-androgenic activity and causes steroidogenesis
9 disruption. DINP induces tumor necrosis factor, which is
10 possibly main cause for liver tumor. DINP inhibits gap
11 junction intercellular communication. And the common
12 tumor site/types observed in animal studies of DINP are
13 structurally related phthalates.

14 Thank you.

15 CHAIRPERSON MACK: Thank you, Raj. We obviously
16 will be getting the opinions of the members of the
17 Committee later, but are there any questions to require
18 clarification on anything from anybody on the Committee?

19 COMMITTEE MEMBER EASTMOND: If I could ask, Raj
20 you'd mentioned that for the rare tumors you talked about
21 the historical ranges and the variability. What about the
22 mononuclear cell leukemia? How did these -- that's one
23 that I think is highly variable and can meet present high
24 incidence. How did these frequencies --

25 DR. TOMAR: The first thing is most of the

1 mononuclear cell leukemia I specifically would like to
2 indicate that there was a positive trend for almost all --
3 wherever we find the mononuclear cell leukemia. It means
4 there was a greater incident with dose -- it decreased
5 with the dose. And beside those studies were done in 1986
6 and we are talking 2013. Tumor incidence does not stay
7 the same over that many years. So, yes, I can understand
8 that there's more mononuclear cell leukemia nowadays
9 indicated, and I'm sure Dr. John Budroe has some more
10 information on it.

11 DR. BUDROE: There is a good deal of variability
12 in the historical control data between different
13 laboratories. Haseman '98, the male MNCL data in the
14 study cited in the HID tended to fall within the
15 historical control range. The female data tended to fall
16 outside. And there's at least one other study that didn't
17 describe a range, but described a mean. And the mean was
18 actually below both the male and female doses that were
19 significant.

20 COMMITTEE MEMBER EASTMOND: Okay. Thanks.

21 CHAIRPERSON MACK: Anybody else?

22 I have one general question that relates to both
23 of these compounds. In the material that was submitted by
24 the regulated community, there were a lot of -- got to get
25 it closer -- there were a number of allegations that there

1 were a lots of other studies that hadn't been reviewed.
2 So I guess I just want your views on the completeness of
3 the search.

4 DR. SANDY: So we have presented all the
5 long-term cancer bioassay studies, and we have tried to
6 present all of the types of other relevant data that we
7 thought were important. We have addressed some of the
8 mechanistic hypotheses, but have not written a
9 comprehensive document citing every single study that was
10 done looking at those hypotheses, or else you'd have a
11 much longer document. We've tried to expedite this and
12 look at new more recent literature and thinking on those
13 hypotheses, such as --

14 CHAIRPERSON MACK: I'm just referring to the
15 actual animal carcinogenicity studies, not the mechanistic
16 information. In other words, are there -- for example,
17 there was a suggestion that NTP studies over and above the
18 ones that you mentioned were available.

19 DR. SANDY: Actually, there are no NTP studies on
20 DINP. I believe Dr. Budroe may have -- in speaking about
21 historical control data that NTP has, we used that -- he
22 was referring to that to compare to these studies done in
23 other laboratories, contract laboratories. But to our
24 knowledge, NTP has not conducted any studies on DINP.

25 CHAIRPERSON MACK: How about BBP?

1 DR. SANDY: When we discuss the BBP, again to our
2 knowledge, we have included all the cancer bioassays we
3 know about on BBP.

4 CHAIRPERSON MACK: That's what I want to know.
5 Thank you.

6 Okay. There was a request for a discussion to be
7 provided on behalf of ExxonMobil and other members of the
8 regulated community BASF. And we had asked -- we have a
9 long list here. Okay. I'm sorry, let me take a few
10 minutes to look at this.

11 All right. I have a list of four people who
12 together will take up 30 minutes of discussion on this
13 compound.

14 So let's begin with Stanley Landfair.

15 Please, please try really hard to fit it into the
16 30 minutes.

17 MR. LANDFAIR: Of course, Dr. Mack.

18 I'll introduce myself as Stanley Landfair, law
19 firm of McKenna, Long and Aldridge. I represent BASF
20 Corporation, and I'd like to introduce this presentation
21 on behalf of BASF, ExxonMobil, and the American Chemistry
22 Council. I want to thank the panel and you in particular,
23 Dr. Mack, for allowing us to make this presentation. I
24 think you'll find it far more efficient in this forum than
25 had we spoken separately.

1 (Thereupon and overhead presentation was
2 presented as follows.)

3 MR. LANDFAIR: So proceeding with the
4 introductions, I won't waste further time by going through
5 their credentials one by one or their academic
6 backgrounds. I want you to know that we've brought each
7 of the speakers here before you because they have a
8 particular connection with this chemical or with items of
9 research regarding this chemical that should be of
10 interest to you. And I want to emphasize what we are
11 trying to encourage here and provide you is the
12 opportunity for professional dialogue with some people who
13 are truly experts in these fields.

14 The first is Dr. Michael Cunningham, who's
15 presently working as an independent consultant in
16 toxicology. But of relevance here, he worked for over 25
17 years as an intramural researcher at NIEHS. From the
18 years 1995 through 2006, he managed NTP's peroxisome
19 proliferation research initiative. Of course, that's an
20 issue very relevant here. In particular, Dr. Cunningham
21 is here and he's available to speak to you regarding the
22 tumors that were observed in the liver in the rat.

23 Our next speaker will be Dr. Gordon Hard. I'd
24 like to say with respect to Dr. Hard, we in particular,
25 and we hope you, owe him some thanks for making plans on

1 such short notice to come here from New Zealand to speak.
2 We had requested the opportunity that he might address the
3 panel by telephone. The answer was no. That's quite a
4 reasonable response under the circumstances. We don't
5 contest that, but I do want to thank Dr. Hard for making
6 these arrangements to be here in person so quickly.

7 Dr. Hard is now an independent consultant also,
8 but of relevance here he was the director of the British
9 Industry Biological Research Association. And he has
10 particular expertise to discuss the tumors that were
11 observed in the kidney.

12 Dr. Hard also has been involved in the study of
13 kidney carcinogenesis for over 40 years, and he helped
14 draft the U.S. EPA purple book on glamma(sic) 2 -- I'm
15 sorry, gamma 2u-globulin kidney tumors, and he was also
16 involved in the 1997 deliberations by IARC on the very
17 same topic. We think his testimony will be of interest to
18 you.

19 And finally, our third speaker is Dr. Jennifer
20 Foreman. She's a toxicologist with ExxonMobil Biomedical
21 Sciences, Incorporated. Dr. Foreman has spent five years
22 of study on the PPAR-alpha mode of action under an NRSA
23 fellowship funded by the NIEHS. She will speak in
24 particular to the issue of mononuclear cell leukemia, and
25 also she will sum up to address the weight of the evidence

1 for us.

2 We want you to know that some speakers of note or
3 authors of note have submitted papers for your
4 consideration. We hope you saw the paper by Dr. James
5 Klaunig. He couldn't be here because of his duties as a
6 professor. And in your background you might find it of
7 interest, he was involved in a defense of a Ph.D.
8 dissertation today, and he couldn't abandon that student
9 after years of study. So we hope you understand why he's
10 absent today. But we hope you're aware that he's watching
11 via webcast, and he's interested in your reaction to his
12 paper that he presented also.

13 Dr. James Felton also delivered a paper for you
14 on the issue of genotoxicity. We hope you saw that. And
15 Dr. Tim Zacharewski from the University of -- or, I'm
16 sorry, from Michigan State University is also observing on
17 webcast. We bring this up, because these are experts in
18 the field, and if there's an extraordinary circumstance
19 where you'd have a question regarding any of their work,
20 they're available one way or another.

21 So without further ado, I'd like to introduce Dr.
22 Cunningham.

23 CHAIRPERSON MACK: Can I just make one comment.

24 MR. LANDFAIR: Certainly.

25 CHAIRPERSON MACK: Thank you very much, Dr.

1 Landfair for organizing it in the way you have. I would
2 request that questions to the individual experts that
3 you're going to provide us with be held until after all of
4 them have presented. That would make, I think both time
5 and logic sensibility.

6 MR. LANDFAIR: Well, that's certainly within your
7 discretion, Dr. Mack, if you'd like to do it that way.
8 And we'll ask them to go through their presentations and
9 stand available. I did omit just the briefest word about
10 the standard for listing. I know that's sort of de
11 rigueur these days. And I don't want to dwell on it,
12 except that we all know the standard is clearly shown. So
13 those are two words, two English words. It's up to you to
14 decide whether or not the evidence is clearly shows.

15 What we want to convey in this context is we're
16 not looking for closed cases, hard cases, or cases where,
17 you know, the precautionary principle might be invoked or
18 we believe that there's likely to be a carcinogen and we
19 want to err on the side of human safety. This is a whole
20 different statutory regime.

21 And the question is it clearly shown to cause
22 cancer. If I were to reduce that to a numerical analysis,
23 I'd say on 1 to 10, we're looking for 10s, not five, six,
24 and seven. So thanks very much.

25 CHAIRPERSON MACK: Thank you.

1 --o0o--

2 DR. CUNNINGHAM: Members of the Committee, thank
3 you for giving me time today to talk about some of my
4 experiences in the world of DINP. My comments have been
5 submitted in summary form, so they are available I think
6 in your packet.

7 As you know, DINP and phthalates in general as a
8 class are some of the most widely studied industrial
9 chemicals in commerce today. My personal experience with
10 DINP dates back to my participation in the -- as a member
11 of the Consumer Product Safety Commission Chronic Hazard
12 Advisory Panel in 2000/2001. This panel was composed of
13 university and government scientists, including your own
14 Dr. Lauren Zeise here at the CalEPA.

15 This expert panel considered the weight of
16 evidence of DINP toxicity in rodents and whether or not
17 that would be relevant in human exposure conditions.
18 Since then, there's also been new data relevant to this
19 evaluation. And I'll talk about that in the next
20 presentation that was scheduled to be presented by Dr.
21 Klaunig. But today, I'd like to describe the relevance of
22 these findings for your present deliberations.

23 This CHAP meeting by the Consumer Products Safety
24 Commission engendered three face-to-face meetings with two
25 or three days long, including many, many conference calls

1 DR. CUNNINGHAM: PPAR alpha activation by any
2 compound be it an environmental compound, a fibrate
3 hypolipidemic drug in endogenous fatty acid in rodents
4 causes induction of peroxisomal, mitochondrial, and
5 Microsomal fatty acid metabolizing enzymes including
6 hydrogen peroxide-generating fatty acyl-CoA oxidase,
7 carnitine acetyl transferase, and cytochrome P450 4A
8 isozymes.

9 In rodents, this results in the commonly observed
10 hepatomegaly, increases in oxidative stress, and
11 ultimately, after long-term exposure, liver cancer in
12 rodents.

13 --o0o--

14 DR. CUNNINGHAM: However, in humans and non-human
15 primates, the effects of PPAR alpha activation are quite
16 different. PPAR activation in humans is actually the
17 basis for the beneficial effects of the hypolipidemic
18 compound gemfibrozil and the class of fibrates that are
19 very widely used to lower serum triglycerides and
20 cholesterol in humans.

21 It's shown not to induce increases in cell --
22 peroxisome proliferation in humans, and has as its
23 mechanism of action not increases in peroxisome
24 proliferation like in rodents, but increases in
25 Apolipoprotein A-II, lipoprotein lipase transcription and

1 humans.

2 Thank you very much.

3 CHAIRPERSON MACK: Thank you, Dr. Cunningham.

4 Dr. Hard.

5 DR. CUNNINGHAM: May I continue with the next
6 presentation?

7 CHAIRPERSON MACK: Yes, I'd prefer that we ask
8 questions after you finish.

9 DR. CUNNINGHAM: I'm sorry?

10 CHAIRPERSON MACK: I would prefer that we ask
11 questions after the four of you have made your
12 presentations, please.

13 DR. CUNNINGHAM: Okay. This was originally --

14 CHAIRPERSON MACK: I'm sorry, go ahead.

15 DR. CUNNINGHAM: -- going to be represented by
16 Dr. Klaunig, so I hope I represent his views properly.

17 His comments have been -- his written comments
18 have been provided to the Committee, so they should be
19 able to be easily accessed.

20 --o0o--

21 DR. CUNNINGHAM: In the next presentation, I'd
22 like to discuss the results of the workshop held at NIEHS
23 on the mode of action in general of PPAR alpha agonists in
24 rodents and in humans in 2011. And I participated in this
25 as an organizer, as well as a participant. And basically

1 the outcome of this report demonstrated that the CPSC
2 results were valid, and they actually extended the results
3 to a more broader regulatory framework that I'll discuss
4 later. The manuscript from this workshop has recently
5 been published and should be included in your handouts.

6 A mode of action framework used in this meeting
7 identifies key events that are associated with rodent
8 hepatocarcinogenesis that may or may not occur in humans.
9 Such a mode of action framework is actually very helpful
10 in understanding the weight of evidence of the data and
11 the human relevance of the mode of action developed in
12 experimental animals and forms the basis for the
13 conclusion of this work group that the rodent MOA of PPAR
14 alpha agonist is not relevant or is unlikely to be
15 relevant in humans.

16 So the mode of action of -- in rodents is
17 described here that begins with metabolic activation of
18 the compound, if necessary, to produce the proper
19 structural binding metabolite that can then activate a
20 PPAR alpha receptor. In rodents -- this slide is all on
21 rodents. This is associated with lipid metabolizing
22 enzyme increases, particularly peroxisomes and all the
23 associated enzymes that are associated with the phenomenon
24 of peroxisome proliferation in rodents.

25 It also includes alterations in cell growth

1 decreases in apoptosis that you see in rodents when and
2 seen, when observed, when looked for don't occur in
3 humans. Increases in liver to body weight ratio, which
4 are very significant in rodents. By far, never are seen
5 in humans or non-human primates. Other modulating
6 factors, such as alterations in gap junctions that are
7 seen to -- and are mechanistically related to the
8 hepatocarcinogenesis mechanisms when observed in rodents
9 and non-human primates -- in humans and non-human primates
10 don't exist.

11 And so the tumors that result from all this
12 activation of all these associative and causative factors
13 that you see in rodent species would really not exist in
14 humans, and are therefore really not likely to occur after
15 exposure to PPAR alpha activators.

16 --o0o--

17 DR. CUNNINGHAM: And getting close to the end.
18 Putting this into a more formalized IPCS, International
19 Program for Chemical Safety, framework for the relevance
20 of rodent and human data from the World Health
21 Organization, the common factors of metabolism of these
22 compounds, particularly phthalates, are common in rodents.
23 They do activate the PPAR receptor. However, any other
24 downstream effects are not common in rodents, and in
25 humans, such as cell proliferation. It doesn't show up in

1 human models. Pre-neoplastic liver foci that show up in
2 rodents, there's no evidence for that in humans. And
3 then, of course, tumors that are very prevalent in rodents
4 exposed to long-term PPAR alpha agonists are really
5 unlikely to show up.

6 And therefore, the conclusion that the mode of
7 action of rodent hepatocarcinogenesis is really not
8 relevant or considered unlikely to exist in a human
9 exposure scenario.

10 --o0o--

11 DR. CUNNINGHAM: And then finally, the work group
12 really did conclude that PPAR alpha activators are highly
13 unlikely to cause tumors in humans, and that the PPAR
14 activator effects related to liver cancer formation in
15 rodents are quantitatively not relevant or not likely to
16 be -- exist in human exposure conditions.

17 Thank you very much.

18 CHAIRPERSON MACK: Thank you, Dr. Cunningham.

19 DR. CUNNINGHAM: And then I'd like to introduce
20 Dr. Gordon Hard. You get the real reward for coming from
21 the furthest away.

22 DR. HARD: Thank you. You've received my written
23 submission, I hope. But I really want to thank you for
24 this opportunity to address you in person about the --
25 woops.

1 --o0o--

2 DR. HARD: -- about the kidney effects of DINP.
3 So thank you very much, Mr. Chairman and Committee.

4 The key histopathologic features of alpha
5 2u-globulin nephropathy in male rats commenced with
6 hyaline droplet formation containing alpha 2u-globulin in
7 the S2 or the second segment of the proximal tubule.
8 There is single cell loss because epithelial cells crammed
9 with droplets drop out into the lumen. And these sloughed
10 cells form granular casts, at the so-called
11 corticomedullary junction. Usually, this is seen at 13
12 weeks.

13 These tend to disappear, but many months after
14 the start of treatment, mineralized cell debris formed
15 streaks in the tubules of the papilla. And this is
16 usually not seen unless there's a 15 month interim
17 sacrifice. And, of course, by study termination, there
18 can be a low incidence of renal tubule tumors. I've got
19 variable there, because it's important to recognize that
20 chemicals can be strong, moderate, or weak in inducers of
21 alpha 2u-globulin nephropathy. And so the renal tumor
22 incidence varies accordingly.

23 --o0o--

24 DR. HARD: This is a ribbon diagrammatic likeness
25 of the alpha 2u-globulin molecule. There is a hydrophobic

1 pocket in the center. And under normal conditions, this
2 low molecular weight protein has a very long half-life of
3 five to eight hours.

4 And the process driving this mechanism is the
5 loose binding of the chemical or its metabolite into that
6 hydrophobic pocket. And this interferes with degradate --
7 enzymatic degradation of the protein and leads to
8 engorgement of cells with indigestible crystal-like
9 protein that causes them to drop out and cause the cell
10 loss.

11 So this process is really a perturbation of a
12 male rat physiological process involving a protein that
13 does not occur in humans.

14 --o0o--

15 DR. HARD: How does DINP measure up against the
16 IARC criteria for this mode of action?

17 Well, renal tumors have been seen in male rats,
18 as you've heard. I'll dwell a little bit on the hyaline
19 droplet though, because the awareness of the hyaline
20 droplets in alpha 2u-globulin nephropathy came to
21 prominence in the mid to late eighties. And this
22 pre- -- and some of these subacute studies of DINP
23 predated this emerging awareness of hyaline droplets. And
24 so the lesions were probably not recognized.

25 There are also other reasons why this could have

1 happened. But anyway, Schoonhoven -- and I think you've
2 been give this abstract. Schoonhoven described
3 accumulation of alpha 2u-globulin in the cortex of rat
4 kidneys at five days. And this implies the acute presence
5 of hyaline droplets in the cortex. Caldwell also
6 identified protein droplets much later at 12 months still
7 persisting. And both of these authors identified the
8 accumulating protein as alpha 2u.

9 --o0o--

10 DR. HARD: Criterion 4 I considered to be one of
11 the most important for DINP, and that is because granular
12 casts and linear papillary mineralization are very
13 distinctive lesions, and together, they are virtually
14 pathognomonic for an alpha 2u-globulin nephropathy. And
15 in each case for these lesion -- in each lesion case, two
16 studies have identified them. And in the case of Myers,
17 granular casts were actually described as being located at
18 the corticomedullary junction and containing epithelial
19 cell -- degenerative epithelial cells. And this also
20 implies that there was hyaline droplets further up in the
21 cortex to lead to that particular lesion.

22 None of the subchronic or chronic studies have
23 recorded any of these kidney changes in female rats or
24 mice of either sex. And you will have read in Dr.
25 Felton's written submission that DINP is negative for

1 genotoxicity, and with -- from a variety of short-term
2 tests.

3 --o0o--

4 DR. HARD: So DINP fulfills the six IARC
5 criteria, but also three additional items, which -- of
6 supporting evidence proposed by IARC. The most important
7 of these is that Schoonhoven showed reversible binding of
8 DINP to alpha 2u-globulin.

9 He also -- they, that group, also showed a
10 doubling of cell proliferation in male rat cortex at five
11 days. And Caldwell indicated a sustained increase,
12 although modest, at 12 months. And so these various
13 changes, renal changes, that I've described have been seen
14 at doses which matched those where the renal tumors
15 occurred.

16 So to sum up, DINP ticks all of the boxes for an
17 alpha 2u-globulin nephropathy. And the kidney --
18 resulting kidney tumors are not relevant for human cancer
19 hazard assessment.

20 And my indulgence, if you are wondering where I
21 come from. I'll give you Paku Hill, Tairua, New Zealand.

22 Thank you very much.

23 CHAIRPERSON MACK: Thank you, Dr. Hard.

24 --o0o--

25 DR. FOREMAN: Okay. Moving along. In the

1 historical control ranges. Given time limitations and the
2 fact that the principles are consistent across all three
3 tumors, I'm going to summarize them as a whole.

4 As you can see, you have the treatment incidence
5 levels of all three tumor types that were highlighted.
6 They are all within the historical control ranges that are
7 implemented on the slides, the 5.7 for the islet cell
8 tumor is less than the six percent. The 2.9 percent in
9 the female mice is less than the four percent of
10 historical controls. The 11.7 percent for the testicular
11 cell carcinomas is less than the 3.4 to 23.4 percent of
12 historical control ranges found in the literature.

13 And finally, for the endometrial cell
14 carcinoma -- adenocarcinomas, the range of -- the value of
15 2.9 percent is less than the spontaneous frequencies that
16 have been reported up to 18 percent. I would also like to
17 highlight that these were not statistically significant
18 within the own studies that were conducted from the
19 controls in those studies.

20 And as was reported earlier, the amount of
21 studies that were conducted were six in Fischer rats, two
22 in Sprague-Dawley, and four in the B6. So these were
23 tumors the were found in only one or two of the studies.
24 Whenever you have multiple different studies, you don't
25 have consistency of tumor type across multiple studies,

1 which is often looked for.

2 --o0o--

3 DR. FOREMAN: Next I'm going to look into a
4 little bit more depth into MNCL. This is a high frequency
5 aging legion, which occurs -- aging lesion which occurs
6 spontaneously in the Fischer rats. Its spontaneous
7 incidence ranges from 32 to 74 percent. This is extremely
8 high incidence level for these animals. As you can look,
9 the tumor data in the DINP studies is similar to the
10 historical averages. And it was indicated in the earlier
11 talk that the female data are outside that range. But if
12 you look, it's 53.8 percent and the top end of the range
13 is 52 percent.

14 Additionally, many factors affect tumor
15 frequency, which are unrelated to treatment. Dosing
16 methods have been shown by oral gavage will increase
17 incidence in the male animals and not the female
18 incidence. Variability has been seen by caging, diet,
19 different vehicle, as well as incredible variability
20 between testing in the laboratory. So it's really
21 difficult to put these into context for a treatment
22 related issue.

23 Also, these tumors are not found in the
24 Sprague-Dawley rats or mice that were found.
25 Additionally, it's probable that most, if not all, of the

1 Fischer MNCL is derived from a natural killer cell subset
2 of large granular cell lymphocytes.

3 In the Fischer rats MNCL is an aggressive and
4 often fatal disease in older animals. The closest analog
5 in humans is a natural killer cell LGL derived malignancy
6 that is extremely aggressive, but only occurs in young
7 adults. Additionally, the human disease is rare and is
8 believed to involve a viral mechanism. There has been no
9 association with exposure to chemicals, and the high
10 susceptibility has only been seen in these Fischer
11 animals, which is one of the reasons the NTP has stopped
12 using these animals in their studies.

13 Now quickly, before moving onto my last slide,
14 I'd like to discuss the Ito paper which was brought up
15 earlier as being a issue with the PPAR alpha mode of
16 action. In that paper, there was reported to be a
17 statistically significant increase in the knockout animals
18 after treatment.

19 There's one -- there's a couple of issues with
20 this conclusion. First, the tumors are grouped in an
21 unusual fashion, which includes a bile duct tumor with the
22 liver adenomas and carcinomas. Without the bile duct
23 tumor, the data are not significantly -- statistically
24 significant. And this is a point that was pointed out in
25 the IARC document as well.

1 Also, the values are within historical control
2 levels as reported by Halroid et al. And I would like to
3 say that the Halroid et al., they use the same animals and
4 they're indicated as having come from the same colony. So
5 it should be indicative of background incidence that would
6 expected to be seen in these animals. The specific data
7 from the Halroid et al. they had six out of 12 animals
8 with adenomas, in comparison to the two out of -- six
9 out of -- sorry, six out of 12 adenomas in comparison to
10 the six out of 31 adenomas seen in the Ito paper.

11 And there was two out of 12 carcinomas in the
12 Halroid paper in comparison to the two -- or the one out
13 of 31 carcinomas seen in the Ito paper, because you can
14 see these are very similar numbers. And this is based on
15 untreated similarly aged animals from the Halroid using
16 the same model and the same colony.

17 Also, I have some personal experience with these
18 animals, given that my NRSA was on conducting species
19 sensitivity on a high affinity PPAR alpha agonist using
20 humanized, knockout, and wild type animals. And we saw
21 similar incidence levels in the knockout animals in the
22 untreated groups that were unrelated to treatment.

23 So this is likely a background incident tumor
24 that could be due to having knocked out a gene that is
25 really important to liver function or possibly just the

1 creation of another inbred strain that has a unique level
2 of background incidence.

3 --o0o--

4 DR. FOREMAN: Finally, I'm going to move onto my
5 summary slide. So the weight of evidence does not support
6 DINP as a human carcinogen. I would like to point out in
7 reference to an earlier question by the Committee, that
8 the FDA recently did review phthalates with food contact
9 uses and found no issues. And DINP does have food contact
10 issues, so it would have been included in that evaluation.
11 Additionally, it has been evaluated extensively in Europe
12 and not classified.

13 So back to the slide. So tumors observed in
14 rodents are not relevant to human cancer assessment. In
15 the liver, DINP meets both IARC and ILSI criteria, as
16 peroxisome proliferator, a mode of action that is relevant
17 to humans.

18 The kidney, as explain by Dr. Hard, satisfies
19 IARC and U.S. EPA criteria for lack of relevance. The
20 MNCL is a spontaneous lesion with high prevalence in the
21 test strain. I'd like to emphasize again that this test
22 strain has stopped being used by the NTP, because of this
23 high incidence -- or in part because of this high
24 incidence level.

25 Also, the non-statistically significant increase

1 highlight the last reference by Corton et al., the
2 critical review, which was just posted on-line November
3 2013. This is of special importance, because it's a
4 publication by a diverse panel of experts, one of which
5 you heard from today, evaluating the PPAR alpha mode of
6 action, which includes the Ito paper and other more recent
7 information on that mode of action.

8 And it was published just last month and was
9 unfortunately not available to the HID whenever they put
10 together their paper for you guys to review. The main
11 conclusions being that liver tumors are due to the PPAR
12 alpha mode of action and are not relevant to humans.

13 I'd like to thank you for your time. Hopefully,
14 I did not speak too quickly, but I was trying to get
15 through it to stick with our 30 minutes.

16 And if you have any questions for the general
17 panel, we'd be happy to address them for you.

18 CHAIRPERSON MACK: Thank you, Dr. Foreman.

19 Now, are there questions from the panel for any
20 of the four presenters?

21 COMMITTEE MEMBER LANDOLPH: Yeah. Thank you all
22 for your nice presentations. I have a number of
23 questions. I guess for Dr. Cunningham, thank you for your
24 presentation. In terms of plots of the cancer risk versus
25 the dose with the PPAR compounds in rodents, how do the

1 curves look? Do they have thresholds in them or are they
2 linear no threshold dose response curves?

3 DR. CUNNINGHAM: In rodent studies?

4 COMMITTEE MEMBER LANDOLPH: Yeah.

5 DR. CUNNINGHAM: They tend to be dose related.

6 COMMITTEE MEMBER LANDOLPH: But I understand
7 that. That's fine. Now, I'm asking you specifically how
8 dose related? Is there a threshold and then an upward
9 trend or are they linear and do they extrapolate through
10 zero dose?

11 DR. CUNNINGHAM: From the data I'm familiar with,
12 there's certainly a threshold. And some studies that I'm
13 familiar with they're actually reversible if you take the
14 compound out of the diet, like six months before
15 sacrifice, and the tumors regress.

16 COMMITTEE MEMBER LANDOLPH: And then another
17 question on the hydrogen peroxide generation. Is that a
18 leakage of active oxygen species that misses the substrate
19 that generates the hydrogen peroxide? How is that formed?

20 DR. CUNNINGHAM: I think the common idea is that
21 it's an overproduction of super oxide, because you've got
22 an increase in substrate. And the first part of the
23 metabolism is to activate molecular oxygen adding two
24 electrons to cause the actual oxidation of the substrate,
25 and that overwhelms the catalase and the peroxidases and

1 all the antioxidant defenses. And I have seen some papers
2 where measuring things like vitamin E or vitamin C those
3 actually fall after chronic exposure to peroxisome
4 proliferators.

5 COMMITTEE MEMBER LANDOLPH: So then you're
6 getting oxidative stress, so you should be getting
7 8-hydroxydeoxyguanosine in the DNA. That should be
8 mutagenic. Is that the case, do you find that?

9 DR. CUNNINGHAM: There's been one or two papers
10 where that has shown up as an increase, but there's
11 several papers that have not demonstrated that as well.
12 So I think that's probably based on the difficulty of
13 accurately analyzing for 8-hydroxyguanosine in DNA. But
14 it has -- there has been some reports where that has
15 increased.

16 COMMITTEE MEMBER LANDOLPH: And has anybody
17 looked at tumors generated in rodents, say in the liver,
18 by the PPAR alpha agonists and sequenced oncogenes or
19 sequenced tumor suppressor genes and asked whether there
20 were mutations there consistent with
21 8-hydroxydeoxyguanosine induced mutations, has that been
22 done?

23 DR. CUNNINGHAM: That's an excellent suggestion
24 and nothing comes to mind that that's been done. Sorry.

25 COMMITTEE MEMBER LANDOLPH: Thank you.

1 CHAIRPERSON MACK: David.

2 COMMITTEE MEMBER EASTMOND: Yeah, I have a couple
3 of questions. First of all, I'd like to thank the
4 reviewers, the public for making the comments. I found
5 them very helpful.

6 Dr. Hard, two questions for you. First of all,
7 it's my impression there are two different types of tumors
8 that were seen on the kidney in these various studies,
9 right? So you're focusing mainly on the tubular ones
10 exclusively.

11 DR. HARD: (Nods head.)

12 COMMITTEE MEMBER EASTMOND: And the other one,
13 which was a rare one, doesn't fall into this same
14 mechanism.

15 DR. HARD: No, it does not. Transitional cell
16 carcinomas are indeed very uncommon, but I do -- would
17 like to point out that they -- where they occurred, it was
18 non-significant incidence. I personally would have liked
19 to have had a look at them to be able to be assured that
20 they were correctly diagnosed.

21 And the third thing is that in that Lington
22 study. If you look at the data, the pathology data on
23 that, there is a very -- quite a high incidence of
24 transitional cell hyperplasia in all of the groups,
25 controls included, and much higher than I would have

1 expected. So that suggests to me that maybe there's some
2 infection going on, and so I think those -- those
3 transitional cell tumors are not really -- not related to
4 DINP.

5 COMMITTEE MEMBER EASTMOND: Let me ask for
6 clarification. You had indicated that of the specific
7 changes that really form criteria for these alpha
8 2u-globulin mechanisms, that they weren't -- those
9 specific changes weren't seen in the female animals
10 correct?

11 DR. HARD: (Nods head.)

12 COMMITTEE MEMBER EASTMOND: Apparently, in the
13 report in the document, I think Rajpal mentioned there
14 were some kidney effects seen in the -- in those studies.
15 Can you contrast those or give them a little more
16 background on it.

17 DR. HARD: One thing we haven't discussed here is
18 the spontaneous entity that is very common in rat strains
19 called chronic progressive nephropathy, CPN, I'll call it.
20 And CPN is exacerbated by, in my experience, all chemicals
21 that induce alpha 2u-globulin. So it's a co-partner of
22 the alpha 2u response. Pathologists describe the early
23 lesions of chronic progressive nephropathy as regenerative
24 tubules or regenerative basophilic tubules. And in the
25 female instance that you're referring to, it was the

1 description of that change was regenerative tubule.

2 Again, that is telling me that this is CPN not
3 related to the actual alpha 2u-globulin sequence of
4 events. And spontaneous CPN was recorded in some of the
5 other studies.

6 COMMITTEE MEMBER EASTMOND: Thank you very much.

7 I have another question for Dr. Foreman, if
8 that's...

9 DR. FOREMAN: Yes.

10 COMMITTEE MEMBER EASTMOND: Towards the end of
11 your presentation, you had mentioned the issue about there
12 was some strong peroxisome proliferating activating
13 agonists.

14 DR. FOREMAN: High affinity.

15 COMMITTEE MEMBER EASTMOND: High affinity, that
16 was it, okay. And can you describe kind of the effects
17 that are seen with those in humans and why you think
18 they're different than the rodent effects?

19 DR. FOREMAN: Well, I would say fibrate drugs
20 would be a good example of a higher affinity PPAR alpha
21 agonist. And this is used as treatment of hypolipidemic
22 aspects. And it's used in the clinical aspect, and so
23 follow up with these patients over 10 years, you see
24 decrease in cholesterol and blood anomalies. But after
25 liver biopsies and such, you don't see any effects in the

1 liver that you would see in the rodents after exposure to
2 these same compounds which have a much higher affinity.
3 And given the progression of the disease, even if you saw
4 it earlier on in humans, you would still expect to see
5 evidence of the progression, even if you weren't seeing
6 the tumors exactly.

7 So these high affinity agonists, which are
8 activating the receptor to a much higher extent than DINP,
9 have been evaluated in a clinical setting and have not
10 seen higher incidence rates from people who have been
11 exposed or have been taking these. Does that answer the
12 question?

13 COMMITTEE MEMBER EASTMOND: Yeah. Thank you very
14 much.

15 DR. FOREMAN: I would just like to point out - I
16 forgot to mention - that the other modes of action that
17 were brought up as possible mechanisms, they're all part
18 of the PPAR alpha mode of action. So the first step of
19 activation is necessary but not sufficient. So it needs
20 to be followed by these other steps like the NF kappa B
21 activation, the gap junction. It's all part of the
22 downstream processes that occurs.

23 So it's well known and has been considered within
24 the PPAR alpha mode of action. So it's not a separate
25 entity. It's part of that mode of action. And the first

1 step is the activation of the PPAR alpha, which has been
2 classified in the human framework as necessary but not
3 sufficient.

4 COMMITTEE MEMBER EASTMOND: Thank you.

5 COMMITTEE MEMBER THOMAS: While you're up
6 therefore, Dr. Foreman, I have one more question for you.
7 For the MNCL you highlighted the high background incidence
8 in this -- in the Fischer rats, which are enormously
9 variable, two and a half to four-fold almost. Can you
10 give me any rationale why we should not favor the study
11 controls, which show strong dose response in a presumably
12 well controlled randomized assignment, as opposed to
13 the -- you know, why we should give any greater weight to
14 this historical control data?

15 DR. FOREMAN: So if you look at the information,
16 there are multiple factors that affect this variability.
17 You're looking at, in these animals, most likely a disease
18 subset. And it's possible that you could have diseasing,
19 which is secondary. So the MNCL is responding to a
20 secondary event, which is not specific to the treatment.

21 Again, I'm going out and hypothesizing. This is
22 not my area of expertise, but there's a good chance you
23 may see the dose response related to the fact that these
24 animals are responding to something else. If changing
25 their food or giving them a gavage or their diet or

1 housing has the ability to accept the variability, it's
2 not so much of a stretch to assume that a diseased animal
3 might show a different variability in a background
4 incident tumor.

5 I mean, also it's within the historical control
6 range. There may just be incidence that occurs that's
7 chance findings. It's not repeated across multiple
8 studies. So other ones have been within the Sprague --
9 within the Fischer, you see multiple Fischer studies,
10 which have the background incidence, but cancer studies
11 with DINP done in other strains and done in mice do not
12 show any occurrence of this.

13 So that is, I think, probably the key reason why
14 you wouldn't consider that is because it's unique to that
15 strain, and that strain is known to have problems. So you
16 can look to the other studies. And in those other
17 studies, in the Sprague-Dawley in the mice, you don't see
18 that effect or any indication of that effect. So I'd say
19 that was the strongest piece of evidence.

20 CHAIRPERSON MACK: Thank you, Dr. Foreman.

21 Jason.

22 COMMITTEE MEMBER BUSH: Thank you. I, too, want
23 to thank the presenters for the data that they put forth.
24 It was informative. I do have a specific question for
25 you, Dr. Foreman. You had mentioned about the MNCL

1 equivalent disease was -- and that's a point of
2 clarification, was that -- you said it was something like
3 a natural killer?

4 DR. FOREMAN: Natural killer cell derived
5 malignancy.

6 COMMITTEE MEMBER BUSH: More prevalent in
7 children.

8 DR. FOREMAN: It's the closest analog.

9 COMMITTEE MEMBER BUSH: Okay.

10 DR. FOREMAN: So I wouldn't say it's equivalent,
11 but it's the closest analog that people have tried to find
12 that may potentially be related. So there's a lot of
13 caveats whenever you're looking at the equivalency of
14 this. And again, this has been considered previously in a
15 lot of the expert's reviews and other organizations that
16 have dismissed these as being of relevance to humans.

17 COMMITTEE MEMBER BUSH: Okay. Thank you. The
18 reason I ask is some of the data that we have in front of
19 us about the exposure in biomonitoring suggests that the
20 metabolites of DINP are higher in children and toddlers.
21 Do you -- are you able to make any comment about that?

22 DR. FOREMAN: I would say that there is no
23 increased risk -- I'm going to say increased hazard for
24 children or toddlers. I mean, we have a well -- the
25 uncertainty. We have a well good idea of the level of

1 exposure. It's well measured. And this is again, like I
2 said, the closest analog in young adults not children or
3 toddlers.

4 Dr. Hallmark is one of the experts here, would
5 you like to add a comment to that? At the discretion of
6 the Chair, if I may.

7 DR. HALLMARK: My name is Nina Hallmark. I'm a
8 toxicologist with ExxonMobil. My research background is
9 in testicular cancer. What I just wanted to take the
10 liberty to share is while we didn't expand on
11 authoritative bodies today at the request of the Chair, I
12 would just like to highlight that in Europe, the European
13 Chemicals Agency has just done a detailed evaluation of
14 DINP with children in mind, and they did not have a
15 concern for DINP with children.

16 CHAIRPERSON MACK: Thank you.

17 Joe.

18 COMMITTEE MEMBER LANDOLPH: For Dr. Cunningham.
19 I don't think Jim Felton can answer us. Jim mentioned
20 that there was no evidence of mutagenic potential. And he
21 said all Ames tester strains were used. Did they use the
22 one TA102 which specifically detects oxygen radicals,
23 induced damage?

24 DR. CUNNINGHAM: Do you know?

25 DR. FOREMAN: I'm sorry, which one?

1 DR. CUNNINGHAM: TA102?

2 I think that's one of the standard strains, so I
3 would assume, but I didn't review the mutagenicity data.

4 COMMITTEE MEMBER LANDOLPH: Okay.

5 DR. FOREMAN: Can you repeat the question?

6 DR. CUNNINGHAM: TA102?

7 COMMITTEE MEMBER LANDOLPH: Was TA102 used as a
8 tester strain for DINP?

9 DR. FOREMAN: I don't see any indication in
10 Felton's comments, but I'd be happy to -- you have them in
11 front of you. You should -- they've been submitted and be
12 happy to go over them. His overall conclusions was that
13 genotoxicity was not an issue for phthalates in general
14 and DINP specifically.

15 COMMITTEE MEMBER LANDOLPH: Yeah, just that
16 question still not answered. I read his comments. Thank
17 you.

18 Could I ask Dr. Hard a question. Thank you for
19 your nice presentation. In female rats and mice is alpha
20 2u-globulin not present? Is it not synthesized?

21 DR. HARD: Alpha 2u is not present in mice. It's
22 present in -- mainly in male rats and where it's
23 synthesized in the liver, but also present in some of the
24 secondary sex glands. And in female rats, it's present in
25 salivary gland and some secondary sex glands. But in

1 terms of excretion of alpha 2u, the difference between
2 males and females is something between 100 and 300 times
3 more prevalent in the males.

4 So that's coming mainly from the liver synthesis,
5 but it would not be correct to say that there's no alpha
6 2u. And it's probably different -- this is jet lag
7 garble -- probably different isomers.

8 COMMITTEE MEMBER LANDOLPH: And does the
9 mineralization lead to a scoring of the kidney epithelial
10 cells? Does it lead to a compensatory hyperplasia? Is
11 that how tumors are generated?

12 DR. HARD: Not really. We think that
13 the -- well, I think we're pretty sure that the
14 mineralized cell debris is actually in the descending
15 limbs of Henle, and probably blocks them, but there
16 doesn't appear to be any morphological consequence of
17 that.

18 COMMITTEE MEMBER LANDOLPH: So the mineralization
19 is not leading to tumors is what I think I hear you
20 saying, is that correct?

21 DR. HARD: No, it's not leading to tumors, but I
22 think -- again in my experience, I think that the presence
23 of that lesion is a marker in a sense that there might be
24 tumors. In other words, if a very weak alpha 2u inducer
25 may not produce mineralization in the papilla and may not

1 produce renal tumors.

2 COMMITTEE MEMBER LANDOLPH: Thank you.

3 CHAIRPERSON MACK: If there are no questions --
4 if there are no more questions, then Joe, would you like
5 to provide your summaries, views.

6 COMMITTEE MEMBER LANDOLPH: Sure, Tom. Thank you
7 ver much. I read this material pretty extensively on
8 DINP.

9 CHAIRPERSON MACK: Joe, I'm sorry. Would you
10 like to make some remarks?

11 DR. SANDY: Yes. Thank you, Dr. Mack. I think
12 we'd like to respond to a few things, if we may. Dr.
13 Landolph asked a question about which salmonella strains
14 had been tested. And if you turn to page 31 of the hazard
15 identification document, that's where we review the
16 information we have. And DINP has been tested in TA
17 strains TA 98, 100, 1535, 1537, and 1538. But we're not
18 aware of any testing done in the strains that are
19 sensitive to oxidative DNA damage such as TA100 and 104.

20 COMMITTEE MEMBER LANDOLPH: Thank you. Yeah, and
21 I asked that question, because of the possibility that the
22 tumors might be mediated through hy --
23 8-hydroxydeoxyguanosine from the peroxide. Thank you.
24 That's very interesting.

25 DR. SANDY: I also, if I may, would like to

1 discuss the issue of controls. We've heard a lot about
2 that. And it's the general principle which is espoused in
3 the most recent IARC preamble, for example, is that the
4 most appropriate control is the concurrent control, and
5 that's what we should look at. When you have some
6 variability in the level of spontaneous incidence seen in
7 animals, then you sometimes turn to historical control
8 data to get some additional information. And so now I'd
9 like to talk about historical controls, and what the ideal
10 historical control would be.

11 That would be data on untreated animals from the
12 same laboratory and animals from the same supplier, as the
13 study of interest. You'd want to use -- look at the
14 untreated animals that had the same route of exposure. So
15 if it was an inhalation study, you'd want chamber
16 controls. In this case, it's a feeding study, so you'd
17 want to look at controls in feeding studies, diet studies.
18 You'd also want to look at, within the same point in time,
19 and usually it's plus or minus three years. Sometimes
20 plus or minus five years from the date of the start of the
21 study that you're concerned about and the end of that
22 study.

23 So for the studies we're talking about here with
24 DINP, we don't have historical control data from the same
25 laboratory. We don't have -- we only have one set of

1 studies that's published in the literature. There's no
2 historical control data from those laboratories that's
3 been provided to us. So what we have done is look in the
4 literature to find what information we can about other
5 studies in the same strain and sex of animal, but we can't
6 say that that's optimal data. It's just what we could
7 find

8 If you would like, we can elaborate a little more
9 on the specific sites, tumor sites. So I see some nods
10 that that would be helpful.

11 COMMITTEE MEMBER THOMAS: Can I just follow up
12 with your comments on historical controls though. I agree
13 with the principles that you've described, and just wonder
14 if you could respond specifically to the comment about NTP
15 having discontinued use of the Fischer rats, and whether
16 that is relevant for us to consider, in terms of the
17 credibility of those findings for the leukemias.

18 DR. BUDROE: Well, NTP hasn't exactly
19 discontinued the use of Fischer 344 rats. They've
20 discontinued the use of the N substrain, which is the NIH
21 derived substrain. They are now using, for example, Han
22 Wistar rats in some studies, but they're also using
23 Fischer 344 NCTR substrain. And the F344/NTac substrain,
24 which is Taconic Farms derived. So they've gone away,
25 more or less, from using the N strain, but they are still

1 using Fischer substrains.

2 COMMITTEE MEMBER THOMAS: Thank you.

3 CHAIRPERSON MACK: Okay. Joe.

4 COMMITTEE MEMBER LANDOLPH: So -- oh, go ahead.

5 CHAIRPERSON MACK: Who wants to speak?

6 DR. SANDY: I'm sorry. We did see some -- I did
7 see some nods from some Committee members on, yes, they
8 would like to see some more information on the historical
9 control data we found in the literature. And we have it
10 summarized. So I'll ask John Budroe to present that.

11 We'll just present a little bit on the MNCL
12 first.

13 DR. BUDROE: Okay. On the slide, the first quote
14 is by a publication by Thomas 2007. F344 LGLL, and that's
15 the author's term for mononuclear cell leukemia or MNCL,
16 is quite comparable to the aggressive human natural killer
17 cell LGL leukemia on morphological, functional, and
18 clinical basis.

19 U.S. EPA in a toxicological review of
20 trichloroethylene in 2012 noted that the analysis by
21 Thomas found that Fischer MNCL induction was more often
22 than not confined to one sex.

23 --o0o--

24 DR. BUDROE: And it's -- in light of that, it's
25 relevant to note that a significant increase in MNCL

1 incidence was reported in both male and female DINP
2 exposed Fischer rats by both Lington in '97 and Moore in
3 1998.

4 CPSC in the 2001 CHAP review stated that, "Also
5 while the lesion rarely occurs in untreated rats less than
6 20 months of age, DINP animals were first observed with
7 this tumor at considerably younger ages. It is therefore
8 highly unlikely that these findings were unrelated to
9 treatment".

10 And in a technical review by U.S. EPA in 2005,
11 and I'll note that this was a review of toxicity, but was
12 not a cancer classification review, that to quote from the
13 document, "The increases mortality due to MNCL in DINP
14 treated rats suggests that DINP is associated with the
15 elevated incidence, progression, and severity of MNCL.
16 The tumor findings may be biologically significant because
17 the time to onset of tumor was shorter, and the disease
18 was more severe in treated than in control animals. The
19 agency believes that the data for MNCL are indicative of a
20 carcinogenic response to DINP".

21 COMMITTEE MEMBER EASTMOND: Tom, can I ask a
22 question?

23 Now is that an EPA -- the 2005, is that one of
24 their draft documents? Because I understand they never
25 finalized their review on --

1 DR. BUDROE: That was a technical review
2 document. I'm not -- yeah, probably for TSCA, so it
3 wasn't done, for example, for the IRIS Program.

4 DR. SANDY: And if I can add. As I said before,
5 that was just a review of data submitted to EPA under
6 TSCA. It was not an overall review of the carcinogenicity
7 of DINP.

8 --o0o--

9 DR. BUDROE: Okay. Discuss some of the male rat
10 renal tubular cell carcinoma data. The IARC 1999
11 relevance criteria for male rat kidney tumors produced by
12 chemicals that also induce renal alpha 2u production.

13 The IARC criteria are newer and they're more
14 detailed than the corresponding U.S. EPA 1991 criteria.
15 And as noted in the HID, several IARC criteria were not
16 met. Now, the Schoonhoven 2001 abstract says conclusions
17 which could support -- would potentially support IARC
18 criteria number 2. Acute exposure exacerbates hyaline
19 droplet formation, and supporting evidence number 1
20 reversible binding of chemical or metabolites alpha
21 2u-globulin.

22 However, the abstract does not provide details of
23 study design, methodology, or detailed data. And this
24 study was never published in a peer-reviewed journal.

25 And with regard to the Caldwell 1999 study, there

1 were some parameters like, for example, increased cell
2 proliferation in male rat cortex. Caldwell reported
3 increased cell proliferation, but it wasn't statistically
4 significant. In fact, the percentage of proliferation in
5 the male rats were relatively close to that seen in female
6 rats. So there wasn't a great deal of difference between
7 the sexes.

8 --o0o--

9 DR. BUDROE: And in 2007, published in-house it's
10 the NTP studies, which indicated the lack of correlation
11 between male rat kidney tumor response and renal alpha
12 2u-globulin concentrations, or micro histopathological
13 evidence of alpha 2u-globulin associated nephropathy. So
14 this suggests that alpha 2u-globulin induction may not
15 adequately explain male rat kidney tumors.

16 DR. SANDY: And just to add, we don't have any
17 data on the bioassays -- on what the effects. We heard
18 some presentations from the public commenters that there
19 were -- there was accumulation of hyaline droplets. We
20 don't have even a description of that in any of the
21 secondary reviews done by the EC or CPSC. So we were
22 looking at the data we had, and wrote that up in the
23 document.

24 Cindy, if we could have one of the other slides
25 next.

1 --o0o--

2 DR. BUDROE: Okay. Regarding the pancreatic
3 islet cell carcinoma incidence in the DINP treated male
4 Sprague-Dawley rats and the available historical control
5 data. In the Bio\Dynamics 1986 study, control incidence
6 was 1.4 percent, and the 10,000 ppm treated DINP group was
7 5.7 percent. The historical control data available over
8 four studies essentially indicates that the incidence is
9 generally low enough. The mean incidence in the
10 historical control group is available to us that
11 pancreatic islet cell carcinomas are rare in male
12 Sprague-Dawley rats.

13 --o0o--

14 DR. BUDROE: And endometrial adenocarcinoma
15 incidence in DINP treated female Sprague-Dawley rats
16 compared to the available historical control data
17 Bio\Dynamics 1986 controls zero percent 0 to 70, 10,000
18 ppm DINP, 2.9 percent. As you can see from the five
19 historical control data groups that we had available, the
20 range -- one range given was 0 to 1.4 percent. Most of
21 the mean incidences were well under one percent. The
22 10,000 ppm DINP incidence falls outside of the one
23 historical control range we have available. And the
24 incidence -- the historical control incidences are
25 generally below one percent, in some cases well below one

1 CHAIRPERSON MACK: Always can be indulged to a
2 point.

3 (Laughter.)

4 DR. SANDY: Thank you. Okay. So the last thing
5 I wanted to say was we've heard a lot about the PPAR alpha
6 mode of action hypothesis. And I wanted to point you to
7 the page numbers in the hazard identification document
8 where we address this specifically with the data on DINP.
9 And so that's page 53 through 56, where we discuss the
10 data from studies with DINP related -- so related to PPAR
11 alpha. And it is clear that DINP does activate PPAR
12 alpha. And that's reviewed on the first two pages, 53
13 through 54. And then we look at the information that
14 suggests that it may be relevant to the induction of the
15 liver tumors that are seen.

16 And our conclusion is that there's inconsistency
17 in the hepatocellular proliferation in the short term in
18 these studies, in the DINP-exposed rats and mice, and
19 there's also a lack of sustained, long-term hepatocellular
20 proliferation in the DINP-exposed rats that suggests that
21 PPAR alpha activation may not be involved. And you've
22 heard in the document reviews other things that DINP does.
23 It activates other nuclear receptors and does a whole host
24 of other things. I just wanted to point you to that.

25 Thank you.

1 CHAIRPERSON MACK: All right. Now, Joe.

2 COMMITTEE MEMBER LANDOLPH: I want to thank Dr.
3 Budroe, Dr. Sandy and all your team for putting together
4 the hazard ID document, and the public for all your
5 comments.

6 I thought about this quite a lot. And Dr.
7 Luoping and I were on an EPA panel, and we dealt with
8 perchloroethylene, and a lot of same issues came up. The
9 issue of the MCL as an endpoint. We thought it's a little
10 bit of wonky endpoint, because the background is always
11 high, but you do see dose responses against that.

12 And there's arguments as to whether it's relevant
13 to human tumors. One author claims it is, other authors
14 claims it's not. And we went through the same arguments
15 about the liver tumors, and we had one of Jim Klaunig's
16 very competent colleagues from Indiana University discuss
17 that.

18 And I would take the same approach here as I did
19 there. We've got four tumor sites. They're all positive.
20 There's induction at every site, so it's difficult for me
21 to throw that positive data out the window. I think
22 that's intellectually dishonest, so I can't do that.

23 So I have to respect that, particularly when a
24 lot of the data, not all of it, but a lot of it is very
25 dose responsive. And for much of it, the trends -- not

1 all of it, but for much of it, the trends are
2 statistically significant, and the fact that the other
3 PPAR agonists cause it.

4 So I respect that data. It's clear that these
5 compounds are not much in a way of genotoxins. They're
6 still an open hypothesis, in my mind, that maybe they're
7 generating oxygen radicals through the hydrogen peroxide
8 leakage, but that's not been followed up. It's not
9 substantiated yet.

10 So I think it's easy for me to say this next
11 sentence, but I'm going to need a legal consult for the
12 following sentence. So I think this stuff causes cancer
13 in rodents and rats and mice. Now, the question is what
14 do we do about the extrapolation question?

15 And so can you tell me, Fran, what does the law
16 tell us we have to vote on?

17 STAFF COUNSEL KAMMERER: Well, Dr. Landolph, the
18 law is pretty clear -- well, rather unclear as far as the
19 animal and human, but if you look at your criteria, you do
20 discuss that in the criteria.

21 So if the weight of scientific evidence clearly
22 shows that certain chemicals causes invasive cancer in
23 humans or that it causes invasive cancer in animals,
24 unless a mechanism of action has been shown not to be
25 relevant to humans, the Committee will normally identify

1 the chemical for listing.

2 Does that address your question?

3 COMMITTEE MEMBER LANDOLPH: Yeah, I think so,
4 because Tom and I and the rest of the Committee wrote
5 those criteria. So I just wanted to --

6 (Laughter.)

7 COMMITTEE MEMBER LANDOLPH: I just wanted to see
8 what you had to say from a legal perspective. And I guess
9 the answer is it's unclear, right?

10 STAFF COUNSEL KAMMERER: Not as far as the
11 statute is. I mean, the criteria in the statute I read to
12 you early in the meeting, and it doesn't really define
13 that. It's been discussed in some cases, but as far as
14 this, no.

15 COMMITTEE MEMBER LANDOLPH: So, yeah, that was
16 the answer to my question. Okay.

17 STAFF COUNSEL KAMMERER: It's up to the
18 Committee's judgment. That's why you debated it in the
19 criteria when you came up with those criteria. I know
20 this was discussed amongst many things. So it's your
21 decision. It's your scientific judgment.

22 COMMITTEE MEMBER LANDOLPH: Right. Okay. Thank
23 you. So I have no trouble saying that this compound is a
24 carcinogen and that it causes cancer.

25 CHAIRPERSON MACK: We're talking now among the

1 Committee members.

2 DR. FOREMAN: Is it possible before you go into
3 the discussion to address some of the issues that were
4 brought up?

5 CHAIRPERSON MACK: No, I think we've heard you
6 address it already.

7 DR. FOREMAN: We weren't -- didn't have a chance
8 to address their follow-up considerations to what we said.
9 I just thought a couple of key points on the historical
10 control ranges was that they were from the data -- the
11 laboratory data that the tests were done in, and they were
12 not statistically different from controls. So they
13 provided a lot of historical ranges from literature.

14 I would just like to point out that the ones that
15 we provided in our submission was from the control -- from
16 the laboratories that ran the experiments based on the
17 evidence that they gave, and --

18 CHAIRPERSON MACK: Thank you very much.

19 DR. FOREMAN: Okay. Thank you for your
20 consideration.

21 COMMITTEE MEMBER LANDOLPH: And then my next
22 sentence was I struggle with the issue of the relevance to
23 human tumors. I respect Jim Klaunig, and I respect Jim
24 Felton's comments, and all the comments that were given by
25 the industrial firms. So I still struggle with that

1 issue. And I certainly respect the comments that Martha
2 brought up that maybe this issue is not quite so settled
3 is that these are acting by PPAR mechanisms or by the
4 hyaline droplet mechanism. There's still a little bit of
5 wiggle-room there. So that's about all I can say.

6 CHAIRPERSON MACK: Dr. Zhang, do you have
7 anything to add?

8 COMMITTEE MEMBER ZHANG: Dr. Landolph already --
9 Sorry. Yeah -- seems expressed the most things I needed
10 to say. But again, with -- I'm just going to talk a
11 little bit more on the MNCL, as Joe just explained. And a
12 few years ago we did -- and I actually quite remember the
13 Thomas 2007 paper, but I want to make sure I just want to
14 get it out.

15 So the thing is the -- you know, our Committee
16 member too was questioning about high background level on
17 the mononucleated cell leukemia. But the Thomas 2007
18 basically reviewed all the chemicals NTP screened on this
19 Fischer 344 rats. But if you look at all the 34
20 compounds, which do not include the DINP, but if you look
21 at all the compounds, it's only five of the 34 become
22 positive, both in male and the female.

23 So that point is even though -- even though the
24 background is high, but if we see dose response, number
25 one; number two, if we see the similar results from two

1 different studies, two different laboratories, so which
2 seem to me, you know, mostly I'm doing the leukemia
3 research, cannot -- I'm still convinced this model still
4 work somewhat regarding what he was saying.

5 But also again on this same rats, it's not only
6 the MNCL, you also see the liver -- other type of the
7 cancer. So I totally agree with Dr. Landolph, you know,
8 at least, you know, is animal carcinogen.

9 CHAIRPERSON MACK: Thank you.

10 Peggy.

11 COMMITTEE MEMBER REYNOLDS: So I'd also like to
12 express my thanks actually to all of the presenters for
13 educating me on this particular chemical. As an
14 epidemiologist, and given the complete lack of epi
15 evidence on this, I'm a little bit pressed about what to
16 say. I really would like to hear more as we sort of go
17 down the line in terms of Committee members who are in
18 other disciplines about this issue that seems very key,
19 which is really whether the mechanism of action has been
20 shown to be relevant in humans.

21 And since we have no human health evidence, I'd
22 really like to hear more about that if anybody else has
23 comments on it on the panel.

24 CHAIRPERSON MACK: David.

25 COMMITTEE MEMBER EASTMOND: Sure. Let me bring

1 this forward and make it a little easier for me.

2 I've spent actually quite a bit of time reviewing
3 both this compound and the other one and thinking about
4 them and kind of wrestling with this. And I'll just go
5 through kind of my thought process, and go through these
6 descriptions.

7 Basically, you have a compound that, from all
8 evidence, is nongenotoxic. So you would say, okay, that
9 indicates it's very likely some -- through some sort of
10 nongenotoxic receptor-mediated mechanism, and you have
11 some very good plausible mechanisms. We'll come back to
12 that in a minute.

13 As far as there's no human epi data that we can
14 rely upon, we go to animal studies and there's a wide -- a
15 large number of animal studies, which have shown increases
16 or significant increases.

17 However, when I start boiling it down to those,
18 and it was kind of pointed out, many of these were not
19 significantly elevated. They're elevated in relationship
20 to sort of historical controls, but it was not significant
21 in those studies themselves. And, for me, that -- I don't
22 consider that significant -- sufficient information to --
23 basically for a listing. So I would drop out most of
24 those.

25 So it really boils down to three main tumors.

1 All of these are -- have been controversial. There's
2 large discussions over them. And I'll go through one by
3 one essentially.

4 The other thing to realize we've got 12 dietary
5 cancer studies. And given the number of tissues that are
6 evaluated in every one of these studies, typically on the
7 order of 40 or 50, you're bound to find significant
8 increases in, you know, animal cancer studies. The real
9 issue goes into sort of historical control incidence, dose
10 relationships, et cetera using a higher level sort of
11 evaluation on it.

12 So let me just parse away. The mononuclear cell
13 leukemia, this is one that has a highly variable
14 incidence. It's been a challenge to try and make sense
15 of, you indicated, Rose, in one of your earlier
16 evaluations. I've watched this from a distance. People
17 just don't know what to do with this type of leukemia,
18 because, again, it doesn't have a clear, clear
19 relationship to any sort of common leukemia in humans.

20 Apparently, it's related to a very, very rare
21 natural clear cell leukemia, which frankly I've never even
22 heard of before. And chemically-induced leukemias is one
23 of my areas of expertise. But the high variability in the
24 Fischer 344 rats is one that makes me very cautious about
25 going forward with a listing based upon this particular

1 tumor type.

2 The kidney tumors, again, the presentation that
3 this appears to fit most, possibly not all, of those due
4 to the mechanism -- due to this alpha 2u-globulin related
5 mechanism. And, for me, the evidence is sufficiently
6 strong. Most of those actually were not significantly
7 increased in the study, so it really boils down to one
8 study or two.

9 And if I look through this, that doesn't -- I
10 think the evidence is not ideal, and particularly since
11 some of the key evidence was only published as an abstract
12 and you don't have the data to go back to. It makes me a
13 little cautious about that one. But again, that one -- I
14 think the explanation as presented is such that I -- you
15 know, I don't feel confident listing based upon the renal
16 tumors. The real key element for me comes down to the
17 liver, and there's lots and lots of evidence in liver
18 tumors. So it clearly causes liver tumors in rodents.

19 The key question now becomes, are those relevant
20 to humans? And this is one that's really a judgment call.
21 I've followed this story. This is not my area of
22 expertise, but I followed this story for many years.
23 Watched as more data has accumulated, and was very
24 interested in this latest results of this NIEHS convened
25 panel that just published the results, the Corton et al.

1 study.

2 They weren't entirely unanimous on it, but a
3 majority indicated these types of tumors that were induced
4 by PPAR alpha were not relevant to humans or not likely to
5 be relevant to humans. And so, you know, for me, that's
6 another one that I feel -- I don't feel real confident
7 listing on that given the human relevance that there's
8 real questions about. I mean, these are very significant
9 questions about whether this data is relevant to humans.

10 So that's my kind of longer explanation. But
11 going through these, usually, I would list this, because
12 there are just so many tumor types that are positive. And
13 as Joe said, you know, you can explain maybe one, possibly
14 two. When you get this many, it really is very difficult
15 not to list it.

16 But this goes against my usual nature, but I'm
17 right now not convinced to list, just simply because I see
18 enough weaknesses on each of these that I don't feel real
19 confident.

20 CHAIRPERSON MACK: Dr. Dairkee.

21 COMMITTEE MEMBER DAIRKEE: As a cell biologist, I
22 must say when I see receptors, nuclear receptors, being
23 activated, it concerns me. And there seems to be evidence
24 for that, especially the estrogen receptors, so the
25 possibility of endocrine disruption and other tumor types

1 that we have not seen in the animal models simply because
2 they may be very slow growing tumors that do not work well
3 with animal models, but they may have human relevance,
4 that is my major concern. The nuclear receptor activation
5 is something that really concerns me, and yes, tumors in
6 animals of such a vary diverse kind also concern me.

7 And I would just stop right there.

8 CHAIRPERSON MACK: Duncan.

9 COMMITTEE MEMBER THOMAS: Could I get
10 clarification about the kidney tumors. The only
11 significant finding that I find is in the recovery
12 studies, is there anything -- is there another one that I
13 missed that maybe not appeared in one of the tables?

14 That's it. Right.

15 CHAIRPERSON MACK: Jason.

16 COMMITTEE MEMBER BUSH: So listening to panel
17 members and trying to sift through the data, I find myself
18 wrestling with the decision as well. And, for me, it
19 comes down to this dose response. A lot of the animal
20 based studies at, you know, 10,000 ppm or 12,000 ppm, I
21 mean, those are high.

22 And the biomonitoring data suggests that, you
23 know, a normal human exposure is around 0.85 micrograms
24 per kilogram per day.

25 So it comes down to this dose response. I mean,

1 I think there are clear biological effects here, but you
2 know, at high doses, of course, you can find a lot of
3 different biological effects. And I guess what I'm
4 wrestling with is whether this is meaningful for humans?

5 I think it is clear that it does form tumors and
6 thus ought to be a carcinogen, but at what dosage level,
7 and is something to consider for the panel.

8 CHAIRPERSON MACK: Thank you. My own view is
9 that I wish the proposition had been worded a little bit
10 better. I wish it had said in humans, but it didn't say
11 in humans. And that means that we're left either
12 pretending that we're the Supreme Court, and we can
13 interpret and make law, or we can simply be technologists
14 and apply the rules that we're given. And I think
15 we're -- my own position is we're stuck with the latter.

16 So the question to me is does this stuff cause
17 cancer? And I have to rely upon the dose response
18 relationships. And I actually am moved by the number of
19 cancers which pop up, in an unusual circumstance,
20 including the kidney, the pancreatic islet cell and the
21 leukemia. I understand completely the points that David
22 has made about -- and that the regulated community has
23 made about the mechanism issue.

24 And I wouldn't be a bit surprised to find in the
25 long run that each of these tumor frequencies can be

1 explained by mechanisms that are not pertinent in humans.

2 But my gut response right now is that that can't
3 be an assumption I can make. And so my inclination is to
4 make the judgment on the basis of whether or not the
5 cancers that are caused in mice are invasive and truly
6 malignant. And I presume that that's -- not presume. I
7 know that that's the case. So that's my attitude.

8 And I guess now we're ready to take a vote.

9 Is that right, George?

10 COMMITTEE MEMBER ZHANG: But I still have a
11 question. I thought I heard the law or criteria we do
12 not -- do we require it for human data? That's not a
13 known, right, which means by law we could vote or list
14 based on animal data, right?

15 CHAIRPERSON MACK: That's correct.

16 STAFF COUNSEL KAMMERER: That's correct, yes.

17 CHAIRPERSON MACK: The only point about humans
18 that Fay mentioned I think was in the criteria document
19 that we produced, which discusses the pertinence to
20 humans.

21 But, of course, in the absence of epidemiologic
22 information, we're stuck making decisions about animal
23 data. And the inference I don't think we can go on, but
24 Joe, go ahead.

25 COMMITTEE MEMBER LANDOLPH: Actually, Tom, we

1 already have once. And we already have made that decision
2 once. It was on the retraction of cyclamate, because I
3 was the primary reviewer on that.

4 CHAIRPERSON MACK: We've made it a couple of
5 times.

6 COMMITTEE MEMBER LANDOLPH: Saccharin, yeah,
7 sorry -- where that mechanism didn't exist in humans who
8 didn't get that precipitate.

9 CHAIRPERSON MACK: We did it with that and
10 gasoline additive a couple of years ago. So I think --
11 and I think we're stuck with it.

12 So are ready to call for a vote?

13 COMMITTEE MEMBER THOMAS: Well, I still would
14 like clarification on this relevance question. As I read
15 the guidelines that says that if it causes invasive cancer
16 in animals parenthesis, unless the mechanism of action has
17 been shown not to be relevant in humans. Now, as I
18 understand, I think it was Mandy's comment, the -- we
19 clearly show that the PPAR alpha mechanism is not relevant
20 in humans, but that's not the only possible mechanism,
21 that there are others about which we are simply unsure.
22 And so the possibility that it's relevant still stands, as
23 I read your comments, or whichever of you it was.

24 CHAIRPERSON MACK: Can I make comment first.
25 Having -- being the person who wrote those guidelines, I

1 have to try and describe to you the reason why that
2 verbiage was put in there. Can you picture a circumstance
3 where there's extremely good epidemiologic data suggesting
4 that there is no effect on humans, a carcinogenic effect?
5 And, at the same time, there is one or two animal studies
6 with liver cancers in rats, in which there is a marginally
7 increased effect.

8 And I think the point of that mechanistic
9 inclusion in the criteria document is thinking about that
10 rather than this. Here we're in a situation where there
11 is no epidemiologic data. We have to go solely on the
12 animal data.

13 Am I wrong about that? Does anybody have an
14 alternative point?

15 MR. LANDFAIR: Mr. Chairman, since you asked?

16 CHAIRPERSON MACK: Pardon me? I didn't mean you.

17 (Laughter.)

18 MR. LANDFAIR: You didn't mean me. I would just
19 like to add that we're certainly addressing the right
20 issue, because there are animal data, and everyone
21 concedes from this side of the aisle that the animal data
22 do show different cancers in different animals. And the
23 question before the Committee is whether those data are
24 relevant to humans?

25 And if for all the reasons --

1 CHAIRPERSON MACK: That's not the question.
2 That's the whole problem. The question is not whether or
3 they're relevant to humans. That's not what the law says.
4 The law says that the regulation, which comes from the
5 Proposition 65, says does it cause cancer? It does not
6 say does it cause cancer in humans?

7 So we're not the same as IARC, and we're not the
8 same as the Supreme Court. We have to make a technical
9 decision based on the question as put to us. So you're
10 mistaken about that allegation.

11 MR. LANDFAIR: Well, with all respect, these
12 criteria that the Panel has authored and adopted --

13 CHAIRPERSON MACK: Did you just hear what I said
14 about why the panel -- why we wrote those criteria? We
15 wrote them for the circumstance in which there was a
16 conflict between human epidemiologic data and information
17 from animals. And, in any case, I don't think we can
18 discuss it any further. We have to take a vote now.

19 So if you'll permit me, we'll go ahead and do
20 that.

21 MR. LANDFAIR: I'll always permit you to go ahead
22 and vote.

23 CHAIRPERSON MACK: Thank you.

24 MR. LANDFAIR: I think we have a very valid
25 question under your criteria, and which have been

1 interpreted and applied by the courts and been accepted.

2 CHAIRPERSON MACK: Well, maybe they'll have to be
3 again. We'll see.

4 MR. LANDFAIR: Well, we hope that's not the case.

5 CHAIRPERSON MACK: Peggy.

6 COMMITTEE MEMBER REYNOLDS: I just wanted to ask
7 an informational question. And that's, one of the
8 challenges we have is that a lot -- not all of this
9 information is published in the peer review literature.
10 And so you've been -- in reviewing it, there's been a
11 little bit of a disadvantage in having all of the detailed
12 information. Is there -- for a -- for an agent that is in
13 such high production and high use, is there any reason
14 that we know of that we're not really seeing more in the
15 peer-reviewed literature?

16 I sort of ask that of OEHHA staff. It just seems
17 a little odd.

18 DR. SANDY: And you're addressing that to me, Dr.
19 Reynolds.

20 COMMITTEE MEMBER REYNOLDS: I'm kind of looking
21 at you, yeah.

22 DR. SANDY: I cannot tell you why it's not in the
23 published peer-reviewed literature.

24 DR. HALLMARK: Dr. Mack, if I may?

25 My name is Nina Hallmark. I'm with ExxonMobil

1 Chemical, the manufacturer of DINP.

2 I have to say that all the hazard identification
3 studies that we've conducted are in the published
4 literature. I can't speak to other organizations that may
5 have done research on this chemical. But what I would
6 also offer is that the biomonitoring data that has been
7 conducted here in the U.S. by CDC, absolutely in the
8 public domain.

9 So if -- I have to say I'm struggling to conceive
10 a hazard identification gap that isn't available to this
11 committee.

12 CHAIRPERSON MACK: So can we go now with the
13 vote?

14 I would read the -- has diisononyl phthalate been
15 clearly shown, through scientifically valid testing,
16 according to generally accepted principles to cause
17 cancer?

18 All those voting yes, please raise your hand?

19 (Hands raised.)

20 CHAIRPERSON MACK: So I count one, two, three,
21 four, five, six. Six yeses.

22 All those voting no, please raise their hand?

23 (Hand raised.)

24 CHAIRPERSON MACK: One.

25 All those abstaining, please raise their hand?

1 (Hand raised.)

2 CHAIRPERSON MACK: One.

3 We have at least five yes votes, and therefore we
4 will recommend that this chemical be added to the list.

5 Now, I think we should take a break.

6 DIRECTOR ALEXEEFF: Dr. Mack requested that we
7 take a lunch break till 1:45.

8 (Off record: 12:56 PM)

9 (Thereupon a lunch break was taken.)

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 A F T E R N O O N S E S S I O N

2 (On record: 1:51 PM)

3 DIRECTOR ALEXEEFF: Good afternoon, everybody.
4 Let's bring the meeting back to order. All the Panel
5 members are present. And I'll turn it over to Chairman
6 Mack.

7 CHAIRPERSON MACK: Martha, would you like to say
8 a few words.

9 DR. SANDY: I would. Thank you very much. And
10 I'll be short. Good afternoon. Butyl benzyl phthalate
11 has a lot of additional types of evidence coming from
12 studies conducted in a variety of in vivo and in vitro
13 experimental model systems. Many of these studies have
14 utilized molecular methodologies to examine changes in
15 gene expression and protein expression, and some have
16 investigated the links between altered gene expression or
17 protein expression with phenotypic changes indicative of
18 cancer progression using model systems.

19 This evidence has been summarized at some length
20 in the hazard identification document. Today, we're only
21 going to present a simple overview of that information,
22 and I'll now turn it over to Dr. Budroe.

23 DR. BUDROE: Good afternoon, Dr. Mack, members of
24 the committee. I'd like to present to you Dr. Jennifer
25 Hsieh and Dr. Meng Sun. And they will be presenting

1 evidence in the carcinogenicity of butyl benzyl phthalate.

2 (Thereupon an overhead presentation was
3 presented as follows.)

4 DR. SUN: Good afternoon. My name is Meng Sun.

5 So we are going to start with an overview of the
6 presentation. We will start with the use and
7 biomonitoring of this chemical butyl benzyl phthalate, or
8 BBP, followed by the evidence regarding the
9 carcinogenicity of BBP, including human epidemiological
10 studies, BBP carcinogenicity studies in animals followed
11 by other relevant data.

12 We will also present possible mechanisms of
13 action and reviews by authoritative agencies. And we will
14 finish with a summary of the evidence.

15 --o0o--

16 DR. SUN: The figure on the left shows the
17 chemical structure of butyl benzyl phthalate. It is a
18 diester of phthalic acid with a butyl chain and a benzyl
19 chain. The major use of BBP is a plasticizer in polyvinyl
20 chloride, or PVC products, such as flooring tiles and
21 carpet backing. It also used as an additive in a variety
22 of products.

23 Since 2009, the use of BBP in toys and child care
24 articles has been restricted by U.S. and California laws
25 to be at levels no more than 0.1 percent.

1 --o0o--

2 DR. SUN: This slide shows the biomonitoring
3 studies of BBP. Monobenzyl phthalate, or MBzP, is the
4 major and specific a BBP metabolite in humans. BBP
5 biomonitoring studies have used MBzP as a biomarker. This
6 table shows the geometric means of urinary levels of MBzP
7 in the U.S. population in two samples from California.

8 Data for the U.S. population is from the National
9 Health and Nutrition Examination Survey, or NHANES. And
10 the California data is from Biomonitoring California.

11 From the U.S. data, you can see that BBP exposure
12 is present in different age groups of the U.S. population.
13 From the California data, you can see BBP exposure is
14 present in firefighters and pregnant women in California.

15 --o0o--

16 DR. SUN: Next, we will be presenting the BBP
17 carcinogenicity evidence, including human epidemiological
18 evidence, carcinogenicity studies in animals and other
19 relevant data.

20 --o0o--

21 DR. SUN: For the human studies, I'm going to
22 hand over to Dr. Hsieh.

23 DR. HSIEH: Thanks, Dr. Sun. My name is Jennifer
24 Hsieh. I will present BBP's human carcinogenicity
25 evidence. So far, two case control studies were

1 identified as having cancer epidemiological results for
2 BBP.

3 The first study is a population-based
4 case-control study conducted in Massachusetts from 1983 to
5 '86 to study the association of occupational BBP exposure
6 and breast cancer risk. The results show no significant
7 association with breast cancer risk and probable past
8 occupational BBP exposure as determined by questionnaire.

9 However, the study has limitations, including a
10 lack of information of non-occupational exposures, and the
11 use of cases of deceased individuals based on next-of-kin
12 interviews with no mention of a number or percentage.
13 This often increases inaccuracy.

14 Another hospital-based case-control study that
15 examined the association between urinary metabolite
16 monobenzyl phthalate level in the breast cancer incidence
17 in northern Mexican women from 2007 to 2008 that found a
18 significant inverse association. However, the study is
19 limited, in that only a single urine sample was collected
20 after cancer diagnosis. And this data allowed evaluation
21 of past exposures.

22 Both studies has limitations. Therefore,
23 currently, there is inadequate evidence of human cancer
24 caused by BBP exposure available.

25 Next, Dr. Sun will continue the presentation on

1 the evidence of animal cancer data.

2 --o0o--

3 DR. SUN: Thank you, Dr. Hsieh. So this slide is
4 an overview of the BBP carcinogenicity studies in
5 laboratory animals. Nine animal bioassays were identified
6 and reviewed, including six feed studies in male and
7 female F344 rats by the National Toxicology Program, or
8 NTP, two feed studies in male and female B6C3F1 mice by
9 the NTP, and one short-term study with IP injection of BBP
10 in male strain A mice by Theiss et al. published in 1977.

11 --o0o--

12 DR. SUN: We will start with rat studies. There
13 were six NTP bioassays in male and female Fischer rats,
14 all feed studies. Of NTP 1982, the male rat study was
15 terminated early because the animals died prematurely from
16 internal hemorrhaging. Therefore, we will only be
17 discussing the female rat study of NTP 1982.

18 NTP 1997a and b were carried out at the same time
19 in the same lab. The differences are that 1997a were
20 regular cancer bioassays with every group of animals
21 getting feed ad libitum while 1997b used 1997a as the ad
22 libitum-fed part of the study and added new groups, which
23 are weight-matched control groups, and feed-restriction
24 groups. We will give you detailed information on study
25 design later.

1 --o0o--

2 DR. SUN: This slide shows the tumor incidence in
3 female Fischer rats, NTP 1982. In the female rats, there
4 were statistically significant increases of mononuclear
5 cell leukemia, abbreviated as MNCL, in the high dose group
6 with significant dose-related trend. Looking at combined
7 leukemia and lymphoma, the increases were also
8 significant.

9 --o0o--

10 DR. SUN: Moving on to NTP 1997a male rat study.
11 There were significant increases of pancreatic acinar cell
12 adenoma and combined adenoma and carcinoma in the high
13 dose BBP-treated male rats with significant dose-related
14 trend. There was one carcinoma in the high dose group,
15 and no pancreatic acinar cell carcinoma had ever been
16 observed in untreated male Fischer rats in NTP feed
17 studies.

18 --o0o--

19 DR. SUN: Now, we're looking at NTP 1997a female
20 rat studies. Two animals in the high-dose group had
21 pancreatic acinar cell adenomas. Pancreatic acinar cell
22 adenoma is rare in untreated female Fischer rats with a
23 historical incidence rate of 0.2 percent.

24 Two animals in the high-dose group had urinary
25 bladder transitional cell papillomas. While there was no

1 significant increase of the tumor, there was increase of
2 the hyperplasia which is a pre-neoplastic lesion. Bladder
3 transitional epithelium papilloma is a rare tumor in
4 untreated female Fischer rats.

5 --o0o--

6 DR. SUN: Here's a diagram showing you the design
7 of the male and female studies of NTP 1997b. Overall,
8 there were four comparisons made for each gender. The
9 first comparison is essentially NTP 1997a. The second
10 comparison was made between the weight-matched control and
11 the high dose of NTP 1997a. The weight-matched control
12 group of rats were given a restricted amount of food, so
13 their weight matches the high dose group.

14 The third comparison was made between two groups
15 that were both given restricted amount of food for two
16 years, one control, and one treated with high dose BBP.

17 The fourth comparison was made similar to the
18 third, only the animals were tested for three years or
19 when the survival rate was decreased to 20 percent.

20 So in these studies in the male rats, increases
21 of pancreatic acinar cell tumors were observed from NTP
22 1997a, as we mentioned, from comparison with the
23 weight-match control, and from the three-year feed
24 restriction comparison. In the comparison with the
25 weight-match controls, we also saw increases of

1 on a restricted diet for three years, or 20 percent
2 survival rate, which was 30 months here.

3 A high percentage of animals in the BBP-treated
4 group had pancreatic acinar cell adenomas compared to zero
5 in the control.

6 --o0o--

7 DR. SUN: This slide shows you the findings from
8 the female rat feed restriction studies for three years.
9 Four animals in the treated group had urinary bladder
10 transitional epithelium carcinomas and two had papillomas.
11 The carcinomas had never been observed in untreated female
12 Fischer rats in NTP feed studies. And NTP considered this
13 to be biologically relevant, because these are both rare
14 tumors in female rats and because of the consistency of
15 the neoplasm and the hyperplasia responses.

16 --o0o--

17 DR. SUN: So far, we have presented the regular
18 cancer bioassays in rats. There were also two
19 co-carcinogenicity studies in rats, where the animals were
20 given BBP and a known carcinogen. In the first study by
21 Singletary et al., BBP was given by gavage to female SD
22 rats. The animals were also given the carcinogen DMBA.
23 The endpoints were mammary tumors.

24 In the second study by Kohno et al., BBP was
25 given in feed to male Fischer rats. The animals were also

1 given the carcinogen DMAB. And the endpoints were
2 prostate adenocarcinomas. In both studies, BBP did not
3 increase the tumor incidence.

4 --o0o--

5 DR. SUN: Now moving on from rat studies to mouse
6 studies. Two NTP cancer bioassays and one short-term
7 bioassay were identified. The NTP 1982 studies were
8 two-year feed studies conducted in male and female B6C3F1
9 mice. And BBP was not associated with statistically
10 significant increases of any type of tumor in male or
11 female mice.

12 Another study was by Theiss et al. 1977, a
13 24-week study in male strain A mice, only looking at
14 pulmonary adenomas. No increase in the number of lung
15 tumors per mouse were seen with BBP.

16 --o0o--

17 DR. SUN: Next, we will be talking about other
18 relevant data regarding BBP carcinogenicity. The evidence
19 includes data on genotoxicity, in vitro transformation
20 studies, pharmacokinetics and metabolism, effects on
21 breast tumor susceptibility and development, effects on
22 cancer-related protein expression in the human liver cancer
23 cell line HepG2 and structure activity comparisons with
24 two other phthalates.

25 --o0o--

1 DR. SUN: First, we will start with in vitro
2 genotoxicity findings. In mammalian species, BBP was
3 positive in inducing DNA-based lesions in mouse osteoblast
4 cells; inducing DNA single strand breaks in human HepG2
5 cell line; and inducing DNA protein crosslink in rat liver
6 homogenate.

7 BBP was negative in assays testing for forward
8 mutations in mouse lymphoma cells, sister chromatid
9 exchanges, or chromosomal aberrations in Chinese hamster
10 ovary, or CHO, cells.

11 BBP was tested in several mutation assays in
12 bacterial species, and the results were negative.

13 --o0o--

14 DR. SUN: Now, we're looking at in vivo
15 genotoxicity evidence. In vivo BBP-induced sister
16 chromatid exchanges and chromosomal aberrations in male
17 B6C3F1 mice. BBP also induced DNA protein crosslinks in
18 mouse hepatic cells. BBP was negative in the micronucleus
19 assay and dominant lethal assay in mice.

20 In the in vitro transformation study, BBP tested
21 positive in inducing morphological transformation of
22 Syrian hamster embryonic, or SHE, cells.

23 Dr. Hsieh will take over from here.

24 --o0o--

25 DR. HSIEH: Thanks, Dr. Sun. I will continue the

1 presentation on BBP's pharmacokinetics and metabolism.
2 The evidence summarized here are similar in humans and
3 rats. First, BBP was rapidly absorbed, distributed,
4 metabolized, and eliminated within 24 hours following by
5 oral exposure.

6 Next, the majority of BBP are excreted in urine
7 or feces within 24 hours after treatment. Lastly, there
8 is no long-term tissue accumulation of BBP occurred.

9 Next.

10 --o0o--

11 DR. HSIEH: The scheme of BBP's metabolic
12 pathways, which are adapted from the Wistar rat study is
13 proposed here. Again, it is expected to be similar in
14 human and rats. First step, BBP diester phthalate is
15 hydrolyzed by lipases or esterase in GI tracts to two main
16 ester monoester phthalate metabolite, monobenzyl
17 phthalate, MBzP, and monobutyl phthalate, MBuP.

18 In human, MBzP is the major BBP metabolite. And
19 the ratio of MBzP and MBuP is about 3 to 1. However, in
20 rats, MBuP is the major metabolite.

21 Next step, these two monoester phthalate either
22 goes through phase II metabolic conjugation or breakdown
23 to the small molecule metabolite, which are indicated here
24 in purple.

25 --o0o--

1 DR. HSIEH: Now, I would like to move onto the
2 topic of BBP's potential effect on mammary gland
3 development and breast tumor formation using the following
4 two slides. Before we start the discussion, it is worth
5 noting that in the NTP animal bioassays and
6 co-carcinogenesis animal study introduced in the previous
7 slide, BBP was given to animal at around six to seven
8 weeks, at the age when animal's mammary glands had already
9 developed. The results show no increase in BBP-treatment
10 related breast cancer incidence.

11 Here, we show the carcinogenicity evidence in
12 mammary gland of female Spargue-Dawley rats offspring
13 conducted by Moral et al., 2007 and 2011 on different BBP
14 exposure window, including in utero and neonatal exposure
15 prior to mammary gland maturation in molecular, cellular,
16 and organelle levels.

17 First at the molecule level, BBP
18 neonatal/prepubertal exposure elevate expression of gene
19 involved in breast cell proliferation, communication and
20 signal transduction. In general, BBP in utero exposure
21 tended to reduce expression of genes involved in breast
22 cell differentiation, gland lactation, immune-related
23 responses, and apoptosis.

24 Next at the cellular level, the data show that
25 BBP increases cell proliferation index of mammary gland

1 structure, such as terminal ductal structure, TD, and
2 terminal end bud, TEB.

3 Finally, in the organelle structure -- level, BBP
4 can also alter mammary gland morphology, increasing the
5 number of terminal end buds. For example, these terminal
6 end buds are sensitive to carcinogenic insults.
7 Therefore, increasing the number of terminal end buds and
8 alteration of cancer-related gene expression and gland
9 structure in mammary gland of BBP-treated animals or their
10 offspring could potentially elevate breast cancer
11 susceptibility later in their life.

12 --o0o--

13 DR. HSIEH: A number of studies, using
14 experimental model systems, have shown that BBP can effect
15 multiple stages of neoplastic transformation. Many of
16 these studies have been conducted with human breast cell
17 lines in vitro. Others have been conducted with human
18 breast cell lines treated in culture and then injected
19 into nude mice, and still others have involved use of a
20 xenografted mouse model. BBP-induced alterations in
21 micro-array gene expression profiles linked to these
22 various stages of neoplastic transformation have also been
23 reported in many of these studies.

24 The schematic figure here demonstrates the
25 multiple stages of neoplastic transformation. In general,

1 the process begins with inducing cell proliferation and/or
2 suppressing apoptosis, proceeds to tumor growth and
3 progression stages, then angiogenesis,
4 epithelial-mesenchymal transition, invasion, migration and
5 eventually metastasis.

6 The studies reported by Hsieh et al., 2012 were
7 done with a human breast epithelial stem cell line, known
8 as R2d cells, and are indicated here with the black
9 check-mark. These studies in R2d cells demonstrate BBP's
10 ability to induce multiple stages of neoplastic
11 transformation in vitro, including inducing cell
12 proliferation, angiogenesis, epithelial-mesenchymal
13 transition, invasion & migration. And in vitro/in vivo
14 angiogenesis was measured by matrigel plug assay in
15 BBP-pretreated R2d cell on xenograft mouse model. In vivo
16 metastasis was demonstrated by R2d in athymic nude mice
17 with BBP treatment to the mice.

18 Studies performed with the human breast cancer
19 cell lines, MCF-7 and MDA-MB-231 cells are indicated here
20 with the purple check-mark. Also, demonstrate BBP's
21 ability to induce cell proliferation, angiogenesis, and
22 invasion, migration in vitro. The ability of MCF-7 and
23 MDA-MB-231 cells to induce angiogenesis in vivo was also
24 demonstrated.

25 The alteration of micro-array gene expression

1 profiles also correlated with all the cellular neoplastic
2 transformation in vitro and in vivo, in both non-cancer
3 and cancer human epithelial cell lines.

4 In conclusion, these studies in human breast cell
5 lines suggests that BBP has the potential to effect tumor
6 growth, promotion, progression, and metastasis.

7 --o0o--

8 DR. HSIEH: Now, moving on to the effects of BBP
9 on the pattern of protein expression in a human liver
10 cancer cell line, namely HepG2 cells, reported by Choi et
11 al., 2010.

12 The results of this proteomic analysis shows the
13 expression pattern of some proteins involved in tumor
14 progression, metastasis, and oxidative stress were altered
15 by BBP treatment. These changes in protein expression was
16 validated by the authors using western blot analysis. In
17 this same set of experiments, Choi et al., 2010 also
18 assessed the level of DNA single strand breaks in HepG2
19 cells treated with BBP, reporting an increase. This was
20 reported in an earlier slide summarizing the positive
21 genotoxicity findings of DNA single strand breaks in HepG2
22 cells in vitro.

23 The table here lists some of the proteins
24 involved in tumor progression, metastasis, or oxidative
25 stress, for which the expression levels were altered with

1 BBP treatment. The table represents the brief version of
2 Table 21 in our HID.

3 --o0o--

4 DR. HSIEH: Now, moving on to the structure
5 activity comparison of BBP and its two other phthalate
6 analogs, diethyl hexyl phthalate, also known as DEHP, and
7 diisononyl phthalate, also known as DINP. The chemical
8 structures of these chemicals are illustrated in the
9 bottom of the slide.

10 Among them, DEHP has been listed as a carcinogen
11 in Proposition 65, and also be classified by IARC as a 2B
12 carcinogen, and U.S. EPA as group B2 carcinogen. And DINP
13 is the first chemical candidate on today's meeting agenda.

14 To sum up, the overall evidences indicates they
15 do share some common tumor sites. The increase
16 mononuclear cell leukemia and pancreatic tumors were
17 observed in BBP, DEHP, and DINP-treated rats.

18 In addition, the elevated same cell type tumor,
19 transitional epithelial tumors in renal and bladder were
20 observed in both BBP and DINP-treated rats.

21 --o0o--

22 DR. HSIEH: This slide concludes and summarized
23 the overall evidence on BBP and its two other phthalate
24 analogs, DEHP and DINP, share a lot of common mechanisms
25 from left to right, such as genotoxicity, in vitro cell

1 transformation, and several nuclear receptor mediated
2 pathways, including peroxisome proliferator activated
3 receptor, PPAR alpha, and gamma, estrogen receptor, ER,
4 aryl hydrocarbon receptor, AhR, pregnane X receptor, PXR,
5 mediated mechanisms and anti-androgenic and
6 anti-steroidogenesis mechanisms.

7 To sum up, the section here, it is worth noting
8 that most of the receptors, genes, and proteins discussed
9 in our presentation are existing in each of the target
10 tumor sites induced by BBP exposure in animals.

11 --o0o--

12 DR. HSIEH: Moving on to next section, BBP's
13 possible mechanism of actions:

14 First, BBP could promote tumor formation through
15 a genotoxic mechanism, such as inducing DNA and chromosome
16 damage. Next BBP's possible mechanism is the AhR-mediated
17 mechanism. For example, BBP's effects on tumor
18 progression has been shown in MDA-MB-231 cells, which are
19 mediated by the non-genomic AhR receptor type pathway
20 through signal transduction cascade to induce target gene
21 expression, then tumor progression occurs.

22 Another BBP's possible mechanism is the
23 ER-mediated mechanism. For example, BBP's effects on
24 increasing human breast cell proliferation are mediated by
25 classic genomic ER-mediated mechanism to induce ER target

1 gene expression, such as progesterone receptor and
2 cyclinD3, then cell proliferation occurs. BBP's effects
3 on causing angiogenesis in MCF-7 derived cells are
4 mediated by non-genomic signal transduction ER-mediated
5 pathway to activate several kinases then to increase
6 vascular endothelial growth factor expression, then induce
7 angiogenesis.

8 BBP's effects on causing epithelial-mesenchymal
9 transition in R2d cells were also mediated by non-genomic
10 ER-mediated pathway to activate growth factor receptor and
11 kinase signal transduction cascade, to increase vimentin
12 gene expression, then the epithelial-mesenchymal
13 transition occurs.

14 Next mechanism PPAR alpha- and gamma-mediated
15 mechanism has been proposed as BBP's possible mechanism
16 for pancreatic acinar cell and urinary bladder
17 transitional epithelial tumor formation in rats.

18 BBP's anti-androgenic effect. Some evidence
19 indicates that BBP can work as androgen receptor
20 antagonist. For example, Androgen receptor activity
21 induced by dihydrotestosterone can be reduced by BBP in
22 both yeast and mammalian cells. And also, evidence has
23 been shown that BBP has an effect on steroidogenesis
24 disruption. For example, a number of studies reported
25 that in utero BBP exposure could decrease the level of

1 testosterone in male rats offspring.

2 Next, the epigenetic mechanisms of BBP has been
3 shown as a study reported that MCF-7 cells treated with
4 BBP can decrease the methylation of CpG islands in the
5 promoter region of ER alpha gene.

6 Again, the receptors, genes, and proteins
7 discussed in our presentation here are existing in each of
8 the target tumor sites induced by BBP exposure in animals.

9 --o0o--

10 DR. HSIEH: Okay. Data on the carcinogenicity of
11 BBP has also been reviewed by some authoritative bodies
12 and its classifications are summarized here.

13 First, U.S. EPA classified BBP as a class C
14 chemical, which we represent as a "possible human
15 carcinogen" in 1993. However, currently, BBP is still
16 under re-assessment by U.S. EPA.

17 Second, IARC classified BBP in Group 3 chemical,
18 which stands for "not classifiable as to its
19 carcinogenicity to human" in 1999, based on the evidence
20 of the carcinogenicity of BBP in human was inadequate, and
21 the evidence in experimental animals was limited.

22 Last, BBP has not been classified as to its
23 carcinogenicity by either U.S. FDA or NIOSH.

24 --o0o--

25 DR. HSIEH: In the following two slides, I would

1 from the other relevant data. BBP induced multiple
2 positive genotoxicity in mammalian cells, also induced
3 morphological cell transformation in SHE cells in vitro,
4 and alter expression of carcinogenesis associate genes and
5 proteins.

6 Next, BBP demonstrated the ability to induce
7 multiple stage neoplastic transformation in human breast
8 cell lines. The effects are intermediated through AhR,
9 ER-mediated mechanisms. Finally, BBP shares common tumor
10 sites with its phthalate analogs, DINP and the known
11 carcinogen, DEHP.

12 That concludes today's presentation on the
13 evidence on the carcinogenicity of butyl benzyl phthalate.

14 Next, we will take questions and comments.

15 Thank you.

16 CHAIRPERSON MACK: Thank you, Dr. Hsieh.

17 Does anybody on the Committee have any questions
18 about clarification of the presentation?

19 It must have been really clear.

20 COMMITTEE MEMBER THOMAS: Just one. I wonder
21 whether you could comment the Upson paper that was
22 distributed to us just shortly before this meeting, the
23 one on endometriosis?

24 DR. HSIEH: Upson paper. Okay, we have a back-up
25 slide. So can we show you?

1 That Upson paper shows -- we have an
2 epidemiologist in our branch. She will be the better
3 person to answer that question.

4 DR. KAUFMAN: Hi. I'm Dr. Farla Kaufman.

5 So this study is a recent study. It's a case
6 control study. And it was one of the few studies that
7 looked at this and saw an increased risk. But according
8 to the statistics, it wasn't significant as it included
9 one in the odds ratios confidence intervals. I don't
10 know. What would you like to know about this study?

11 COMMITTEE MEMBER THOMAS: Well, first of all, I
12 mean, it's not obviously relevant to us in terms of cancer
13 risk. The focus being endometriosis. But the general
14 disruption of hormone balances I think is a concern, and
15 it seemed to -- you know, different elements of this
16 family of chemicals had a variety of effects, some
17 positive and some negative.

18 Should we be concerned that -- in your view,
19 should we be concerned even though it's not direct
20 evidence about cancer, about the potential implications of
21 these hormonal changes?

22 DR. KAUFMAN: Dr. Sandy will answer, but I think
23 it's been shown that there is a connection between --
24 there's an increased risk of ovarian cancer in women who
25 have experienced endometriosis or have that condition. So

1 I think that link is pretty strong and relevant here. If
2 these studies did show a significant risk of endometriosis
3 with exposure to BBP, I think that would be important.

4 However, I don't think the epidemiology studies
5 are as significant to indicate that.

6 DR. SANDY: Thank you, Dr. Kaufman. And I just
7 wanted to add, the reason those -- I believe we may have
8 sent two new studies, is because -- well, maybe just one.
9 We sent any studies that had been published since the HID
10 was sent to you. And that was just one study. As Dr.
11 Kaufman mentions, we do discuss in the hazard
12 identification document, there's a section on
13 endometriosis and studies looking at the relationship
14 between BBP, endometriosis. And then we also discuss the
15 endometriosis link with ovarian cancer. So we are just
16 providing it to you as addition -- one additional study to
17 walk through.

18 CHAIRPERSON MACK: Dr. Dairkee.

19 COMMITTEE MEMBER DAIRKEE: I have a question
20 about the two other epidemiology studies that were
21 negative correlations with breast cancer that were in the
22 HID. And in your opinion, are these studies better
23 designed or -- because there was some comment about
24 weaknesses in design. I'm not an epidemiologist, that's
25 why I'm asking this question.

1 DR. KAUFMAN: I think that those were the
2 epidemiology studies looking at breast cancer?

3 COMMITTEE MEMBER DAIRKEE: Correct.

4 DR. KAUFMAN: I'm sorry, I didn't look at them.
5 I just reviewed these recently. I think Dr. Beaumont has
6 reviewed those studies, and he can address your questions.

7 COMMITTEE MEMBER DAIRKEE: Thank you.

8 DR. KAUFMAN: Thank you.

9 DR. BEAUMONT: Hi. I'm Dr. Jay Beaumont, and I
10 didn't quite understand your question.

11 COMMITTEE MEMBER DAIRKEE: Okay. So in the
12 breast cancer studies, they're showing a negative
13 correlation with BBP. They're saying there's less --

14 DR. BEAUMONT: Well, one did.

15 COMMITTEE MEMBER DAIRKEE: Yes.

16 DR. BEAUMONT: But in that one study that found a
17 negative correlation was the study that had only one urine
18 sample after case -- cancer case diagnosis, and no
19 historical data.

20 COMMITTEE MEMBER DAIRKEE: Correct. And the
21 other studied show no correlation, I believe.

22 DR. BEAUMONT: Of any kind, right.

23 COMMITTEE MEMBER DAIRKEE: Right. So my question
24 really is, is the endometriosis study better designed than
25 the breast cancer studies?

1 DR. BEAUMONT: Oh, I couldn't speak to better or
2 worse. They're different studies, but some have shown an
3 association between BBP exposure and endometriosis. And
4 there is an association in the literature between
5 endometriosis and ovarian cancer, but the causality of
6 that is not settled at all. It could be that the same
7 thing is causing both endometriosis and ovarian cancer,
8 but there is an association.

9 CHAIRPERSON MACK: Does anybody else have any
10 clarifying -- sorry, yeah.

11 Sorry about that. Does anybody else have any
12 clarifications?

13 No, it seems not. So let's proceed to the
14 presentations by Alan Olson to be followed by Ann
15 Claassen.

16 (Thereupon an overhead presentation was
17 presented as follows.)

18 MR. OLSON: Good afternoon, Mr. Chairman and
19 Committee members. Thank you for the time this afternoon.

20 I'm the corporate product stewardship director
21 for Ferro Corporation. We're headquartered in Cleveland.
22 We're the only manufacturer of BBP in the U.S. today. BBP
23 has been on the market for about 50 years now, having been
24 brought on the market by Monsanto in the seventies -- or
25 the sixties.

1 comments in January of this year, and again more comments
2 after the HID document was published. In our submissions
3 and on our comments today, we'll speak to a number of
4 studies not necessarily reviewed or listed in the HID that
5 we think -- that are important, and that also complement
6 the HID document, insofar as adding to the body that you
7 need to consider for weight of evidence.

8 So Ann Claassen, who will follow me, is our
9 outside counsel on this issue, and then John Butala is the
10 person who will follow Ann. He's conducted toxicology
11 studies on phthalates. He's worked in the area for
12 probably at least 20 years. And he had put most of our
13 technical comments together. But within there, there are
14 comments from Errol Zeiger who had worked at FDA, and then
15 NTP. And also Eugene McConnell, who had worked at NTP.

16 So when we've looked at the sum of the studies
17 and the weight of evidence, we see that BBP should not be
18 listed as known to the State to cause cancer.

19 But thank you, you know, for your time. I'll
20 introduce Ann Claassen from Latham and Watkins.

21 CHAIRPERSON MACK: Thank you, Mr. Olson.

22 MS. CLAASSEN: Thank you, Alan.

23 Thank you, Dr. Mack and members of the CIC. I am
24 Ann Claassen with Latham and Watkins, counsel to Ferro --
25 and I can't make this work.

1 Am I using the wrong one?

2 Yes.

3 --o0o--

4 MS. CLAASSEN: Okay. You had quite a bit of
5 discussion about criteria for DINP, and I'd like to
6 revisit those again for a moment in the context of BBP,
7 which is a different situation. We're not looking at
8 whether tumors seen in animals are relevant to humans. We
9 are looking at whether the weight of the evidence in the
10 animals is sufficient to say that BBP is known to the
11 State to cause cancer. And that is defined for you in the
12 statute. It is within the meaning of this chapter of Prop
13 65. It's its own standard, not EPA's or anybody else's.

14 And it is a "clearly shown" standard, and also is
15 to be shown through scientifically valid testing, not any
16 speculation about what may be happening, but what you've
17 actually seen in testing.

18 --o0o--

19 MS. CLAASSEN: Under your own criteria, again as
20 Alan said, it is a weight of the evidence evaluation,
21 based on all evidence, the complete database that -- of
22 scientifically valid testing and relevant to the issue of
23 carcinogenicity.

24 You are allowed to look at in vitro data
25 definitely. However, your criteria state that whole

1 mammals are the most pertinent, so are move heavily
2 weighted, whole animal studies.

3 --o0o--

4 MS. CLAASSEN: And with regards to those, the
5 general presumption is that you want to see the tumors in
6 two genders of a species, or in two distinct species, or
7 two different experiments in different laboratories with
8 different protocols.

9 You can use lesser evidence than that, if there's
10 some supportive evidence, but there's a fairly high bar
11 for how supportive that has to be. And, of course, you
12 want the tumors to be statistically significant not
13 something that can be explained by chance.

14 We believe that the data for DINP -- excuse me,
15 last chemical -- the data for BBP do not rise to this
16 level. And Mr. Butala is going to address the data on
17 that. But before he goes up, I just would like to say for
18 a moment on the biomonitoring, because Dr. Bush asked
19 about this with DINP, the data that you've been shown are
20 the levels in micrograms per liter in the urine. If you
21 could convert those with creatinine excretion, and convert
22 to what the actual exposure was, which we do have the data
23 to do, the differences largely disappear between adults
24 and children between the various categories.

25 Thank you very much.

1 head of the pathology branch at the NTP. And, at that --
2 in that position, he directed the NTP toxicology research
3 program and testing program, again as well as heading up
4 their path branch.

5 We asked Dr. McConnell to take a look at the
6 HID that you've given us, and the three 3 NTP reports on
7 BBP. And he has commented on those. And it's his
8 comments that I'm going to be referring to here. And the
9 tables in this report are largely -- and the tables I'm
10 going to present today are largely from his reports.

11 --o0o--

12 DR. BUTALA: And you will be able to find details
13 that support those tables in his report. His conclusion
14 is that the weight of evidence does not support listing
15 BBP as a carcinogen under Prop 65.

16 --o0o--

17 DR. BUTALA: Now, although there was a
18 statistically significant incidence increase in
19 mononuclear cell leukemia in the female rats in the NTP
20 1982 study. And again, we're going to refer to these
21 studies as 1982, 1997a, 1997b. There was no such increase
22 in female rats in the other two studies. No such increase
23 meaning statistically significant.

24 The increase incidence in mononuclear cell
25 leukemia was not seen in male rats of any of the studies,

1 and I'll go into some detail on that. You've heard a few
2 minutes ago that the 1997b study showed an increase
3 incidence of mononuclear cell leukemia in both males and
4 females, all right.

5 But the actual NTP report does not say that. The
6 actual NTP report says that there are, I'm quoting now,
7 "No treatment-related mononuclear cell leukemia effects",
8 due to BBP in the 1982 studies.

9 And even though there was an increase, they lay
10 that increase off, and this is in their report, to an
11 artificially depressed incidence of mononuclear cell
12 leukemia in the control values. Okay. And that is
13 explained in some detail in the actual report.

14 That being the case, that means that MNCL only
15 showed up in first study and only in females. And much of
16 the criteria that we're all talking about here is a bit of
17 a counting exercise, how many species, how many sexes, et
18 cetera. Okay. So we don't have MNCL in more than one
19 species.

20 The same thing, to save time, occurred -- occurs
21 with the pancreatic tumors -- I'm sorry, the -- with the
22 adrenal tumors. Turning our attention now to pancreatic
23 tumors. Again there was a statistically significant
24 increase of pancreatic cell tumors in the male rats in the
25 '97a study.

1 --o0o--

2 DR. BUTALA: But there was no increase, according
3 to NTP, in the 1997b study, with the exception of the
4 weight-restricted studies. That's where there was no
5 increase. There was an increase in the
6 non-weight-restricted animals. There was no increase in
7 female rats of the studies. And I think the point this
8 raises is there needs to be some consideration as to what
9 is the relevance to human health of tumors that occur in
10 ad libitum fed animals, but not in weight restricted when
11 there is a body of information that says that in the case
12 of pancreatic cell tumors, caloric restriction does have a
13 suppressive effect, and that's one large area to consider.

14 And then overlaying that is what does that have
15 to do with butyl benzyl phthalate in particular in the
16 context of that. I don't have an answer for that, but I
17 think that is a consideration. There was an observation
18 of pancreatic tumors in mice.

19 A point of clarification. We heard that in these
20 series of studies at NTP with butyl benzyl phthalate,
21 there was an observation of pancreatic cell carcinoma, and
22 that had never happened before. I don't know if that's
23 the case, or at least not in controls. But I do know in
24 these studies that in the follow-up study, the 1997b
25 study, there was a carcinoma in the untreated controls,

1 and there was also in that study a carcinoma, one
2 carcinoma, in the tested animals. So the incidence was
3 the same.

4 --o0o--

5 DR. BUTALA: Now, to the adrenal tumors, the HID
6 states that there was an increase in adrenal medulla
7 pheochromocytomas in females in a 1982 study. But this
8 again was not the finding that was reported by NTP. In
9 the actual statistical analyses table in the 1982 report,
10 there is no statistically significant increase indication
11 with that particular lesion. In fact, the dose response
12 data are actually negative for the administration. The
13 control animals had a higher incidence than the dosed
14 animals.

15 There was in the 1997a and b studies no
16 statistically significant increase in tumors laid onto the
17 test compound, BBP. And again that is for the same reason
18 I gave a few minutes ago. There was a suppressed
19 incidence in control animals, that according to the NTP
20 scientists accounted for an artificial and artifactual
21 statistical elevation.

22 --o0o--

23 DR. BUTALA: We come now to urinary bladder
24 tumors. And this one is -- it's a bit of a story to
25 listen to. And that is that in the initial study, the

1 1982 study, there were no urinary bladder tumor findings
2 of significance. In the 1997a study, there was an
3 increase in -- there was an increase in urinary
4 transitional tumors in female rats that was not
5 statistically significant, and the NTP did not consider
6 this a positive finding.

7 Now, what I wanted to say about that is that,
8 once again, in the actual NTP report, the description of
9 the increased incidence of these bladder tumors in the
10 female animals was considered not a neoplastic finding,
11 because they list that in the report separately and it did
12 not appear there. It was classified as equivocal in
13 meaning and not a positive response. That's really the
14 only thing that can be said about it there. It was -- if
15 anything, it was not positive for bladder tumors in that
16 study.

17 McConnell -- Dr. McConnell incidentally
18 considered the bladder changers -- bladder changes likely
19 due to chronic irritation in the 32-month study via
20 physical damage to the epithelium, so a mechanical
21 irritation of the epithelium.

22 I would ask you to ponder that for a moment. The
23 animals -- the females that developed this condition were
24 receiving 24,000 ppm butyl benzyl phthalate daily for 32
25 months. And 32,000 ppm is about 1,200 milligrams per

1 kilogram per day, and that is a massive dose for 32
2 months.

3 --o0o--

4 DR. BUTALA: Turn my attention now to the genetic
5 toxicology. And Dr. Zeiger wrote a report, and I would
6 leave it to you to consider what you -- what that
7 report -- what the impressions of that report were on your
8 studying of it. I would tell you this though, that when
9 Dr. Zeiger made his evaluation of all of the studies, I
10 think he ran into the same thing that I've heard described
11 here earlier today, and that is that full reports on each
12 of the studies were not available.

13 And what he did -- and that will help understand
14 this table. What he did in that situation is that in
15 those circumstances where there was not enough information
16 available to support or sustain a finding of a positive
17 mutagenicity finding, he did not count that report as a
18 positive finding, and that is how these tallies are run.
19 I want to be very clear, that's how the tallies are made.

20 For instance, there are several studies for which
21 only an abstract was made available. And I think that's
22 all you all had to work with as well. In that case, and I
23 think this situation came up earlier this morning in one
24 of the comments from your OEHHA staff on availability and
25 clarity and completeness of data, when only an abstract

1 was available, Dr. Zeiger did not know anything about the
2 dose response, he did not know anything about the actual
3 methodology, or whatever data calculations and statistical
4 analysis were performed. So he would not sustain that
5 report as positive, if that's what it actually said in the
6 abstract.

7 Here we have seven positive studies and 21
8 studies that are not positive.

9 CHAIRPERSON MACK: Dr. Butala, it's me. You're
10 kind of running a long time over the 15-minute mark, so if
11 you could try and be as succinct as you can.

12 DR. BUTALA: I will try to do that. Thank you.

13 I think we need to consider just two more points
14 here. One again is on the weight of evidence, and that is
15 there's no consistency in the tumor findings. I mean,
16 that's the main thing I see here. The tumor findings in
17 the suite of NTP studies were not able to be replicated
18 from one study to the next, to the next. And I believe
19 that does factor into your considerations.

20 The weight of evidence is that butyl benzyl
21 phthalate, based on that and details of course are in
22 Zeiger's report, is that it's not genotoxic. We had the
23 two published epidemiology studies you heard about. And
24 we believe taken together that the weight of evidence
25 suggests that BBP is not carcinogenic.

1 I would also like to add two more quick comment
2 sentences. One is that on this business of exposure, you
3 heard Ann Claassen talk about the ability to transform
4 urinary levels into actual, you know, body doses, and from
5 the biomonitoring study. I did that calculation, and, you
6 know, many of the studies that were done here by the NTP
7 were done at 1,200 parts per million. And that turns out
8 to be about 500 milligrams per kilogram per day. That's
9 the dose the animals got. The urinary levels that we saw
10 in the HID report are anywhere from a half a million to
11 1.1 million-fold below that.

12 I guess on mode of action I would say that, yes,
13 you know, there was an array of in vitro assays that
14 talked about activations of genes and proteins that might
15 be associated with some forms of cancer, particularly
16 mammary cancers or estrogen-influenced cancers. I would
17 point out that in all of the NTP assays, the apical assays
18 for those kinds of cancers, none were positive. In fact,
19 and this is from the NTP reports, there was a negative
20 association in the NTP assays in cancers in preputial
21 cancers, in pituitary cancers, and cancers of the
22 clitoris. And in the case of mammary cancers, there was
23 no elevation of mammary cancers in any study.

24 And the context of that is that the mammary
25 cancer incidence in the Fischer rat is about 51 percent in

1 untreated controls. I considered that to be a hair
2 trigger for the development of mammary tumors.

3 If butyl benzyl phthalate could produce mammary
4 tumors, administering it to rats who already enter this
5 study with a 50/50 chance of developing it and not having
6 it developed, I think is a strong indication that BBP does
7 not have the potential to produce mammary tumors.

8 My final comment is to draw your attention to the
9 report we gave you from Dr. Timothy Zacharewski. This has
10 relevance to comments that the staff just made a few
11 minutes ago. Dr. Zacharewski, you should be familiar with
12 him. He is coming off of an assignment with the EPA to
13 advise them on the suitability of high throughput in vitro
14 assays in omics data for predicting long-term effects,
15 particularly these studies. And he said, and it's the one
16 sentence I will read to you. He specifically talked about
17 the studies that were under consideration here for duct --
18 breast duct formation.

19 And that is the authors of the study concluded
20 that, "The modest increases butyl benzene -- that BBP
21 produced did not include the formation of duct-like
22 structures and solid masses in response to BBP". Those
23 did not form, as opposed to something like bisphenol A,
24 which did cause that formation.

25 And with that, Dr. Zacharewski concluded that

1 these type of studies have substantial limitations. And I
2 will end with that, and thank you very much for your
3 presence -- for your patience.

4 CHAIRPERSON MACK: Thank you, Mr. Butala.

5 I'll ask the members of the committee if they
6 have any questions for any of the speakers?

7 Hearing none. May I ask Martha or John, do you
8 have anything to say in response?

9 DR. SANDY: I think we do.

10 CHAIRPERSON MACK: Not surprised.

11 DR. SANDY: I believe the presentation we just
12 heard with the table on the MNCL female rat calls for
13 the -- it was Technical Report 231, which is, what we
14 called NTP 1982, it looks to me like it says the female
15 findings -- OEHHA called them positive and NTP called them
16 negative on the slide we just were presented.

17 Yet, the conclusion in that NTP report was that
18 BBP was probably carcinogenic for female F344 in rats
19 causing an increased incidence of mononuclear cell
20 leukemias.

21 You can tell that this is an early report by NTP,
22 so they're not using the levels of evidence we're used to
23 hearing clear, some, equivocal, et cetera. They call this
24 probably carcinogenic.

25 And then on that same chart for the males, NTP

1 did not evaluate the male study in 1992, because of
2 excessive toxicity.

3 And I believe we have a few other things, but
4 I'll ask the chair if we may go on.

5 CHAIRPERSON MACK: Yes, go ahead.

6 DR. BUDROE: I would just like to note that in
7 the HID Tables 12, 13, and 14, where most of the -- where
8 the genotoxicity results are displayed, most, if not all,
9 of the positive studies were either published in the
10 peer-reviewed literature or they are in NTP reports.

11 CHAIRPERSON MACK: Does that conclude your
12 collective thoughts?

13 DR. BUTALA: Are you addressing me?

14 CHAIRPERSON MACK: I'm not addressing you, Mr.
15 Butala. Actually, you've had your shot.

16 DR. BUTALA: I thought so. I just thought that
17 perhaps there were questions for me.

18 CHAIRPERSON MACK: No. Thank you very much.
19 Is there a point to be made.

20 DR. SANDY: I don't know if it's -- yeah.
21 We're -- if you have any questions of us, we'll be happy
22 to answer.

23 CHAIRPERSON MACK: Then let's proceed, yes.

24 Thank you. You should be in front of me.

25 Okay. Let's go ahead with the Committee and

1 we'll start with David.

2 COMMITTEE MEMBER EASTMOND: Okay. Well, thank
3 you. And I actually appreciate the presentation but Dr.
4 Butala, in that there was quite a difference in -- in the
5 document, it appears that there's a lot of consistency,
6 but as he showed when you really dig into the data there's
7 tremendous inconsistency between studies.

8 And that ends up being -- so let me go through
9 and I'll just go through them one by one kind of as
10 highlighted. But from my reading, there was a significant
11 dose related increase in the female rats in the NTP study
12 1982 for mononuclear cell leukemia. That increase was not
13 seen in the 1997a study, the first one, which was ad
14 libitum feeding. It was seen in the b study, but only
15 because the control levels had decreased substantially.

16 Now, depending on how you want to interpret
17 that -- so as we talked about in the last one, this is a
18 tumor type that's highly variable. The incidence here is
19 well within the middle part of the range we talked about
20 in the last group. Remember the range went up to like 60
21 percent. We're now at 27 on this one. So I didn't put a
22 lot of stock in the mononuclear cell leukemias because of
23 the variability that's seen in that tumor type.

24 The adrenal medulla tumors, there was a
25 significant increase seen in the weight-match controls

1 when compared to the high dose, but this increase was not
2 seen in the treated animals when they're compared to the
3 ad libitum control. So again, it's one of these where the
4 control values decreased. Now, that's one of the
5 advantages of doing a weight matching is you will reduce
6 your spontaneous, but it wasn't seen in other studies as
7 well, so there's a lot of inconsistency on that point.

8 The urinary bladder tumors are actually more
9 interesting. They're non-significant increase is seen in
10 the female rats at the 32-month study, but you see a
11 dose-related increase in hyperplasia, and bladder tumors
12 are rare in untreated female rats. A does-related
13 increase in hyperplasia was seen in the NTP 1997a study.
14 Only a minor increase in papillomas was seen in the study.
15 These are likely treatment related but not -- in my view,
16 not significant -- sufficient to list this as a con
17 basically.

18 The pancreatic acinar cell tumors are more
19 challenging in some ways. Basically, there was no
20 increase seen in the 1982 study in the female rats. There
21 was an increase seen in the male rats in the 1997a study.
22 This was entirely due to adenomas, one carcinoma, and this
23 was only seen at the high dose -- increases only at the
24 high dose.

25 As it says in the NTP study and indicated by the

1 presenters, there was a -- they've never -- basically, a
2 carcinoma had never been seen to that point in a control
3 rat. Except in the next study the 1997b study, there was
4 a carcinoma in the control. So it's a little misleading,
5 in that these parallel studies one of them they emphasize
6 how important it is they've never seen a carcinoma. And
7 the next hand, they actually see one in their controls.

8 So basically, you've got this -- this is
9 considered a rare type of tumor in general, but not in
10 this particular study. The control values were six
11 percent. Three of the 50 animals had this particular
12 pancreatic tumor, which for me suggested something is
13 unusual about the particular animals or treatment or, et
14 cetera.

15 I actually did a little more background in
16 looking about this into this tumor type. It's not often
17 induced by basically chemicals in the NTP studies, but
18 they -- it is one that's heavily influenced by diet.
19 Okay. And so although this was seen in the NTP 1997a
20 where the animals were allowed ad libitum to eat feed, in
21 the weight-restriction study, which was the parallel study
22 where they restricted 24 months no increase in this tumor
23 was seen.

24 So the parallel studies one has a significant
25 increase at the high dose. The next one at 24 months

1 doesn't see any increase at all. When you go out to 32
2 months, there were, I believe it was, three animals
3 developed this type of tumor, but it was not statistically
4 significant. So my take on this is that there's a lot of
5 inconsistency in this particular tumor type. I might also
6 add that this tumor type is the one that's induced by corn
7 oil in Fischer 344 rats. So this is the one. So it's
8 very influenced by diet. Both dietary restriction drops
9 it down, corn oil and safflower oil actually induce this
10 particular type of tumor.

11 So this one, I think, is interesting and
12 suggestive, but I don't think it's sufficient to list.
13 One thing I might mention that the conclusions of the NTP
14 1997a I thought are important. And they refer to this --
15 NTP has these specific sort of definitions. So clear
16 evidence is their highest evidence of association. Some
17 evidence is the next one. And these pancreatic tumors
18 they considered to fit into the some evidence category,
19 which -- anyway. In the female rats they considered
20 equivocal evidence for that particular tumor type. And
21 some evidence, because of the transitional epithelial
22 papilloma in the urinary bladder.

23 So basically, as indicated by the presenter, for
24 me there's enough variability in this that makes me quite
25 concerned about listing, just because of the different

1 studies don't see the same consistent results. And so,
2 you know, in my mind, certainly the results weren't clear
3 enough for me to consider it clearly shown to cause
4 cancer.

5 CHAIRPERSON MACK: All right. Dr. Dairkee.

6 COMMITTEE MEMBER DAIRKEE: I'm going to address
7 the mammary gland issue a little bit. Although, there
8 were no tumors detected there, but I feel the in utero
9 induction of cell proliferation in the mammary gland is a
10 concern. The fact that it is positive in the E-screen,
11 which is an endocrine disruption screen, indicates that
12 that might be something to be related to cancer.

13 There's chromosomal aberrations that have been
14 observed in vivo again in the bone marrow of mice. So it
15 may not be genotoxic to bacteria, to salmonella, but it
16 does affect the chromosomal integrity of mammalian cells.
17 And, of course, there's a lot of data on gene expression
18 changes, which have been confirmed by proteomics showing
19 that many of the hallmarks of cancer are induced by BBP.

20 And again, the fact that it induces angiogenesis,
21 which promotes tumor growth is also a concern. And this
22 is kind of what my take is on this chemical.

23 CHAIRPERSON MACK: David.

24 COMMITTEE MEMBER EASTMOND: Can I make one more
25 comment on the genotoxicity. There is evidence for

1 genotoxicity. Although, it's not overwhelming. The doses
2 where you saw the chromosomal aberrations in the NTP
3 bioassay was at 5,000 milligram per kilogram dose, given
4 IP, by intraperitoneal injection. The LD 50 in mice is
5 somewhere about between 3,000 to 6,000 milligrams per
6 kilogram, so you're really close to that LD 50 range where
7 you see the chromosomal aberrations.

8 So, you know, it certainly was reproducible and
9 it's there, but it's about roughly nine times higher than
10 the dose that was given -- a dietary dose than was given
11 in the bioassay. So it's quite a high dose in that
12 particular study.

13 CHAIRPERSON MACK: Can I just ask you, David, to
14 tell me again what your opinion is about the two-year
15 1998b feeding study, which both male and females showed
16 hepatocellular carcinoma increases?

17 COMMITTEE MEMBER EASTMOND: I don't think we've
18 got hepatocellular carcinomas in this case. Are we --
19 that's acinar. That's the kidney --

20 CHAIRPERSON MACK: It says seven at 4,000 ppm
21 concentration in seven out of 67.

22 COMMITTEE MEMBER EASTMOND: Let me see if I've
23 got --

24 CHAIRPERSON MACK: I'm sorry. I'm sorry. I
25 screwed up. No. Did I screw up? This is DINP or I'm

1 still looking at --

2 COMMITTEE MEMBER EASTMOND: Which page are you
3 on?

4 CHAIRPERSON MACK: Oh, I'm sorry. My mistake.

5 COMMITTEE MEMBER EASTMOND: Yeah, this one didn't
6 have a liver.

7 CHAIRPERSON MACK: I've got the wrong page.
8 Excuse me.

9 So, Duncan.

10 COMMITTEE MEMBER THOMAS: I'm afraid I don't have
11 a whole lot to add. I'm not about to comment on the
12 biology, that being far out of my expertise. And the only
13 comment I would like to make about the toxicology, the
14 animal carcinogenicity, is that as I sit here I worry
15 about the multiple comparisons problem. This is, of
16 course, a recurring theme any time we look at these kinds
17 of data.

18 What I don't think we want to do is take the
19 single strongest finding and do a Bonferroni correction
20 for it, because we're not here to ask whether liver tumors
21 in rats are significantly associated in this study. What
22 we're asking is, is there a general pattern of association
23 of any cancer with this chemical that is minimally
24 consistent, meaning that we see it in multiple studies.
25 We see it in multiple species. We see it in both sexes.

1 That sort of thing. Now, if that were a well defined
2 criterion, then we could compute the test statistic and we
3 could do some sort of a permutation test or something like
4 this to address the significance of that pattern of
5 cancer.

6 Now, there are near infinite number of such
7 criteria that we could come up with to formalize this
8 notion, so I'm not suggesting that as a practical thing
9 that staff should do. Instead, we're falling back on our
10 judgment, each of us as individuals, to decide whether or
11 not the pattern that we're seeing here is -- has
12 sufficient degree of consistency and biological
13 plausibility to rise to the standard that's written down.

14 And I'm having a hard time figuring that out, in
15 this case. I don't see a clear pattern of the same cancer
16 being represented in both sexes consistently. We have one
17 cancer that appears the -- I guess it's the MNCL that we
18 see in both sexes, but not in the same study, and others
19 that we see in one gender but not the other gender, or in
20 one -- or anyway, broadly inconsistently, but there's
21 enough of it to be worrisome. So I'm still undecided and
22 look forward to further discussion amongst the Committee
23 to help educate me about this.

24 So to the extent that I have any expertise to
25 offer, it would be about the epidemiology and there just

1 isn't any epidemiology, so that makes my job easy. Or at
2 least there's almost no epidemiology. We have two very
3 weak studies that were amongst those that were discussed
4 in the HID, both of them with many limitations, neither of
5 them with any significant positive associations, and
6 indeed generally negative.

7 And then the endometriosis study, which was just
8 published, shows again a pattern of inconsistent findings
9 across about a dozen different related chemicals of which,
10 if I have it right, there is one chemical that is the same
11 as the one we're talking about, although it's not spelled
12 the same way.

13 And that one shows a null association, neither
14 positive nor negative. The one which was mentioned by the
15 staff earlier this afternoon shows a non-significant
16 positive association, so that's a little worrisome. But
17 all of the two or three significant findings are all in
18 the other direction.

19 Nevertheless, that creates, in my mind, an image
20 of a class of chemicals that are doing something to the
21 endocrine system that worries me, given how much we know
22 about its association generally with various cancers. But
23 that's not direct evidence that I think this committee
24 should give very much weight to.

25 So all in all, I'm conflicted. At this point,

1 though, I would be hard pressed to make a strong statement
2 that this chemical is known to the State clearly to cause
3 cancer, and even if I don't say in humans.

4 CHAIRPERSON MACK: Jason.

5 COMMITTEE MEMBER BUSH: I really don't have
6 anything to add, other than perhaps one query for the HID
7 report. And following up with some of the concerns
8 earlier about the estrogenic potential of these chemicals.
9 In Table 22, you've got a partial list of MCF7 and ZR75
10 cell proliferative studies. ZR75, as you indicated, is
11 known to be more estrogenic, but are you able to comment
12 on how to break those out a little bit. I mean, was ZR75
13 more responsive in these studies? You're merging the data
14 there, and it's hard to figure out what's actually going
15 on with that table.

16 And if you can't make comment, that's all right,
17 too.

18 DR. HSIEH: Yeah, it's mentioned in the original
19 paper. I take the original statement from the paper in
20 Jobling et al. 1995. In their lab they tested two
21 different cell lines, ZR75 and MCF7 cell, and they found
22 out it's a more response to estrogen treatment in the ZR75
23 cell line compared to MCF7 cell. They didn't provide a
24 clear explanation in their paper, so I cannot answer. But
25 they did have -- they do have evidence and it's not

1 published.

2 COMMITTEE MEMBER BUSH: Okay. Thank you.

3 CHAIRPERSON MACK: Dr. Zhang.

4 COMMITTEE MEMBER ZHANG: I have a question for
5 Dave. My understanding is for the mononucleated cell
6 leukemia never reported in male rats, right, no matter in
7 NTP maybe '82 study or 1997a and b, is that the case? I
8 was trying to find it.

9 COMMITTEE MEMBER EASTMOND: In male rats?

10 COMMITTEE MEMBER ZHANG: In male rats.

11 COMMITTEE MEMBER EASTMOND: I'm not familiar with
12 the specifics on this. In this particular case, the
13 significant increase in the mononuclear cell leukemia was
14 in the weight match controls, because the controls went
15 down. The ad libitum controls, the frequency --

16 COMMITTEE MEMBER ZHANG: But are you talking
17 about the female?

18 COMMITTEE MEMBER EASTMOND: -- is likely higher.

19 COMMITTEE MEMBER ZHANG: Are you talking the
20 female?

21 COMMITTEE MEMBER EASTMOND: No, this is in males.
22 In males, the ad libitum control is 62 percent, and the
23 treated high dose is 60 percent, but in the
24 weight-restricted ones it goes down to 30 percent. And
25 that's where the significance comes from is in the weight

1 reduction. Now, that -- presumably that's valid, but
2 that's the -- that's why the significance is seen there is
3 actually is in the weight-restricted controls, not the ab
4 libitum feed controls.

5 COMMITTEE MEMBER ZHANG: Okay. So I look at the
6 Thomas 2007 paper again, that's how they conclude for BBP
7 is positive in female rats, but inadequate in the male.
8 So I was trying to update on that.

9 See, so compare with the first chemical, DINP, we
10 discussed for the MNCL at least for DINP we found for both
11 genders, but here is only one. And human data is clearly
12 negative, but I also have one other notice is dose
13 response. Even though the P value, if you look, is like
14 mostly 0.01, but if you look at the low dose or medium
15 dose it's always the same as the control. So to me, it's
16 not -- I don't see the real dose response. It's only like
17 a high dose.

18 And also, I noticed for the animal study, quite
19 many animal studies didn't have the highest, so -- or
20 sometimes it's just a medium dose. So if I look at the
21 issue study only, you have whatever the highest dose in
22 that study really had an effect. So that's also I feel is
23 different from DINP. It's no -- to me there's no clear
24 dose response.

25 CHAIRPERSON MACK: Joe.

1 COMMITTEE MEMBER LANDOLPH: Yeah, I agree with
2 Luoping. I was looking at the dose responses. It's
3 mostly high end. There's not a clear dose response. I
4 don't know why the statistics say that the trend test is
5 positive, because it doesn't look like it is to me. But
6 nevertheless, there is data in the hematopoietic system,
7 the liver, the pituitary, the pancreas that there is
8 positivity. And some of these, like the urinary bladder,
9 it goes up reasonably well.

10 So there are high-end dose responses. There also
11 is genetox data that's positive here, and it's more
12 positive than the DINP. And I was looking you got DNA
13 protein cross-links, DNA base lesions --

14 CHAIRPERSON MACK: Joe, get a littler closer to
15 the mic, please.

16 COMMITTEE MEMBER LANDOLPH: Yeah, sorry. You've
17 got DNA protein cross-links, DNA base lesions, DNA single
18 strand breaks by comet, and -- yeah, so there is genetox
19 data here. It's not negative. And you have to be very
20 careful how you look at genetox data. It doesn't have to
21 be positive across the board. It can be positive in
22 specific assays.

23 So I would say there is data for carcinogenesis
24 and there is data genetic toxicology. It's not as nice as
25 I would like to see. It's not dose responsive. They

1 didn't do enough doses, but there is positivity in this
2 database. The data here is not as strong, the
3 carcinogenesis data, as for the DINP for sure, which was
4 much more dose responsive.

5 CHAIRPERSON MACK: Peggy.

6 COMMITTEE MEMBER REYNOLDS: Well, finally, we
7 have some human health data. I have to say that I'm
8 neither dissuaded by the two small breast cancer study --
9 null studies nor persuaded by the several endometrial
10 cancer studies. It's interesting that this new study
11 found an association with a urinary metabolite and the
12 other studies only found it with blood levels of BBP.

13 I agree with Dr. Dairkee, I was intrigued by a
14 number of other lines of evidence, the genotoxicity
15 issues, the estrogen receptor mediated affects in breast
16 cancer lines, the effects on mammary gland development,
17 even though the actual point estimates in those few epi
18 studies tended to be below one, which isn't entirely
19 consistent.

20 And I would have been particularly intrigued by
21 some of these lines of evidence, except that the animal
22 evidence seems so mixed and inconsistent. And given our
23 criteria, it is a little less convincing.

24 CHAIRPERSON MACK: Well, I have just as much
25 difficulty as anybody else with this one. I keep looking

1 at the pancreas and the liver and these two-year feeding
2 studies. And, yes, it's true that it's only the highest
3 dose.

4 DR. SANDY: Could you speak in the mic, please.

5 CHAIRPERSON MACK: Yes, it's only the highest
6 dose, and, yes, it's -- I'm convinced by David that
7 there's a lot of inconsistency. But when I put that
8 together with the analogy to the related compounds, which
9 are much more convincing, at least one of them is, that
10 that bothers me a lot, and that pushes me no more toward
11 listing, but I'm still on the cusp.

12 David.

13 COMMITTEE MEMBER EASTMOND: Well, let me comment.
14 The pancreatic acinar cell tumors are actually -- for me,
15 they're inconsistent in this, but they are probably caused
16 because lots of PPAR agonists cause this type of tumor.
17 But in my mind, this issue is has it been clearly shown
18 through scientifically valid testing. And for me, there's
19 too much variability here across studies for me to feel
20 like that standard has been met. If I were to be a
21 betting person and say what do I think?

22 Sure, I think these are probably caused by -- and
23 I think the bladder cancer probably are too, but I don't
24 think the evidence is sufficient, in my mind, to list it,
25 but that's where I come in on it. So I do see multiple --

1 and I can argue biologically why I think the pancreatic --
2 why these pancreatic tumors are relevant. But, you know
3 for me, based upon the statute, it's clearly shown, and
4 for me it hasn't been clearly shown, but that's my
5 personal perspective.

6 CHAIRPERSON MACK: And you think that the things
7 that are in the back of your mind that convince you that
8 it's really true are not science.

9 COMMITTEE MEMBER EASTMOND: No, I think they're
10 science. I mean, I could go either way on this. I mean,
11 honestly, but I have to -- you know, the problem is is
12 that I think these are probably due to a PPAR alpha
13 related mechanism, which probably is not relevant to
14 humans. So then you've got this interesting dilemma, but
15 since we're not going into the relevance to humans, then I
16 go strictly does the data show it that's in front of me?

17 And then I say there's too many inconsistencies
18 for me to feel comfortable. But if I were going to say --
19 if I were doing a hypothesis or putting forward a proposal
20 to study, I would propose it, because I think it's
21 certainly interesting there's some evidence there.

22 CHAIRPERSON MACK: Oh, dear.
23 Dr. Zhang.

24 COMMITTEE MEMBER ZHANG: I have a question for
25 Peggy. You know, epi study, although the -- although the

1 kind of negative study and also OEHHA scientists represent
2 and analyze the inadequate part of the design or -- what's
3 your -- you know, before I can make my own decision, I'd
4 like to hear your comment on the, you know, particular two
5 studies and the process of the new one.

6 COMMITTEE MEMBER REYNOLDS: On the two studies?

7 COMMITTEE MEMBER ZHANG: Yeah. So what do you
8 think from the study design or the weakness the OEHHA
9 scientists represent and --

10 COMMITTEE MEMBER REYNOLDS: I think that OEHHA
11 did a very nice job of outlining those studies and the
12 issues around those studies. And obviously, human health
13 studies are always challenging. We can't do a perfect
14 study. We can never make it perfect. The one study was a
15 population based study in which they were looking at
16 probable exposure from occupation. That's difficult and
17 has its own challenges. The Mexico hospital-based study
18 was based on a metabolite in the urine. And it was a
19 pretty small study with hospital controls, and has always
20 got the problem when you're looking at a metabolite or a
21 body burden of having post-diagnosis measurements, which
22 are hard to interpret.

23 So I think there are lots of very legitimate
24 reasons that were given by the reviewers of why those
25 human health studies, even though they were null, and

1 neither one of -- they both had point estimates that were
2 within the null. A lot of good compelling reasons why
3 they might have been null based on design, even though,
4 you know, maybe -- if we could do a perfect study, maybe
5 you'd see a risk association, but it's only the two
6 studies and they each had a different kind of a
7 measurement of the exposure.

8 CHAIRPERSON MACK: I didn't mention the two
9 epidemiologic studies. So given that you've asked her the
10 question, I'm going to weigh in.

11 I think they're completely useless. And the
12 reason I do is different for the two studies. The first
13 one was a study of dead people, which compared dead people
14 with other dead people. And that's just like having a
15 hospital control. It's a matter of what's associated with
16 the reasons why the controls died. And I just think --
17 and in addition, they did information gathering by asking
18 spouses about occupational exposures. And I just don't
19 think that's very useful.

20 On the other study, the Mexican study, which I
21 was really excited to see that there was a big Mexican
22 study, but unfortunately they were basing their
23 conclusions on a sample of blood that was drawn after
24 diagnosis. And so I don't really think you can make any
25 conclusions at all from that one either. And it was being

1 compared to non-comparable controls.

2 So I think the two studies are totally
3 meaningless, and we have to depend on the animal
4 information.

5 COMMITTEE MEMBER REYNOLDS: So I think we agree
6 with OEHHA and the limitations.

7 CHAIRPERSON MACK: Pardon me?

8 COMMITTEE MEMBER REYNOLDS: I think we both agree
9 with OEHHA that they're very limited.

10 COMMITTEE MEMBER ZHANG: So then one more
11 question. So if now we have to heavily rely on animal
12 studies, so the following question would be if we only see
13 the high-dose effect, not a clear dose response, by law,
14 how should we respond?

15 CHAIRPERSON MACK: There's no law.

16 COMMITTEE MEMBER ZHANG: So yeah, that's another
17 question I wanted to --

18 CHAIRPERSON MACK: Can I respond before you?

19 STAFF COUNSEL KAMMERER: Oh, certainly.

20 CHAIRPERSON MACK: Not evening going into law. I
21 mean, you can have causal relationships which don't have
22 dose responses, especially when it's very crude
23 measurements of dose, which they are inevitably. There
24 can be thresholds. There can be all kinds of differences
25 in the dose response curve, so that I don't think you have

1 to have the dose response. And certainly a threshold is a
2 perfectly reasonable possibility. And maybe Duncan would
3 disagree with that.

4 COMMITTEE MEMBER THOMAS: No, I wouldn't.

5 CHAIRPERSON MACK: You agree. So I think the
6 absence of a dose response is not very helpful. And I'm
7 concerned that when you give a lot of the stuff it
8 increases the risk of pancreas cancer, even though that's
9 inconsistent, as David has said.

10 So I'm really stuck, so I think we better take a
11 vote and see what everybody is -- which side they're stuck
12 on. Anybody want to make any -- here we go, guys.

13 COMMITTEE MEMBER ZHANG: I have one more
14 question.

15 CHAIRPERSON MACK: Oh, Dr. Zhang has something.

16 COMMITTEE MEMBER ZHANG: At the beginning I heard
17 you were saying as Committee members we also could defer
18 our decision, but is that different from abstain? Is that
19 a case --

20 STAFF COUNSEL KAMMERER: It's different. You can
21 defer the decision for a later meeting if you need more
22 information. If you feel like you don't have enough
23 information, you can defer for a later date.

24 COMMITTEE MEMBER ZHANG: I see. Okay.

25 CHAIRPERSON MACK: But, of course, you'd only do

1 that if you think you're going to get better
2 information --

3 (Laughter.)

4 CHAIRPERSON MACK: -- sometime in the recent
5 future.

6 COMMITTEE MEMBER ZHANG: There is no good human
7 data.

8 COMMITTEE MEMBER REYNOLDS: So I would presume
9 the process is such though, should there be a bunch of
10 studies that come out in the next several years, this is
11 something that could be brought back to the table and
12 rediscussed?

13 DR. SANDY: Yes.

14 DR. ZEISE: Yes.

15 CHAIRPERSON MACK: Do you see any sign of that?

16 DR. SANDY: The answer is yes, if there were new
17 studies we could bring it back.

18 DIRECTOR ALEXEEFF: The sign is --

19 CHAIRPERSON MACK: What did you say?

20 DR. SANDY: I said that yes we could bring a
21 chemical back if there were significant new data, and you
22 so wished.

23 CHAIRPERSON MACK: And the contingency is
24 unlikely?

25 DR. SANDY: (Nods head.)

1 CHAIRPERSON MACK: Yes.

2 DIRECTOR ALEXEEFF: The reason -- there was the
3 newer data that was emerging regarding breast -- looking
4 into the breast cancer issue. That seems to be an active
5 area of research, so I think there's going to be more
6 research in that area. Maybe it will stimulate another
7 epidemiologic study that's better designed.

8 COMMITTEE MEMBER REYNOLDS: I would just add that
9 I do think the phthalates in general are of considerable
10 public health interest, and it's not unlikely that in the
11 next several years there might be some human health
12 studies.

13 CHAIRPERSON MACK: Okay. I think we've had
14 enough speculation about both causality and the future.
15 So let's go ahead and do the -- make the -- do the deed.

16 Based on the information you have been -- wait a
17 minute.

18 DIRECTOR ALEXEEFF: Yes, let's not do that one.

19 CHAIRPERSON MACK: Sorry about that. Where did
20 that come from?

21 DIRECTOR ALEXEEFF: That's the next item.

22 CHAIRPERSON MACK: Has butyl benzyl phthalate
23 been clearly shown through statistically valid testing,
24 according to generally accepted principles to cause
25 cancer? All those voting yes, please raise their hand.

1 (Hand raised.)

2 CHAIRPERSON MACK: One. My goodness gracious.

3 All those voting no, please raise their hand.

4 (Hands raised.)

5 CHAIRPERSON MACK: One, two, three, four, five.

6 Five it is.

7 All those abstaining, please raise their hand.

8 (Hands raised.)

9 CHAIRPERSON MACK: One, two.

10 Well, we did it. We definitely decided against
11 listing butyl benzyl phthalate.

12 All right. Next agenda item.

13 DIRECTOR ALEXEEFF: Update of section --

14 CHAIRPERSON MACK: Ah-ha. Is that going to be --
15 that's going to be Fran?

16 DIRECTOR ALEXEEFF: Yes.

17 CHAIRPERSON MACK: Madam.

18 (Thereupon an overhead presentation was
19 presented as follows.)

20 STAFF COUNSEL KAMMERER: Thank you. Okay. Now,
21 we go to the not-so-famous Proposition 65 list that you
22 hear about every meeting.

23 Yes. By the mandate -- or mandated by
24 Proposition 65 we are to look at state and federal
25 agencies that have determined that more tests are needed

1 on certain carcinogens, and then we bring that to the
2 state experts and you confirm that.

3 So Dr. Mack has language. I've -- Cindy has the
4 slides up of the chemicals that were added, and some were
5 actually removed, I guess. They were determined that
6 there was sufficient evidence -- sufficient studies,
7 but -- so there's a list. And I'm not going to try to
8 read them out. I'm sorry. I'm not very good at chemical
9 names, but they're in your book.

10 And Dr. Mack has the language he'll read to you
11 to see if you will confirm these determinations, as long
12 as you don't have any questions for me first.

13 COMMITTEE MEMBER REYNOLDS: So these are for
14 reproductive toxicity, not carcinogenicity?

15 STAFF COUNSEL KAMMERER: Do we not have
16 carcinogens?

17 COMMITTEE MEMBER REYNOLDS: So that wouldn't be
18 us.

19 CHAIRPERSON MACK: I'll say what he just said to
20 me, both Committees vote on both lists, okay, even though
21 we don't know anything about reproductive toxicity
22 testing.

23 All right. Now, I will read this statement.
24 Based upon the information you've been provided from the
25 U.S. EPA, should the chemicals as identified on the first

1 and second sections 27000 slides be added to the list of
2 chemicals required by state or federal law to be tested,
3 but which have not been adequately tested as required?

4 All of those voting yes, please raise their hand.

5 COMMITTEE MEMBER EASTMOND: Are we --

6 CHAIRPERSON MACK: You're supposed to make a
7 decision here.

8 George.

9 DIRECTOR ALEXEEFF: I guess we need to clarify
10 the process a little bit. Prior to each meeting or each
11 year, according to the law, we ask the U.S. EPA,
12 Department of Pesticide Regulation, and some other federal
13 or state agencies what chemicals need additional testing.
14 And then those -- they come back -- or which ones have the
15 testing been adequately completed.

16 And based upon their responses, we provide that
17 information to you, and we ask that you affirm, yes, they
18 should be tested or they should be taken off the list as
19 suggested by U.S. EPA or FDA in this -- U.S. EPA or
20 Department of Pesticide Regulation in this case. So we
21 realize it's an odd request, but it is what's required in
22 the statute.

23 COMMITTEE MEMBER EASTMOND: George, we're being
24 asked to vote that these should be added to the list of
25 things needing testing?

1 DIRECTOR ALEXEEFF: Yes.

2 COMMITTEE MEMBER EASTMOND: Okay. I think that's
3 a pretty easy vote.

4 CHAIRPERSON MACK: Can I ask a question, George?
5 If we were not to vote positively on this, what would
6 happen?

7 DIRECTOR ALEXEEFF: If you did not vote
8 positively, we would bring it back to the next meeting
9 probably.

10 (Laughter.)

11 CHAIRPERSON MACK: That's what I was afraid of.
12 Does that answer your question?

13 COMMITTEE MEMBER ZHANG: George I have a
14 question, so which means that all these chemicals lists
15 which are currently not on the --

16 CHAIRPERSON MACK: Well, they would go onto their
17 list of things to be looked at in the future.

18 COMMITTEE MEMBER ZHANG: I got it.

19 CHAIRPERSON MACK: All right. I'll now start
20 again. Based upon the information you have now been
21 provided --

22 DIRECTOR ALEXEEFF: Let's try the microphone and
23 sit closer.

24 CHAIRPERSON MACK: Based on the information you
25 have been provided from the U.S. EPA and from the various

1 members here, should the chemicals as identified on the
2 first and second section 27000 slides be added to the list
3 of chemicals required by state or federal law do be
4 tested, but which have not been adequately tested as
5 required? All those voting yes, please raise your hand.

6 (Hands raised.)

7 CHAIRPERSON MACK: Okay. That's unanimous.

8 All those voting no, please raise your hand?

9 (No hands raised.)

10 CHAIRPERSON MACK: All those abstaining, please
11 your hand?

12 (No hands raised.)

13 CHAIRPERSON MACK: Okay. Now, we go to the other
14 one. Based upon the information you've been provided from
15 the Department of Pesticide Regulation and the U.S. EPA,
16 should the chemicals as identified on the third section
17 27000 slide be removed from the list of chemicals required
18 by state for federal law to be tested, but which have not
19 been adequately tested as required? This is weird. All
20 those voting yes, please raise your hand.

21 (Hands raised.)

22 CHAIRPERSON MACK: All those voting no, please
23 raise your hand.

24 (No hands raised.)

25 CHAIRPERSON MACK: All those abstaining, please

1 raise your hand?

2 (Hands raised.)

3 COMMITTEE MEMBER REYNOLDS: I'm not sure about
4 Maneb. I'd want more information

5 CHAIRPERSON MACK: Two abstainers, so at least we
6 don't have to see it again.

7 Next item.

8 DIRECTOR ALEXEEFF: Okay. Staff updates.

9 CHAIRPERSON MACK: Ah-ha. Cynthia, your floor.

10 (Thereupon an overhead presentation was
11 presented as follows.)

12 MS. OSHITA: Good afternoon. Just very quickly
13 here, I'd like to update you on the administrative
14 listings that OEHHA has been working on since the
15 Committee last met earlier this year in January. OEHHA
16 has administratively added nine chemicals to the list,
17 seven as chemicals known to cause cancer, and two as
18 chemicals known to cause reproductive toxicity.

19 And additions to the list, along with the
20 effective dates, are shown on this slide here. You'll
21 note that on the slide that bisphenol A was delisted on
22 April 19th, 2013. And Fran will discuss the status of BPA
23 further in her litigation update.

24 There are yet some other chemicals that are under
25 consideration for administrative listing, and they include

1 beta-myrcene, and pulegone, and emissions of high
2 temperature unrefined rapeseed oil as causing cancer. And
3 then also trichloroethylene, methyl isobutyl ketone are
4 under consideration for listing as causing reproductive
5 toxicity.

6 We received comments on beta-myrcene, pulegone,
7 and methyl isobutyl ketone, which are under review. And
8 then the comment periods for the emissions of high
9 temperature unrefined rapeseed oil will close on December
10 16th, 2013, and for trichloroethylene it will close on
11 January 13th, 2014.

12 In addition to the listing considerations, we
13 continue efforts to adopt safe harbor levels. Since you
14 last met, we have not adopted any no significant risk
15 levels, but we have adopted several maximum allowable dose
16 levels. And those are shown here with their effective
17 levels on this slide. That's it.

18 CHAIRPERSON MACK: Thank you, Cindy.

19 COMMITTEE MEMBER EASTMOND: I have a question.

20 CHAIRPERSON MACK: Yes, David.

21 COMMITTEE MEMBER EASTMOND: This is more -- I
22 don't know if it deals with this. This might be
23 regulatory in nature, but I find it interesting that this
24 Committee met and reviewed trichloroacetic acid and
25 decided not to list it. And then through an authoritative

1 body listing, it was listed independently. And I'm
2 surprised that that would preempt or overturn the decision
3 of this Committee. Is that considered a standard thing?

4 CHAIRPERSON MACK: That's the law.

5 STAFF COUNSEL KAMMERER: If I could answer that.
6 There are different methods of listing. And it's not a
7 matter of preemption, it's just that we have a ministerial
8 duty to do it. So if it is determined by another method
9 to cause cancer, we follow that too.

10 COMMITTEE MEMBER EASTMOND: Even though this
11 Committee has met, reviewed it, and determined it did
12 not -- was not relevant to humans? Is that to be meant
13 specifically on that? And that was the conclusion.

14 CHAIRPERSON MACK: You should think of is that we
15 did not see the evidence that convinced us to list at that
16 time.

17 STAFF COUNSEL KAMMERER: Exactly. There might
18 have been more evidence later that the authoritative body
19 looked at.

20 COMMITTEE MEMBER EASTMOND: Well, I remember this
21 quite well. There were six positive animals studies, and
22 we concluded that they were not relevant to humans. So we
23 actually specifically addressed that issue on relevance.
24 So unless there's some other evidence that indicates these
25 are relevant, it seems to me that it should not have been

1 listed.

2 STAFF COUNSEL KAMMERER: Well, as I mentioned,
3 there are other methods. There are four methods of
4 listing. And I think they were developed because the
5 Committee can't look at all chemicals. So the law does
6 not say whether one preempts or not, but we do have the
7 duty to list under other methods. So OEHHA has followed
8 that duty. It has not been -- this has not been argued
9 under the law, so it hasn't been decided, but so we do
10 have the obligation to follow the other methods.

11 CHAIRPERSON MACK: Remember that the
12 authoritative bodies -- the other authoritative bodies
13 don't have quite the same mandate that we have. In fact,
14 it should have worked the other way around. In other
15 words, they can consider human pertinence, whereas our law
16 doesn't permit us to do that.

17 COMMITTEE MEMBER EASTMOND: Well, that's why it
18 seems backwards to me.

19 CHAIRPERSON MACK: It is.

20 COMMITTEE MEMBER EASTMOND: Because we
21 specifically looked and said this was not relevant to
22 humans. And yet someone else, another committee, makes a
23 decision, and it automatically trumps the decision of this
24 body.

25 STAFF COUNSEL KAMMERER: But we're following

1 Proposition 65, which we do not have the authority to
2 alter the statute itself, and that's the way the statute
3 is written.

4 DIRECTOR ALEXEEFF: Well, yeah, just each of
5 those methods have been determined to be independent. So
6 it does seem -- it's not really a preemptive thing. It's
7 simply an independent method. But staff may have some
8 comments specifically on trichloroacetic acid. I don't
9 know if they do or not.

10 COMMITTEE MEMBER REYNOLDS: Can I just ask a
11 questions. I just had a question, and I wondered if we
12 could know what authoritative body this was? You know,
13 just -- it might be helpful, because different
14 authoritative bodies use different criteria. So it might
15 be just informative.

16 DR. ZEISE: So in this particular case, the
17 International Agency for Research on Cancer reviewed the
18 evidence for trichloroacetic acid, and we're under the
19 requirement of listing it via this Labor Code mechanism.
20 So as IARC identifies chemicals as having sufficient
21 evidence in animals, we're required to place them on the
22 list.

23 DIRECTOR ALEXEEFF: However, just to clarify,
24 since we're discussing IARC, if IARC classifies it in
25 group 3, which is not relevant to humans, which it did,

1 for example, for one of the phthalates --

2 COMMITTEE MEMBER EASTMOND: Group 4. Three's not
3 classifiable.

4 DIRECTOR ALEXEEFF: So it's Group 4?

5 COMMITTEE MEMBER EASTMOND: Yes.

6 DIRECTOR ALEXEEFF: Okay. We would not -- is
7 that correct?

8 DR. ZEISE: Yeah, so some chemicals IARC
9 classifies in Group 3, they have sufficient evidence in
10 animals, but then that evidence is determined to be not
11 relevant to humans, and those -- in that case, they do not
12 go on the Prop 65 list.

13 CHAIRPERSON MACK: Don't feel insulted, David.
14 It isn't -- they didn't knock you.

15 COMMITTEE MEMBER REYNOLDS: So these would maybe
16 have been 2Bs?

17 DR. ZEISE: Yes.

18 CHAIRPERSON MACK: Now, we come to the most
19 exciting part --

20 COMMITTEE MEMBER LANDOLPH: Just a quick one.
21 That last slide, could you flash that one again that just
22 went off? It had the benzyl butyl phthalate 4, what was
23 that listed under as? What was the toxicity endpoint?

24 DR. ZEISE: So that's listed as known to cause
25 reproductive toxicity.

1 COMMITTEE MEMBER LANDOLPH: Repro tox. Um-hmm,
2 Okay. Thank you.

3 CHAIRPERSON MACK: Can we now get to the most
4 exciting part of the day. What's happened with the
5 litigation?

6 STAFF COUNSEL KAMMERER: Litigation. All right.
7 I can help you there. As Cindy mentioned, we do have a
8 BPA case. We listed BPA based on a reproductive toxicity
9 report from NTP. And we got promptly sued by the American
10 Chemistry Council. And we were immediately ordered by the
11 court to delist the chemical until the case is resolved.

12 Since then, the Natural Resources Defense Council
13 has intervened as a co-defendant. This case is now at
14 trial court level, and we don't expect the resolution
15 until sometime late next year, and probably an appeal will
16 follow. So BPA presently is not listed.

17 We have two more cases that we're involved in.
18 The next one is a chlorothalonil case. In 2011, OEHHA
19 changed the no significant risk level for chlorothalonil,
20 and we were challenged by Syngenta. This case is also
21 pending in the trial court level right now.

22 The third one is the one I think you're
23 interested -- most interested in, is the Sierra Club case.
24 In 2007, Sierra Club and some labor organizations sued us
25 for not making timely decisions for listing under

1 Proposition 65. This case has been settled. And I think
2 you've all heard that already.

3 The only part that's still pending is the attorney
4 fees. The CIC and the individual members have been
5 dismissed. They were dismissed on October 15, 2013.

6 The changes that were affected -- that will
7 affect this Committee that come from this settlement are
8 the time frame for the listing decisions on certain
9 chemicals. These were set out in the agreement. So some
10 decisions have to be made in the next few months. Some
11 listing decisions will be made next year, some not until
12 2015.

13 We have ongoing responsibilities to speed up some
14 listing decisions, and we're on a tight schedule. If you
15 have any questions on that, we can go further in detail.

16 It was also agreed to shorten some periods for
17 public comments, including on the materials prepared for
18 this Committee. The HID public comment period was
19 shortened from 60 to 45 days. We eliminated the informal
20 comment period for authoritative body listings.

21 And through the settlement, we also agreed to
22 make some regulatory changes. One of those was adopting
23 more specific regulations on the qualification for the
24 members of the State's expert committees. Existing
25 regulations are not clear on the level of the expertise

1 and how to measure that expertise. The new language will
2 clarify that, and I'm sure you'll all be happy to know
3 that you all satisfy those requirements.

4 Also, we agreed to initiate the process for the
5 Labor Code regulations. We don't have currently
6 regulations for that particular method of listing. And so
7 we expect to propose one within hopefully the next three
8 to four months.

9 We also have a project to adopt more specific
10 regulations concerning warnings for chemicals listed under
11 Proposition 65. These warnings would actually give more
12 information to the consumers about endpoints and ways they
13 can avoid diminished exposure -- they can avoid or
14 diminish their exposure.

15 Currently, these warnings -- this detail of
16 warning is not required by the statute or the regulations.
17 All of these regulatory amendments or new regulations,
18 they're all a public process. I think you're all on our
19 listserv, so we welcome your comments on those, and you'll
20 be maintained up to date to what's going on.

21 Any questions on that?

22 Yes, Dr. Reynolds.

23 COMMITTEE MEMBER REYNOLDS: So, if an agent is
24 delisted pending the outcome of litigation, does that mean
25 the court decides whether it should be listed or not?

1 STAFF COUNSEL KAMMERER: Well, the court will
2 look at what -- if there is sufficient evidence, or I mean
3 usually the courts don't look too much at the scientific
4 aspect. They're look at this case in BPA -- see, I'm not
5 the litigation attorney, so I'm trying to remember exactly
6 what the facts were there. But the court, in this case,
7 because we had listed it, the court wants to look at the
8 listing process and what was involved in the listing of
9 this. And they didn't tell us to delist it permanently
10 yet. They're saying put it on hold until we can look at
11 the facts of the matter.

12 COMMITTEE MEMBER REYNOLDS: Okay. That would be
13 an interesting process for making regulatory decisions.

14 STAFF COUNSEL KAMMERER: It is. And a lot of
15 proposition 65 is determined in the court room, because
16 Proposition 65 is not that clear on certain details. So
17 it has been, throughout the years, last 25 years a lot of
18 things have been determined by case law.

19 DIRECTOR ALEXEEFF: Yeah. I think the simplest
20 way of explaining it, at this point, I think the case is
21 on the process that we listed it. And whether we followed
22 the process correctly, so -- and not necessarily on the
23 scientific merits.

24 All right, me again. All right. Well, first of
25 all, I want to thank the Committee again for their work

1 today. And it's clear that the deliberations were, you
2 know, very thoughtful, and that they were not
3 straightforward. Required a lot of energy and thought on
4 your part. There were four decisions that were made
5 today.

6 The first one was on the chemical diisononyl
7 phthalate, DINP. And the Committee voted to list the
8 chemical as a chemical clearly shown through
9 scientifically valid testing, according to generally
10 accepted principles to cause cancer.

11 The second decision was on butyl benzyl
12 phthalate. And in this case, the Committee voted to not
13 list this chemical. The other decision was to add a
14 number of chemicals to the 27000 list, based upon U.S.
15 EPA's recommendation that they require additional testing.

16 And then the last decision was to remove a number
17 of chemicals from the 27000 list, indicating that adequate
18 testing had already been conducted. So that's it for the
19 conclusions.

20 So I do want to thank again the Committee, the
21 staff, the members of the public who testified, those who
22 have been viewing this on webcast.

23 And I'll ask Dr. Mack to close the meeting,
24 unless there's something else he wants to bring up.

25 CHAIRPERSON MACK: If there's nothing else, thank

1 you. And I guess I hereby close the meeting. Thanks,
2 everybody.

3 (Thereupon the Carcinogen Identification
4 Committee adjourned at 3:51 p.m.)

5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, and Registered
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the
6 foregoing California Office of Environmental Health Hazard
7 Assessment, Carcinogen Identification Committee was
8 reported in shorthand by me, James F. Peters, a Certified
9 Shorthand Reporter of the State of California, and
10 thereafter transcribed under my direction, by
11 computer-assisted transcription;

12 I further certify that I am not of counsel or
13 attorney for any of the parties to said workshop nor in
14 any way interested in the outcome of said workshop.

15 IN WITNESS WHEREOF, I have hereunto set my hand
16 this 17th day of December, 2013.

17
18
19
20 

21
22
23 JAMES F. PETERS, CSR, RPR
24 Certified Shorthand Reporter
25 License No. 10063