

VIDEOCONFERENCE MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT
IDENTIFICATION COMMITTEE

ZOOM PLATFORM

TUESDAY, OCTOBER 18, 2022

10:01 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

COMMITTEE MEMBERS:

Ulrike Luderer, MD, PhD, MPH, Chairperson

Patrick Allard, PhD

Diana Auyeung-Kim, PhD

Laurence Baskin, MD

Suzan Carmichael, PhD

Irva Hertz-Picciotto, PhD, MPH

Isaac Pessah, PhD

Charles Plopper, PhD

Tracey Woodruff, PhD, MPH

STAFF:

Lauren Zeise, PhD, Director

Carolyn Rowan, Chief Counsel

Marlissa Campbell, PhD, Staff Toxicologist, Reproductive Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Vincent Cogliano, PhD, Deputy Director, Division of Scientific Programs

Julian Leichty, Special Assistant for Programs and Legislation, Proposition 65 Implementation Program

Francisco Moran, PhD, Chief, Reproductive Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Martha Sandy, PhD, MPH, Chief, Reproductive and Cancer Hazard Assessment Branch

APPEARANCES CONTINUED

PANELISTS:

Bruce Draper, PhD, University of California Davis

Stephanie Padilla, PhD, United States Environmental
Protection Agency

Jennifer Panlilio, PhD, National Institute of Child Health
and Human Development, National Institute of Health

Dan Wagner, PhD, University of California, San Francisco

ALSO PRESENT:

Sean Burgess, PhD, University of California, Davis

INDEX

	<u>PAGE</u>
I. Welcome and Opening Remarks	1
II. Session on Use of Zebrafish Data in DART Health Hazard Assessment	9
Part I. Zebrafish Biology and Suitability for Toxicity Screening	20
- Presentation by Dr. Bruce Draper, University of California, Davis	21
- Presentation by Dr. Stephanie Padilla, U.S. Environmental Protection Agency	55
Part II. Beyond Screening: Zebrafish as a Model for Developmental Mechanisms at the Cellular and Molecular Level	110
- Presentation by Dr. Jennifer Panlilio, National Institute of Child Health and Human Development, Nation Institute of Health	111
- Presentation by Dr. Dan Wagner, University of California, San Francisco	144
Part III. Use of Zebrafish Data in DART Health Hazard Assessment	190
Public Comment	202
Committee Discussion	204
III. Consent Item - Update of the California Code of Regulations Title 27, Section 27000 List of Chemicals Which Have Not Been Adequately Tested as Required	214
IV. Staff Updates	218
- Chemical Listings Via the Administrative Listing Mechanisms	218
- Safe Harbor Levels	220
- Other Regulations and Litigation	224
Adjournment	226
Reporter's Certificate	227

1 deliberations. We are looking forward to presentations
2 from four invited speakers, as well as the Committee's
3 questions and discussion of scientific issues and the
4 public's comments.

5 After the zebrafish agenda item, the Committee
6 will take up a consent item on the Section 27000 list of
7 chemicals, which -- for which testing has been required
8 but has not been adequate. This is different -- a
9 different list than the Proposition 65 list. There aren't
10 going to be any listing decisions before the Committee
11 today.

12 For the third and final agenda item, staff will
13 present updates on chemical listing via administrative
14 listing mechanisms, safe harbor levels, and other
15 regulations as well as litigation from the past year.

16 Then we'll -- we'll -- during the meeting we'll
17 be taking a 45-minute break for lunch around noon and
18 we'll also take a short 15-minute break around 2:15 in the
19 afternoon.

20 So this meeting is being recorded and
21 transcribed. The transcript will be posted on OEHHA's
22 website.

23 Okay. So for public comment, during the meeting
24 there will be an opportunity to provide public comment
25 after the zebrafish agenda item. And you can see on the

1 screen here individuals who wish to make oral comment at
2 the meeting are asked to do two things, first, join the
3 zoom webinar. And so for those of you watching by CalEPA
4 webcast, you'll be able to watch the meeting, but you'll
5 need to join the meeting by Zoom in order to speak.
6 Information on how to -- how to join via Zoom is shown on
7 this slide. You go to the -- let's see -- yes, this web
8 address, https://bit.ly/dartic_registration_2022. So
9 you'll receive a link to join the webinar at the end of
10 the registration process. And if you provided a working
11 email address, you'll also receive an email with a link to
12 join the webinar.

13 Then once we begin public comment, please raise
14 your hand using the raise hand function on Zoom to
15 indicate you would like to speak. So again on the Zoom
16 bar on at least my screen, you have a menu bar on the
17 bottom of the screen and you can see the raised hand
18 there. It might not be the same on every screen.

19 When your name is called, you'll be prompted to
20 unmute yourself. Please unmute and then state your name
21 and affiliation, if you wish to state your name and
22 affiliation, and provide your comment. And comment will
23 be limited to five minutes per commenter. Okay. So
24 that's the public comments.

25 Now, let's turn and introduce our committee.

1 So I'm very pleased to introduce members of the
2 Developmental and Reproductive and Toxicant Identification
3 Committee, or DART IC. If -- when you're introduced, if
4 you could turn on your camera and state your name and
5 affiliation. So we'll start with Dr. Patrick Allard, who
6 will be actually chairing the first hour of this meeting
7 today, absent our Chair during the first hour.

8 So Patrick.

9 COMMITTEE MEMBER ALLARD: All right. Good
10 morning, everyone. My name is Patrick Allard. I'm an
11 Associate Professor at the University of California, Los
12 Angeles, UCLA, in the Department -- or the Institute of
13 Society and Genetics.

14 Thank you.

15 DIRECTOR ZEISE: Thanks, Patrick.

16 Dr. Auyeung-Kim.

17 Oh, Diane, you're muted.

18 COMMITTEE MEMBER AUYEUNG-KIM: Okay. Hi. My
19 name is Diana -- Dr. Diana Auyeung-Kim. And I am
20 currently Executive Director at Genentech in the
21 Department of Safety Assessment.

22 DIRECTOR ZEISE: Thanks, Diane.

23 Dr. Baskin.

24 COMMITTEE MEMBER BASKIN: Hi. Larry Baskin. I'm
25 Chief of Pediatric Neurology at UCSF Children's Hospitals.

1 And thanks for including me on the Committee for all these
2 years.

3 DIRECTOR ZEISE: Great.

4 Dr. Carmichael.

5 COMMITTEE MEMBER CARMICHAEL: Good morning, Suzan
6 Carmichael. I'm a professor at Stanford University in
7 pediatrics and OB/GYN.

8 DIRECTOR ZEISE: Dr. Hertz-Picciotto.

9 COMMITTEE MEMBER HERTZ-PICCIOTTO: Sorry. Good
10 morning. I'm Irva Hertz-Picciotto. I'm Professor in
11 epidemiology and in environmental and occupational health
12 at the University of California, Davis, where I also
13 direct the UC Davis Environmental Health Sciences Center.

14 DIRECTOR ZEISE: Thank you. Dr. Ulrike Luderer
15 is next alphabetically in line, but she will be joining us
16 in -- in about an hour.

17 Dr. Pessah.

18 COMMITTEE MEMBER PESSAH: Good morning, everyone.
19 Isaac Pessah here, Professor of toxicology at UC Davis
20 School of Veterinary Medicine in the Department of
21 Molecular Biosciences.

22 DIRECTOR ZEISE: Okay. Dr. Plopper.

23 Dr. Plopper, you'll have to unmute.

24 COMMITTEE MEMBER PLOPPER: Yeah. I'm -- I'm
25 unmuted. This is -- good morning, everyone. Charlie

1 Plopper, Professor Emeritus, UC Davis, School of
2 Veterinary Medicine. Very -- like Dr. Baskin, appreciate
3 being included on this very important Committee.

4 Thank you.

5 DIRECTOR ZEISE: Great. Thank you.

6 Dr. Woodruff.

7 COMMITTEE MEMBER WOODRUFF: Hi. My name is
8 Tracey Woodruff. I'm a Professor in the Department of
9 Obstetrics, Gynecology, and Reproductive Sciences at
10 University of California, San Francisco.

11 DIRECTOR ZEISE: Thank you. Thank you all for
12 joining us today. Really looking forward to the
13 discussion. Now, I'm going to introduce the OEHHA staff
14 and also invite them to turn on their cameras as they're
15 introduced. So Carolyn Rowan, our chief Counsel. This is
16 Carolyn's first DART IC Committee meeting.

17 CHIEF COUNSEL ROWAN: Hi. Thanks, Lauren. I'm
18 Carolyn Rowan. I am the Chief Counsel at OEHHA and I just
19 started in August. So thank you.

20 DIRECTOR ZEISE: Yeah. Welcome. Okay.

21 Dr. Vince Cogliano who's our -- go ahead Vince.
22 Give your title. That would be great.

23 Oh, you're muted.

24 DR. COGLIANO: Good morning, everyone. Sorry
25 about that. I'm Vince Cogliano Direct -- Deputy Director

1 for Scientific Programs here at OEHHA. Pleased to meet
2 you all.

3 DIRECTOR ZEISE: Dr. Martha Sandy.

4 DR. SANDY: Good morning to everyone. I'm Martha
5 Sandy. I'm Chief of the Reproductive and Cancer Hazard
6 Assessment Branch. Thank you all for joining.

7 DIRECTOR ZEISE: Okay. Dr. Francisco Moran who
8 is our new Section Chief of the Reproductive Toxicology
9 and Epidemiology Section. This is his first meeting in
10 his new position.

11 DR. MORAN: Good morning. Happy to be here.
12 Thank you very much for the introduction.

13 DIRECTOR ZEISE: Dr. Melissa -- Marlissa Campbell
14 who we'll be hearing from today.

15 DR. CAMPBELL: Hi. A staff toxicologist
16 specializing in development -- mental and reproductive
17 toxicity. Thank you, Lauren.

18 DIRECTOR ZEISE: Sure. And then from our Office
19 of External and Legislative Affairs and Proposition 65,
20 Program Doctor Amy Gilson.

21 DR. GILSON: Good morning, everyone. Amy Gilson
22 here, Deputy Director for of External and Legislative
23 Affairs.

24 DIRECTOR ZEISE: Julian Leichty.

25 MR. LEICHTY: Good morning. Julian Leichty,

1 Special Assistant for Programs and Legislation.

2 DIRECTOR ZEISE: And Esther Barajas-Ochoa.

3 MS. BARAJAS-OCHOA: Ho. Good morning. Analyst
4 here.

5 DIRECTOR ZEISE: Great. Well, thank you, all.
6 And now thousand I'm going to turn the meeting over to
7 Carolyn Rowan for some introductory remarks about
8 Bagley-Keene or any other legal issues related to
9 participation in the virtual meeting of the Committee
10 today.

11 Carolyn.

12 CHIEF COUNSEL ROWAN: Thanks Lauren. Good
13 morning, everyone. I just have a few points to make
14 before we get underway today. I just want to remind
15 everyone that this is a public meeting, and under
16 Bagley-Keene all discussions and deliberations for this
17 group needs to be conducted during the meeting, not on
18 breaks, or during lunch, or within individual members of
19 the Committee. And that includes both on or offline,
20 including, phone, emails, chats, or text messages.

21 So just generally, we're going to have the
22 discussion regarding topics of the agenda during the
23 meeting time. Please feel free to ask me any questions at
24 any time during the meeting. I'll be here the whole time.
25 If I do have to step away for some reason, Senior Staff

1 Counsel Kristi Morioka will cover for me.

2 Welcome, Kristi.

3 And so there will always be an attorney here if
4 you have any questions.

5 And that's it from me. Thank you.

6 DIRECTOR ZEISE: Great. Thanks, Carolyn.

7 All right. Now, we're ready to start the main
8 body of the meeting. I'll turn the meeting over to Dr.
9 Allard. And again, Dr. Allard is serving as the Acting
10 Chair for the first hour of the meeting until Dr. Luderer
11 arrives.

12 **SESSION ON USE OF ZEBRAFISH DATA IN DART**

13 **HEALTH HAZARD ASSESSMENT**

14 COMMITTEE MEMBER ALLARD: All right. Well, thank
15 you, Lauren and thank you Carolyn.

16 So good morning. It is my pleasure to welcome
17 the Committee members and all the members of the public.
18 I see we have quite a few attendees, who are all joining
19 us today for this DARTIC Committee meeting. So we are
20 ready now to move to the main agenda item, which is the
21 session on the use of zebrafish data in developmental and
22 reproductive toxicity health hazard assessments.

23 So we will be therefore discussing the use of
24 zebrafish data for DART, for development and
25 reproductive -- reproductive toxicity, sorry. And as

1 Lauren already alluded to this is important, because the
2 use of zebrafish in toxicology has really grown
3 exponentially in the last 15 years. As the toxicity
4 testing in the 21st Century paradigm and endeavor gain
5 momentum. So it's really important to understand the
6 strength and limitations of this model with regards to
7 hazard identification.

8 So the way that we'll go ahead and start with is
9 with an introductory presentation by OEHHA staff starting
10 with Dr. Moran.

11 Francisco.

12 DR. MORAN: Good morning, thank you, Dr. Allard.
13 As you were saying, every year we -- we find that the use
14 of zebrafish as an animal model for developmental and
15 reproductive toxicity is increasing. If one does a quick
16 search on PubMed on DART effect for a particular chemical,
17 it is not unusual to find that the search results include
18 a number of studies published within the last ten years or
19 so using zebrafish as an animal model. And interestingly,
20 the number of zebrafish studied that came up in this
21 search can be similar to the number of studies performed
22 in classical mammalian tested species.

23 We, in OEHHA, have been included in this type of
24 data and in our recent hazard identification documents.
25 After today's meeting, we will have a better understanding

1 on the physiology, application, and value of the use of
2 the zebrafish in DART hazard assessment.

3 I would like to thank all the participants and
4 invited speakers and the -- of course, the DARTIC, and the
5 public for joining us today.

6 Now, I would like to give the podium to my
7 colleague, as Esther said in the slide here.

8 Okay.

9 (Thereupon a slide presentation.)

10 DR. MORAN: So Dr. Marlissa Campbell that will
11 give a more extended introduction on this topic.

12 Thank you very much.

13 DR. CAMPBELL: Thank you, Pancho. Good morning.
14 I'm going to be giving just a brief overview of how OEHHA
15 has been using data from zebrafish in our hazard
16 identification documents and how that's evolved through
17 the years, given that increasing availability of data, and
18 the understanding of the relevance of zebrafish to human
19 health.

20 Pancho, can you put it in presentation mode?

21 DR. MORAN: Yeah, it was. Yes. I was trying to
22 do --

23 DR. CAMPBELL: There. Okay.

24 DR. MORAN: Sorry about that.

25 DR. CAMPBELL: That's okay. Can we go to the

1 Next slide.

2 DR. MORAN: Yes.

3 NEXT SLIDE

4 DR. CAMPBELL: Our earlier hazard identification
5 documents were -- that were prepared on chemicals under
6 consideration by the DARTIC for listing as reproductive
7 toxicants under California's Proposition 65 have included
8 summaries and discussion of relevant studies conducted in
9 zebrafish where those were available, as well as other
10 non-mammalian models of different kinds, cell culture,
11 whole embryo culture. But generally, they were presented
12 as part of additional relevant information rather than
13 give a more equal wait with mammalian and human data
14 streams.

15 More recent hazard identification documents have
16 taken advantage of advances in the application of the
17 zebrafish model to incorporate the zebrafish data
18 alongside the mammalian whole animal data as well as with
19 mechanistic considerations. And just to illustrate
20 some -- some of the comparisons and questions that have
21 arisen from this more integrative approach, I just have a
22 few slides to share based on the two most recent hazard
23 identification documents, the documents on cannabis and on
24 PFNA and PFDA.

25 Can -- can we go to the next slide.

1 NEXT SLIDE

2 DR. CAMPBELL: In OEHHA's 2019 hazard
3 identification document evidence on the developmental
4 toxicity of cannabis smoke and delta-9-THC, there were
5 four neurobehavioral studies conducted in zebrafish that
6 were included among the animal-derived data that were
7 presented. Three of these studies used a visual motor
8 response test, which is a behavioral test relying on the
9 integrity of the central and peripheral nervous system,
10 including the visual system as well as on normal locomotor
11 and skeletal system development.

12 The fourth study involved exposure of zebrafish
13 embryos to delta-9-THC during gastrulation period of
14 development. And the effects observed included changes in
15 locomotor responses to sound as opposed to vision, and
16 also they observed effects on heart rate motor neuron
17 morphology and synaptic activity at the neuromuscular
18 junction, all findings which could be related to changes
19 in calcium ion homeostasis during neurodevelopment.

20 In zebrafish embryos by 48 hours
21 post-fertilization expression of the endocannabinoid
22 receptor CB1R is widespread throughout the zebrafish
23 central nervous system and it's found within the preoptic
24 area, the telencephalon, the hypothalamus, tegmentum, and
25 the anterior hindbrain. And overall, generally, the

1 findings in zebrafish supported effects that were also
2 seen in mammalian models.

3 Can we go to the next slide.

4 NEXT SLIDE

5 DR. CAMPBELL: Turning to consideration of the
6 effects of the compound PFNA on the male reproductive
7 system, it becomes a little more complicated, since in
8 these experiments, both male and female zebrafish were
9 experimentally exposed. The findings of reduced egg
10 production and hatching rate could potentially have
11 resulted from effects on either or both sexes. It's
12 unclear whether a male-mediated mechanism driving these
13 outcomes in zebrafish would be analogous to something that
14 would occur in mammals. Although for what it's worth,
15 there was a mouse study that showed reductions in
16 fertility index and litter size with PFNA exposure for 90
17 days prior to mating with untreated females.

18 Increased levels of serum testosterone were seen
19 in the adult male zebrafish exposed to PFNA over 180 days.
20 In contrast, PFNA exposure of male rodents was generally
21 associated with decreased serum testosterone. Although,
22 under some experimental conditions, testosterone levels
23 were either unaffected or even elevated.

24 PFNA treated zebrafish showed alterations in
25 gonadal expression of genes related to

1 hypothalamic-pituitary-gonadal, or HPG, axis. While
2 there's some overlap in the markers that were measured in
3 the male gonads of rodents and also in zebrafish,
4 expression was not always altered in the same direction.

5 PFNA can also bind to transthyretin, or TTR, a
6 transport protein that impacts thyroid hormone levels and
7 function. Disruption of the thyroid hormones may in turn
8 contribute to male reproductive effects. A finding of
9 increased TTR transcription in treated zebrafish could
10 reflect induction due to competitive binding of PFNA. In
11 these same treated zebrafish, plasma thyroid hormone
12 levels were significantly higher than controls contrasting
13 with rodent results, which tended to show reduced thyroid
14 hormone levels with PFNA exposure.

15 The authors of the zebrafish study proposed that
16 PFNA could act to induce TTR transcription across species
17 while at the same time resulting in opposite effects on --
18 on the more downstream effects on thyroid hormone levels
19 in zebrafish versus rats. So overall, these
20 inconsistencies between the zebrafish and rodent data
21 could be related to species differences or to other
22 aspects of experimental procedures such as dose timing of
23 exposure and so on. You know, just there's more work to
24 be done to fully understand.

25 Now -- next slide, please.

1 NEXT SLIDE

2 DR. CAMPBELL: With PFDA exposure of male
3 zebrafish, effects included an increased plasma estradiol
4 to testosterone ratio as well as increased plasma
5 estradiol to 11 ketotestosterone ratio. PFDA exposed male
6 zebrafish also showed a dose-dependent increase in gonadal
7 expression of the aromatase gene. Aromatase is a
8 steroidogenic enzyme, which may affect the conversion rate
9 of testosterone to estradiol.

10 Vitellogenin is an egg yolk precursor protein
11 increase blood levels serve as a biomarker in both male
12 and female vertebrates for exposure to environmental
13 estrogens. In this case, the zebrafish data were
14 consistent with other evidence suggesting involvement of
15 affects on the HPG axis in PFDA mediated male reproductive
16 toxicity.

17 Next slide.

18 NEXT SLIDE

19 DR. CAMPBELL: Just to go over the potential
20 increase in the use of zebrafish for evaluating toxicity,
21 we just wanted to note that in recent years both the U.S.
22 and the European Union have been making commitments to
23 reduce the use of mammalian test species for purposes of
24 environmental health -- testing for environmental health.

25 U.S. EPA released a memorandum in 2019 stating

1 their intent to reduce requests for and funding of
2 mammalian toxicology studies by 30 percent no later than
3 the year 2025. Further reductions to effectively zero
4 requests and funding is targeted for 2035.

5 The EU currently prohibits completely animal
6 testing for cosmetic products or ingredients as of 2013.
7 The EU is also currently developing plans to phase out the
8 use of animals in research and testing for purposes of
9 environmental health assessment. Zebrafish are
10 increasingly becoming the go-to whole animal alternative
11 to mammalian test species as the understanding of the
12 comparative biology and -- and as well as validation of
13 the use of zebrafish as a relevant model have been rapidly
14 increasing in recent years. Fish, of course, are animals
15 and there are guidelines for ensuring consideration of
16 their welfare that have been published.

17 The Office of Laboratory Animal Welfare from the
18 U.S. Public Health Service interprets aquatic species as
19 live vertebrate animals at the time of hatching. For
20 zebrafish, this is approximately 72 hours
21 post-fertilization. The EU uses as their guidance
22 commensurate of independent feeding by zebrafish larvae,
23 which occurs at about 120 hours post fertilization point.
24 And for that -- for their guidelines that's a point a
25 which the welfare regulations start to apply.

1 Last slide, please.

2 NEXT SLIDE

3 DR. CAMPBELL: Pancho, next slide.

4 Oh, there they are.

5 Okay. Sorry.

6 As for today's presentation, we're going to be
7 learning about aspects that are generally covered by these
8 four topics, comparative reproductive and developmental
9 biology of zebrafish, zebrafish as a model for large-scale
10 screening for potential DART hazard and risk, zebrafish as
11 an experimental model for investigating development at the
12 cellular, and zebrafish as an experimental model for
13 investigating development at the molecular level. And
14 that concludes my presentation for this morning.

15 Thank you.

16 COMMITTEE MEMBER ALLARD: Thank you, Dr.
17 Campbell.

18 Are there any questions for Dr. Campbell before
19 we move on?

20 If I may actually, I do have a couple of
21 questions. I was wondering when -- when you build the
22 hazard identification document and you -- you review the
23 literature available, what kind of criteria do you use for
24 inclusion or exclusion of zebrafish data? Is it different
25 from other mammalian data?

1 And it's a two-parter question. Related to that,
2 basically do we need to build an expertise in
3 non-mammalian model if -- if that does not exist already
4 on the -- on the staff side to really accurately review
5 that kind of literature.

6 DR. CAMPBELL: It depends, I think, on whether
7 it -- you know, it fits the toxicity data, the same way we
8 would use mammalian data, then we would fold that
9 zebrafish data in there. In other cases where it's more
10 mechanistic data, that's a little bit more -- it's harder
11 to predict. We just have to kind of see where things go
12 and what we find. I don't know if that fully answers
13 your -- your question. And I don't know if anybody else
14 from the staff would want to comment.

15 Pancho, you're muted.

16 We still can't hear you.

17 DR. MORAN: Okay. Thank you. Sorry. I have a
18 second backup mute button. Sorry about that.

19 Yeah, you're right Marlissa, we don't -- Dr.
20 Allard, we don't make any special adjustment for our
21 literature search according to zebrafish or other
22 mammalian models. We base our findings on what is
23 relevant to reproductive and developmental. And it could
24 be a final effect or it could be mechanistic effect on
25 zebrafish as in any other animal species. So we don't

1 make a difference at this point.

2 So I hope that help.

3 COMMITTEE MEMBER ALLARD: Thank you.

4 I see Diana has a question. Diana.

5 Diana, you have your hand raised, but you're
6 muted.

7 Okay. Maybe that was not a real hand raise.

8 Okay. Well, I am pleased -- thank you, Dr.
9 Campbell. And I'm pleased to welcome the real Chair of
10 this meeting, Dr. Luderer, who will be taking over the
11 duties from now.

12 Thank you.

13 **PART 1. ZEBRAFISH BIOLOGY AND SUITABILITY FOR**
14 **TOXICITY SCREENING**

15 CHAIRPERSON LUDERER: Thank you very much, Dr.
16 Allard for stepping in. I really appreciate that.

17 So let me just get situated here. So our --
18 next, we're going to, I believe, switch to Part One,
19 Zebrafish Biology and Suitability for Toxicity Screening.
20 And this -- OUR first speaker I'm delighted to introduce is
21 Dr. Bruce Draper of the University of California, Davis.
22 And our second speaker will be Dr. Stephanie Padilla of
23 the U.S. EPA. After these presentations, we'll have 30
24 minutes for Committee discussion with Drs. Draper and
25 Padilla.

1 So to introduce Dr. Bruce Draper, he's professor
2 of molecular and cellular biology at the University of
3 California, Davis. Dr. Draper's research uses a
4 combination of gene knockout and single-cell
5 transcriptomics to identify genes required for zebrafish
6 gonad development and function and sex determination and
7 differentiation.

8 So our first presentation by Dr. Draper will be
9 comparison of zebrafish sex determination and reproductive
10 developmental biology to humans as well as mammalian test
11 species.

12 And welcome, Dr. Draper. It's a pleasure to have
13 you here.

14 **PRESENTATION BY DR. BRUCE DRAPER**

15 (Thereupon a slide presentation.)

16 DR. DRAPER: Well, thank you very much and it's
17 a -- it's an absolute pleasure to be here.

18 All right. So I've been tasked with giving a
19 sort of general overview of zebrafish biology, early
20 development, and what is my field of study reproductive
21 biology.

22 NEXT SLIDE

23 DR. DRAPER: I'm going to divide this talk into
24 sort of three general areas. The first is going to be the
25 general overview of zebrafish development. And there are

1 -- and aspects of that development that make them
2 advantageous for toxicant screening. I'll then give a --
3 a quick comparison of zebrafish to humans and other
4 vertebrates. And then finally, I'll end with an overview
5 of zebrafish reproductive biology including sex
6 determination and how it compares to mammalian species.

7 Let me get my laser pointer going here.

8 Oh, that didn't work.

9 Okay.

10 NEXT SLIDE

11 DR. DRAPER: This is an overview of zebrafish
12 early development. On the outside are some nice
13 illustrations of the different embryonic stages. And on
14 the inside of this diagram are the time scales in which
15 these occur. One of the major advantages of zebrafish
16 over, for example, mice for developmental studies as well
17 as using them for toxicant screening is that all aspects
18 of early development happen outside of the mother as
19 opposed in utero in the mouse.

20 Zebrafish when we sent them up to mate, they're
21 programmed to spawn in the -- when the sun rises, and in
22 our fish facilities, that's when the lights come on
23 generally around eight or nine o'clock in the morning.
24 And a single female can spawn hundreds of eggs that will
25 then relatively synchronously developed. So fertilization

1 happens outside the mom and then they go through these
2 rapid cleavage stages. And at about six hours
3 post-fertilization is when they initiate gastrulation,
4 which is going to create the three germ layers, to
5 ectoderm, mesoderm, and endoderm from which all of our
6 organs are derived.

7 Gastrulation is complete by about 10 hours
8 post-fertilization. And at that stage, we enter into
9 somitogenesis. And already at this stage, you can start
10 to make out the basic vertebrate body plan, where in the
11 anterior you have the head and the developing brain, and
12 then the posterior you have the developing somites, which
13 give rise to the musculature and bone structure of the
14 fish.

15 By 24 hours post-fertilization, this fish has the
16 basic vertebrate body plan and many of the organs have
17 already -- the primordium of these organs have already
18 been set aside, and patterned, and in some case have
19 already started to function. By three days
20 post-fertilization is when the -- the larva hatch and
21 become free swimming. And then by five days is when they
22 can actually start feeding.

23 The entire life cycle from fertilization to
24 becoming a reproductive adult can take anywhere from two
25 months to three months depending upon how well they're fed

1 during the -- this time period and issues like crowding.
2 So about the earliest you can get them to go through one
3 cycle is two months. But, in general, I think in many of
4 our facilities, it takes about three months. So in that
5 aspect, the reproductive cycle is about similar to the
6 mouse.

7 But importantly, because all of these stages
8 happen externally, you can basically apply any toxicant to
9 test their effects on various aspects of development
10 whether it be early development effects on -- on the
11 morphogenesis movements that are required for
12 gastrulation, and also as larva juveniles and adults.

13 NEXT SLIDE

14 DR. DRAPER: A typical fish facility, or
15 zebrafish facility looks something like this. These are
16 manufactured facilities from any of number of companies.
17 But the main point here is that we can raise very large
18 numbers in a fairly small footprint. My facility at UC
19 Davis is about 450-square foot facility and we have an
20 average census between 15 and 25 thousand adult fish in
21 this facility. And this is relatively cheap to maintain
22 relative to mammalian species.

23 Another aspect, which is important is that if you
24 keep the proper light cycle for zebrafish, they will breed
25 year-round, so we can get embryos on any day that we want

1 to get them, and we can get them in the thousands, if
2 necessary, for high throughput screening, which I think
3 you'll hear more about from the other panelists.

4 NEXT SLIDE

5 DR. DRAPER: One reason why zebrafish was chosen
6 for biomedical studies in the beginning was that their
7 embryos are relatively transparent. So this is a picture
8 of a 24-hour old embryo and just with a dissecting
9 microscope you can actually make out very -- various
10 developing tissues in the fish. For example, if you look
11 here in the head, you can make out the forebrain, the
12 hindbrain -- the midbrain and the hindbrain, you can make
13 out a developing ear, eyes. By about a day and a half,
14 the heart is functional and begins to beat, and you can
15 follow, you know, blood flowing through the various
16 vessels. Posteriorly, you can see a notochord, this
17 transient struck -- which is a transient structure, which
18 makes us chordates and the neural tube, and then the
19 musculature.

20 So this is just in a light microscope, but we can
21 combine this with transgenesis and create animals that
22 actually express the green fluorescent protein and various
23 specific tissues or cell types. This particular one is
24 expressing the green fluorescent protein and all the blood
25 vessels of the embryo. And so you can combine this with

1 toxicant screening to get a more refined view or to really
2 hone in on a particular tissue type that you're interested
3 in. So maybe this wouldn't be used for a primary screen,
4 but for secondary screens to look at more mecha --
5 mechanistic studies. This is just one of many cell type
6 specific transgenics that are available in zebrafish.

7 NEXT SLIDE

8 DR. DRAPER: So some other advantages of using
9 zebrafish, I've already mentioned that they have the basic
10 vertebrate body plan, which is similar to -- to mammal --
11 mammals, including humans. There is a molecular
12 conservation of the genes that regulate development with
13 other vertebrates. They're very easy to maintain in the
14 lab. They were -- you know, one of the reasons they were
15 also chosen is because they were a very robust fish
16 species. And that also leads to them being relatively
17 inexpensive relative to mammalian species.

18 We can get large numbers of embryos at any time
19 that we want for doing large scale screens, which is also
20 maybe easier by their external development. They're
21 optically clear, amenable to high throughput screens, and
22 the molecular, cellular conservation of the reproductive
23 organs, which I'll get to, which is, you know, part of
24 what this Committee is tasked to look at.

25 NEXT SLIDE

1 DR. DRAPER: So to do a more direct comparison
2 between the genes that regulate development and
3 reproduction in zebrafish relative to humans, the entire
4 genome sequences are known for -- for both humans and
5 zebrafish, so we can really do a direct comparison of gene
6 orthologs between the two. And 70 percent of the genes
7 that are required that are found in humans are orthologs
8 are also found in zebrafish. And, in fact, 80 percent of
9 the genes that have already been associated with human
10 disease, also have orthologs in zebrafish.

11 Zebrafish have livers, pancreas, gallbladder, a
12 circulatory system, an analogous digestive system. They
13 obviously don't have lungs, because they are -- they are
14 aquatic species, but they do have a structure called a
15 swim bladder, which has a similar developmental origin,
16 and as well as the central nervous system. And I'll just
17 emphasize the fact that they have a liver is important
18 also for toxicant screening, because the liver has many
19 enzymes that can convert toxicants into other derivatives,
20 and so you wouldn't have this -- this contribution if you
21 were doing, for example, cell culture type screening for
22 toxicants.

23 NEXT SLIDE

24 DR. DRAPER: I want to spend a brief moment on
25 talking about the evolutionary history of zebrafish

1 relative to humans to point out mainly the numbers of
2 genes that zebrafish have relative to humans. So there's
3 one main branch invertebrate evolution. The branch that
4 gave rise to us is what is called the lobe-finned fishes
5 branch. And one of the species that is still alive today
6 is the coelacanth, which is a precursor to -- which is a
7 lobe-finned fish.

8 This other major branch is called the ray-finned
9 fishes and that's where zebrafish is a part of.
10 Two-thirds of all living vertebrates are in the ray-finned
11 fish lineage, one-third in the lobe-finned fish. The
12 other fishes that I put on here are here mainly because
13 these are animals that we have whole genome sequence for,
14 so they can be used to really allow us to do very careful
15 analysis of gene orthology when we go from zebrafish to
16 humans.

17 Now, these arrows back here are looking at
18 genomic events that happen during the course of vertebrate
19 evolution which were important for evolution and what are
20 called whole genome duplication events. So predating the
21 split between the lobe-finned and ray-finned fishes, there
22 were two whole genome duplication events that took, for
23 example, a gene that might present as a single copy in
24 drosophila which is another -- you know fruit flies, which
25 is another important species for -- for biomedical

1 research. Humans would have four copies of that gene,
2 because the first genome duplication went from one to two
3 copies, the second genome duplication went from two to
4 four.

5 Now, after these split, and a little bit farther
6 down, the teleost lineage underwent an additional whole
7 genome duplication. Now, teleost is, particularly to
8 zebrafish, are a diploid species, but in comparison to
9 humans, in some instances where humans have a single copy
10 gene, zebrafish would have two copies of that gene. And
11 it's about 25 to 30 percent of the gene orthologs between
12 humans and zebrafish actually have a -- a duplicated copy
13 in zebrafish relative to humans. So this is important
14 what comparison -- when comparing gene function and gene
15 orthology between mammals and fish species.

16 NEXT SLIDE

17 DR. DRAPER: Now, this is a -- I gave a talk to
18 the staff of DARTIC a couple of months ago, and one of the
19 questions that came up was how do you compare zebrafish
20 lines relative to mouse lines, in particular how inbred
21 are they? So mouse lines are typically very inbred and
22 are very homozygous at most loci.

23 Zebrafish lines are not as inbred. These
24 lines -- for example, this is not in an exhaustive list of
25 the various lines that zebra -- that people use for

1 zebrafish, but three of the main ones that are used across
2 the world are the AB line, which was derived at the
3 University of Oregon, where zebrafish really got its start
4 as a genetic system for studying vertebrate development.
5 The other main line was developed at Max Planck Institute
6 in Tübingen called the TU line. Both of these were pet
7 store derived and they were put through a genetic
8 bottleneck, because the point of developing these lines
9 for these two institutions was to make lines that were
10 essentially lethal-free, that did not have any
11 heterozygous lethal mutations, because what they wanted to
12 do was to use them for forward genetic screens.

13 So they were bottlenecked. Clonal lines have
14 been produced, but they are typically much less robust and
15 fecund than the non-clonal lines, so it's more practical
16 to maintain the non-clonal lines for these studies. But
17 at least for the -- the University of Oregon line, it's
18 been estimated that they are about 70 percent homozygous,
19 but there is, you know, that 30 percent diversity that we
20 try to maintain when maintaining these stocks to keep
21 these very robust lines. Okay.

22 NEXT SLIDE

23 DR. DRAPER: Getting towards kind of more the
24 reproductive biology. Zebrafish are sexually dimorphic.
25 There are very subtle differences, but to the -- the

1 trained eye, you can start to pick these out pretty
2 quickly. So what I'm showing here is various views of a
3 female zebrafish on the top and a male zebrafish on the
4 bottom. Female zebrafish are, in general, a little bit
5 larger than males, a little bit wider.

6 And you'll see on the -- the next slide, that
7 that's because they have a very large ovary relative to
8 the testis size in males. So a lot of times, you can
9 determine their sex just based on their overall body
10 morphology. Zebrafish have three different pigment types
11 that make up the stripes, one of them called the xantho --
12 xanthophores is this yellow pigment stripe here in between
13 the -- the two dark pigment stripes. Females, this
14 pigment is a little bit less saturated, so they don't look
15 as yellows as the -- as the males do. So that's one way
16 that's it's easy to tell males from females is -- is the
17 males look yellow.

18 There are also other secondary sexual
19 characteristics, for example, the genital papilla, which
20 is where the eggs are released from relative to where the
21 sperm is released. Back here, the genital papilla is kind
22 of the swollen structure in females, whereas in males, you
23 don't really see. It's just like a little flat structure
24 over the pores. So -- so we can also use these to
25 determine the sex of the fish.

1 DR. DRAPER: I want to give an overview of
2 zebrafish reproductive organ development and then we'll
3 turn to sex determinations to give you a reference for the
4 timing at which these are happening during zebrafish
5 development. So on top is a typical vertebrate timeline
6 for -- and developmental stages for development of the
7 ovary versus the testis.

8 I'll start back here at the -- during
9 embryogenesis for both species, for -- for mammals and
10 zebrafish. There are somatic gonad precursors that are
11 set aside, as well as the precursors to the germ cells
12 called primordial germ cells. And these are one of the
13 first cell types to be set aside during embryogenesis.
14 The sites of somatic gonad development and early germ cell
15 development are at different locations in the embryo. So
16 the first thing that has to happen is the germ cells need
17 to migrate to where the somatic gonad is going to form.

18 Once they have reached that site, we call this
19 initiation of gonad development. And then in both mammals
20 and in zebrafish, there is a stage where the gonad is what
21 we call bipotential. If you compared gene expression
22 between the somatic cells of a -- what will be a male
23 versus a female, there are no differences. So early gonad
24 development is identical in males versus females. But
25 once sex has been determined, then the bipotential gonad

1 in females switches to a trajectory that will lead it to
2 developing female-specific cell types of the ovary,
3 whereas, in the male, it will switch over to producing
4 male-specific cell types to make up the testis. So this
5 is what we call sex differentiation and then between here
6 is sex determination.

7 So both mammals and zebrafish go through these
8 same developmental stages. They just happen at different
9 times. So in zebrafish, in particular, the specification
10 stage happens during the first about 10 hours of
11 development and then for the next five days of development
12 not much happens, but around eight days of development, we
13 start seeing a lot of proliferation of both the somatic
14 gonad and the germ cells in the stage, which is called the
15 bipotential stage. And I'll -- I'll say a little bit more
16 about this on a -- on a subsequent slide.

17 The bipotential stage of gonad development, as
18 far as we can tell, happens -- is basically between about
19 eight to 20 days of development, by which time sex has
20 been determined. And then starting around 20 days of
21 development, we can actually, with appropriate markers,
22 start to see differences between what is going to develop
23 into an ovary versus what is going to develop into a
24 testis, so that happens about 20 days post-fertilization.

25

NEXT SLIDE

1 DR. DRAPER: So again as -- just to reem -- to
2 emphasize this -- this timeline and also when we can first
3 tell the differences between ovaries versus testis. Back
4 here in the bipotential stage is actually when we start to
5 see the first signs that germ cells are starting to
6 differentiate. And I'll say a little bit more about this
7 in a -- in a second, so we can start to see evidence of
8 the first meioses around 14 days post-fertilization, so
9 the specialized cell cycle that's required for the
10 production of gametes. And then between 20 and 30 days
11 post-fertilization is when we see the somatic gonad and
12 sexual differences.

13 So at 30 days, we can absolutely, with
14 appropriate markers, either by dissecting the gonads out
15 or what's shown down here is that same transgenic, which
16 expresses the green fluorescent protein and germ cells, we
17 can look in living fish and tell an animal that's going to
18 develop as a male versus a female based on the sheer size
19 of the -- of the gonad where the testis is very thin and
20 faintly staining, while the ovary has already grown quite
21 large relative to the size of the fish. And we can, at
22 this stage, with almost 99 percent certainty, if we sort
23 fish, that have this fluorescence versus this, these
24 animals were developed as females versus males.

25 NEXT SLIDE

1 DR. DRAPER: So females versus males begs the
2 question of what determines whether you have females
3 versus males. And so one of the questions that came up in
4 our previous meeting was -- are the sex ratios, outcomes
5 that -- like is sex ratio an outcome that typically is or
6 could be evaluated in a DART study using zebrafish in
7 mammals, because there's chromosomal sex determination XX
8 versus XY, you get a relative 50/50 ratio. So let's talk
9 about how -- what we know about how sex is determined in
10 zebrafish.

11 NEXT SLIDE

12 DR. DRAPER: So I'm not going to talk about
13 initially how sex is determined in the domesticated fish,
14 which we use a line in the lab, but I'm going to talk
15 about how sex is -- what's known about sex determination
16 if you go and collect zebrafish samples from the wilds of
17 India where they are endemic. And so this study was done
18 by John Postlethwait in Medford Shartle. John is at the
19 University of Oregon.

20 And what they did was they did what's called a
21 genome-wide association study. It's not important that
22 you understand how that works, but what they're looking at
23 is is there a particular chromosome or regional of a
24 chromosome which is predictive of sex. And the important
25 thing of this graph down here is on the X axis are the 25

1 chromosomes of zebrafish and on the Y axis is a score for
2 predictiveness of whether a chromosomal locus is tightly
3 associated with one sex versus the other as you would
4 expect, for example, the Y chromosome to be in mammalian
5 sex determination.

6 And what they found was that on -- on the distal
7 end of chromosome 4, there is a highly predictive region
8 which segregated specifically with animals that became
9 females. And the females were heterozygous for this. And
10 when the females are the -- what we call the heterogametic
11 sex. So in mammals XY is the heterogametic sex, XX the
12 homogametic sex. And if we have that situation that we --
13 that we use XX/XY nomenclature regular, that if the
14 heterogametic sex is females, we use ZZ/ZW. So the ZW
15 chromosomal situation is female, the ZZ is male. So this
16 is the same in birds actually.

17 So at least in wild zebrafish, there is
18 chromosomal sex determination, but somehow this has been
19 lost in the domesticated zebrafish. We do not have any
20 evidence for the lines that I showed you that there is a
21 chromosomal basis of sex determination. And, in fact,
22 sometimes we can get fairly skewed sex ratios, you know,
23 90 percent males versus 10 percent females or vice versa,
24 that in general, and under standard laboratory conditions,
25 we are somewhere in the 50/50 sex ratio region. So if

1 it's not chromosomal, what do we know about the mechanism
2 of sex determination?

3 NEXT SLIDE

4 DR. DRAPER: So I'm going to apologize for this
5 slide. It looks quite complicated, but I'm going to walk
6 you through it. What I'm showing here are some simple
7 diagrams of different cell types in the zebrafish gonad.
8 On the right here are the germ cells going from the
9 mitotic germ cells, which we -- in the larva, which we can
10 call gonocytes and their early meiotic products. On the
11 left is a representation of the somatic gonad cell types.

12 During the bipotential stage, it truly is
13 bipotential if you look at genes that are -- eventually
14 will be expressed in males versus females. We find a salt
15 and pepper mixture of the expression of those genes in the
16 bipotential gonad so this is prior to sex determination.
17 So an example of that would be CYP19A1A, which encodes the
18 aromatase the Marlissa referred to earlier. This is
19 involved in estrogen synthesis. We can find cells that
20 are expressing that -- and this is a female-specific gene
21 eventually, whereas there are male specific genes, such as
22 sox9a or the anti-mullerian hormone, which would be
23 expressed also in cells that are adjacent to CYP19. So
24 there's really this salt and pepper mixture.

25 What we know is that if you completely get rid of

1 germ cells, there's various techniques for doing that, for
2 completely ablating the germ cell component of the gonad,
3 100 percent of those animals will grow up and be
4 phenotypically male. They will look like males and they
5 will behave like males, but they will be sterile. So that
6 suggests that germ cells are playing an essential role in
7 female development. And based on what we know, it is
8 that -- one thing I haven't mentioned yet, which is a
9 quirk of zebrafish, in that a hundred percent of animals
10 initially start to produce early stage oocytes, even
11 animals that will become male.

12 But what happens is is that what we believe is is
13 that there is a threshold number of oocytes that need to
14 be produced, because the oocytes are producing a cell
15 signaling molecule which signals to the somatic gonad to
16 stabilize the female gene expression. And so if you can
17 reach this threshold number of oocytes and therefore the
18 signal, you will stabilize female development and those
19 animals would become female. If you do not reach that
20 threshold, the oocytes will eventually die as the somatic
21 portion of the gonad transitions to a testis and you start
22 producing sperm.

23 Not only is this signal required for primary sex
24 determination, but even -- we have evidence that even as
25 an adult, you require constant signaling from germ cells,

1 in particular oocytes, to the somatic gonad. And if
2 there's anything that prevents the production -- the
3 continuous production of oocytes, we can actually have a
4 female that initially develops as female producing oocytes
5 will sex reverse and become a male. And in some
6 situations, and some tricks we can do, we can get those
7 males to actually be fully fertile and they behave like
8 males.

9 So there's this constant signaling that has to
10 occur. So any toxicant that prevents either this early
11 signal or perhaps prevents this later signal will lead to
12 either an overproduction of males versus females or cause
13 animals that started off as females to sex reverse and
14 become males. So while this is kind of a quirk of
15 zebrafish, we can leverage it to, you know, fairly -- to
16 do fairly high throughput screens looking for toxicants
17 that skewed the sex ratio relative to the controls.

18 So this is really a cellular view of sex
19 determination, what about the comparisons of genetic sex
20 determination between fish and mammals.

21 NEXT SLIDE

22 DR. DRAPER: And this is just a slide showing you
23 some key genes in mammalian sex determination and then
24 I'll compare that to -- to zebrafish. So up here at the
25 bipotential stage, all gonads sort of equally produce

1 these two cell signaling molecules. It's not really
2 important what they are. One is red, one is blue. In
3 mammalian males, because they have a Y chromosome, they
4 have a transcription factor called SRY, which is
5 kick-starts the entire sex determination process. If you
6 had SRY, you are a male. If you lack it, you become
7 female. SRY then leads to the upregulation of this FGF9
8 gene, the red gene up here, which inhibits the blue gene
9 WNT4.

10 So in this situation, FGF9 wins out because of
11 the help of SRY and then we turn on downstream genes which
12 are important for sexual differentiation, one of which is
13 this gene called DMRT1. If you lack SRY, if you're XY or
14 XX, then WNT4 is set to win out over FGF9. And
15 then you turn on the downstream female specific
16 transcription factors, for example, FOXL2 which lead to
17 sex differentiation.

18 So how does this compare to zebrafish?

19 Well, zebrafish do not have orthologs of SRY,
20 this mammalian-specific gene, nor do they have orth --
21 orthologs of FGF9. By contrast, they do have orthologs of
22 WNT4, FOXL2 and DMRT1.

23 On the next slide --

24 NEXT SLIDE

25 DR. DRAPER: -- this is essentially the same

1 information, but I've stripped out now in showing you a
2 direct comparison of mammals versus zebrafish. And what I
3 really want to emphasize is -- is that at this level down
4 here of these transcription factors, which are really
5 driving the genes that are required for sex
6 differentiation, turning on the genes likely that are
7 required for hormone production, secondary sexual
8 characteristics, this is highly conserved in all
9 vertebrates. And, in fact, DMRT1 is an ancient gene that
10 it -- that even is regulating sexual differences. In most
11 metazoans, for example, it was first discovered in
12 drosophila the fruit fly and it also functions in
13 nematodes. This is a very highly conserved level of sex
14 differentiation.

15 How these get turned on is not known in
16 zebrafish. But once they are turned on, they're doing
17 very analogous functions in mammals and fish.

18 NEXT SLIDE

19 DR. DRAPER: And so to wrap-up the reproductive
20 biology part, we -- there was already reference to this in
21 Marlissa's introduction and I just want to kind of close
22 this part with looking at the various hormones versus
23 receptors that are required for -- for female versus male
24 sex and what are similarities and differences between
25 mammals and zebrafish.

1 So if we look at the females, the bioactive --
2 most bioactive form for females is the same in both
3 mammals and zebrafish, essentially 17 beta-estradiol, also
4 called E2. So exactly the same. And in fact, the
5 receptors -- the orthologs for the receptors that -- that
6 bind to the hormone and regulate gene expression, there
7 are orthologs of estrogen receptor 1 and 2 in zebrafish.
8 But zebrafish has a single copy of estrogen receptor 1 has
9 got a duplicate due to that whole genome duplications of
10 estrogen receptor 2 called 2A and 2B.

11 NEXT SLIDE

12 DR. DRAPER: Now, turning to males, here's where
13 we see some differences. The most bioactive form of
14 testosterone in mammals is 5-alpha-dihydroxy testosterone,
15 whereas that in fish is 11-keto-testosterone. Now, these
16 are derivatives of testosterone, but they're slightly
17 different. Regardless, they both function through the
18 androgen receptor, which are both single copies in mammals
19 and zebrafish. So although there are slight differences
20 in the testosterone, they're still functioning through the
21 same -- same androgen receptor.

22 NEXT SLIDE

23 DR. DRAPER: Now finally, you'll hear more about
24 this I think from Dan -- Dr. Dan Wagner's talk using
25 single cell transcriptomics for analyzing at cellular

1 resolution gene expression in the gonads of zebrafish and
2 being able to compare that to mammals. My lab has
3 recently done a single cell RNA-seq study. The only thing
4 important to understand here is that each of these dots
5 represents a single cell from a zebrafish ovary. And the
6 dots that are -- that we're comparing the genes that are
7 expressed in these cells. And so the dots that are most
8 close together have a more similar gene expression
9 pattern.

10 But we've been able to identify all of the major
11 cell types and cell subtypes in the ovary and compare them
12 to -- to their counterparts in -- in mammals. And I just
13 want to end with saying that there are more similarities
14 than differences between the cell types in the zebrafish
15 ovary and mammals, the follicle cells, which are the main
16 producers of the -- the -- the estrogen for example and
17 the gene, for example, and the theca cells which produce
18 the precursors to that.

19 And so this type of study, you know, using this
20 to also look at gene expression changes upon toxicant
21 treatment I think is going to be incredibly powerful. I
22 think we're going to hear more about that later today.

23 NEXT SLIDE

24 DR. DRAPER: So just to -- to wrap this up, when
25 we're talking about the time points that we -- if we want

1 to look at developmental toxicity versus reproductive
2 toxicity of particular compounds, you know, when are the
3 optimum times for using zebrafish? So for developmental
4 toxicity, that would be basically between the zero to five
5 days post-fertilization, because that's when the major
6 events of development are happening, organ production, et
7 cetera. Whereas, reproductive toxicity treatments should
8 really start on or after 10 days post-fertilization,
9 because there's not much going on with the development of
10 the gonads until after 10 days post-fertilization. But
11 basically, any time, you know, even throughout adulthood,
12 zebrafish can be used for screening for reproductive
13 toxicants.

14 And so I would just like to end --

15 NEXT SLIDE

16 DR. DRAPER: -- that there are more similarities
17 than differences I think in -- between zebrafish and
18 humans, and therefore, they really do give us a good
19 platform for doing screening for reproductive and
20 developmental toxicants.

21 So with that, I will end and I don't know if
22 we're going to take questions now or wait until the next
23 talk.

24 CHAIRPERSON LUDERER: Okay. I think what we have
25 time for now is some clarifying questions from Committee

1 members, so about five minutes. So please, I'm going to
2 ask the Committee members. I already see some raised
3 hands, so I'll start from the top left of my screen.

4 So Dr. Hertz-Picciotto.

5 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yes. Thank
6 you. Really intriguing, Bruce, to see this coming as an
7 epidemiologist here. I just have a question about in the
8 early embryonic stage, humans undergo an almost total or
9 massive demethylation of the -- of the genome. And I just
10 wondered if there's any data on -- on that in the
11 zebrafish.

12 DR. DRAPER: Yeah. So -- so there's not as
13 much -- so there's no like paternally versus maternally
14 inherited epigenetic states in zebrafish. You know,
15 basically, because there are no sex chromosomes, they
16 really can -- we can push them to become male versus
17 female and we don't see any difference in like imprinting
18 like you would in -- in other species -- or mammalian
19 species. So to my knowledge there's not a, you know,
20 large scale eraser and then reestablishment of the
21 epigenome, though that does happen during germ cell
22 development just as it does in mammals.

23 COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay. Thank
24 you.

25 DR. DRAPER: Yep.

1 CHAIRPERSON LUDERER: Thank you.

2 Dr. Pessah, I see that you have your hand raised
3 too.

4 COMMITTEE MEMBER PESSAH: Hi, Bruce. Thank you
5 for --

6 DR. DRAPER: Hi. Isaac.

7 COMMITTEE MEMBER PESSAH: -- your helpful talk.
8 I was wondering, do you want to comment on sort of the
9 challenges of having external development, the chorion
10 providing a barrier to actually getting chemicals where
11 they would be mammalian systems and dechorionation and how
12 that might influence everything? So --

13 DR. DRAPER: Yeah. So what Isaac is referring to
14 is that the zebrafish have essentially what is, you know,
15 an eggshell, which is very impermeable to a lot of
16 chemicals. However, you can either manually remove that
17 or there's actually an enzyme called pronase that you can
18 treat, you know, en masse, the embryos to digest off that
19 chorion.

20 One of the problems with not having a chorion
21 during the first 10 hours of development is that the --
22 prior to the end gastrulation, is that the animals are
23 very -- very fragile, but you can -- so if you just put
24 them in like standard tissue culture dishes or 96 well,
25 you know, plastic dishes, they can lyse when they hit the

1 plastic. But there are workarounds with that. You just
2 have to put a thin layer of agarose coating the dishes, so
3 that -- so that when they hit that plastic, they don't
4 lyse. So there are workarounds with that.

5 But it does -- it is a little bit of a challenge
6 up until -- the chorion does not -- normally, they don't
7 hatch out until about three days of development. So doing
8 the earlier study is, if you want to study things that
9 aren't going to pass the chorion, you have to -- to remove
10 the chorion, but it can be done.

11 CHAIRPERSON LUDERER: Okay. Thank you.

12 Dr. Baskin.

13 COMMITTEE MEMBER BASKIN: Yes. Thank you.

14 Outstanding presentation. And this question may be for a
15 future speaker and/or Dr. Campbell kind of for my own
16 edification. How are you measuring -- I think it was
17 actually Dr. Campbell's talk, but alluded to in this talk,
18 plasma levels of, for example, the reproductive hormones
19 in the zebrafish.

20 DR. DRAPER: Yeah. So that's something that we
21 don't routinely, do but it's my understanding there are
22 ELISA based kits, though it -- it's a sensitivity issue.
23 There -- those kits are not all that sensitive. And maybe
24 Marlissa has a better -- a better answer for that. I
25 mean, there are very sophisticated kind of metabolomics

1 approaches that you can use that are much more expensive,
2 but there are ELISA-based kits for measuring hormone
3 levels, but I -- what I don't know is really how
4 fine-tuned you can get those for looking at small
5 differences.

6 DR. CAMPBELL: You know, I don't remember that
7 detail off the top of my head. I'd have to go back and
8 look at the original paper, but I can do that maybe at
9 lunch and try get and answer for you.

10 COMMITTEE MEMBER BASKIN: Let me get my questions
11 out. Are you essentially grinding up the -- the
12 zebrafish?

13 DR. CAMPBELL: That would be my guess, but I
14 don't -- I don't remember the details of the methodology.

15 DR. DRAPER: For the -- for the embryos, you
16 absolutely would have to do that, because you can't --
17 there's not enough blood to do it. But for the adults,
18 you can get enough blood to -- to look at plasma levels is
19 my understanding.

20 COMMITTEE MEMBER BASKIN: Thank you.

21 DR. DRAPER: But, you know, a lot of times,
22 they're -- they're looking at testosterone and not the
23 11-ketotestosterone and how testosterone and
24 11-ketotestosterone levels really correlate with each
25 other. I think they're -- they're fairly closely

1 correlated, but generally most people are looking at
2 testosterone because the'res not kits for the 11-keto.

3 CHAIRPERSON LUDERER: Dr. Woodruff.

4 COMMITTEE MEMBER WOODRUFF: Yes. Thank you.

5 That was really an excellent presentation. Yeah, I wanted
6 to follow up on the question about the transfer across the
7 chorion. Have people done measurements outside and inside
8 for varying type -- different types of chemicals to
9 confirm that it's completely as you're saying not
10 penetrable?

11 DR. DRAPER: So I should have mentioned this
12 before, I am not a toxicologist.

13 COMMITTEE MEMBER WOODRUFF: Oh.

14 DR. DRAPER: I'm a developmental biologist, so I
15 think those --

16 COMMITTEE MEMBER WOODRUFF: I thought you were a
17 toxicologist.

18 DR. DRAPER: -- so I think those -- those things
19 have been done, but --

20 COMMITTEE MEMBER WOODRUFF: Uh-huh.

21 DR. DRAPER: -- I'm going to punt and -- and it
22 looks like stephanie wants to address that. Let's get a
23 card carrying toxicologist here.

24 COMMITTEE MEMBER WOODRUFF: That's fine. Thanks.

25 DR. PADILLA: Yeah. So I was -- I do have a lot

1 of strong opinions about the chorion. It is -- it is a
2 membrane, but it actually has pores in it. And the
3 pores --

4 COMMITTEE MEMBER WOODRUFF: Yeah.

5 DR. PADILLA: -- are large enough for most
6 molecules -- most molecules, drug or toxicant molecules,
7 even -- even some of the very large herbicide molecules
8 can -- can go through the pores. And it is more
9 experience that there is -- there are very few molecules
10 that don't go through the chorion.

11 COMMITTEE MEMBER WOODRUFF: Right.

12 DR. PADILLA: There are some that do. And there
13 is a price to pay besides time and energy for removing the
14 chorion. There's some very good studies to show that
15 development does change if the chorion is not there and
16 also in -- for some of the experiments that we've done,
17 that if they're dechorionated, they behave -- the behavior
18 is different later on. So we -- you know, we can talk
19 more about that later, but --

20 COMMITTEE MEMBER WOODRUFF: That -- that is
21 really excellent. I really appreciate both of your
22 answers. I mean, it just kind of reminded me about this
23 discussion about, you know, all days about the placenta,
24 and we found that really --

25 DR. PADILLA: That's exactly right.

1 COMMITTEE MEMBER WOODRUFF: -- wasn't that
2 accurate.

3 DR. PADILLA: Yeah, it is -- it is --

4 COMMITTEE MEMBER WOODRUFF: And I'm not saying --
5 I'm not -- it's no judgment. Just it's like, oh, this is
6 a very interesting component to the whole --

7 DR. PADILLA: Yeah.

8 COMMITTEE MEMBER WOODRUFF: -- exposure piece of
9 this. So I really appreciate your answers.

10 DR. PADILLA: It's more of a sieve than it is a
11 barrier.

12 COMMITTEE MEMBER WOODRUFF: Right. Thank you.

13 CHAIRPERSON LUDERER: All right. Thank you.

14 I do have one question also, which is you're
15 talking about the shift from heterogametic sex
16 determination that's been lost in the laboratory species
17 that are commonly used. So has anyone really kind of
18 tried to trace when that occurred? And that's one
19 question. And the other one is would you see any
20 potential benefits to using, you know, wild type zebrafish
21 versus the -- these species -- or these strains that have
22 lost that sex determination mechanism?

23 DR. DRAPER: Yeah, so -- so I should also point
24 out that even in the wild strain where there is a high
25 correlation with a particular locus for females, it --

1 they did not find that there was a hundred percent
2 correlation. So they actually found some females that
3 were ZZ and they found some males that were ZW. So even
4 in the wild, it's not a hundred percent this, you know,
5 what looks like genetic sex determination. So the way I
6 think of that and I think others that is is that the sex
7 determination mechanism, which, in general, is a very
8 rapidly evolving system and many species, even closely
9 related fish species have different genes that are -- that
10 are the primary drivers of sex determination, and fish can
11 be ZZ/ZW or XX/XY. So it looks like it's either an
12 evolving system in zebrafish or a devolving system, so
13 they're going, you know, towards this more rigid
14 chromosomal or away from it.

15 So to your second question about using the wild
16 strains. So the -- the advantages of the -- of the
17 laboratory-bred strains is they really have been selected
18 for being lethal-free. And so if you want to be able to
19 compare, you know, effects in seeing -- seeing an effect
20 and knowing that that's not some, you know, genetic
21 predisposition, then the domesticated strains, I think,
22 are better than the wild strains.

23 The wild strains, I mean, we have some in the lab
24 there. They're also -- in general, they're more
25 temperamental to use. I don't know why that is. They

1 don't like to breed as well as the ones that have been
2 selected for, you know, good breeders. You know, there
3 might be other wild strains that would be good. I don't
4 have as much experience with those.

5 CHAIRPERSON LUDERER: Thank you very much.

6 DR. DRAPER: So hopefully that answered your
7 question.

8 CHAIRPERSON LUDERER: Yeah. And thanks again for
9 a really fascinating presentation.

10 DR. DRAPER: Yeah.

11 CHAIRPERSON LUDERER: All right. Now, I'm going
12 to go ahead and introduce our second speaker, Dr.
13 Stephanie Padilla from the U.S. EPA. So Dr. Padilla is a
14 research toxicologist in the U.S. EPA's Center for
15 Computational Toxicology and Exposure. She has extensive
16 experience with the use of Zebrafish larvae in large-scale
17 screening assays for development and neurodevelopmental
18 toxicity. And the title of here presentation is,
19 "Overview of Zebrafish as a Screen for Developmental
20 Toxicity", with examples from our CASE chemicals as
21 possible.

22 So Dr. Padilla, welcome. Looking forward to your
23 presentation.

24 **PRESENTATION BY DR. STEPHANIE PADILLA**

25 (Thereupon a slide presentation).

1 DR. PADILLA: Welcome. So can you -- I'm just
2 curious, can you see my screen? What screen are you
3 seeing, you're not seeing?

4 CHAIRPERSON LUDERER: We're seeing -- we're not
5 seeing presenter view, but we are seeing your screen.

6 DR. PADILLA: Okay. Just a minute. Let me stop
7 the share. Sorry.

8 How about now?

9 CHAIRPERSON LUDERER: No, now we're not --
10 whoops. Yes, now we're seeing your presenter view.
11 Perfect. Okay.

12 DR. PADILLA: So thank you all for inviting me.
13 I really appreciate it and I'm very interested in the
14 discussion as this -- as this meeting continues on today.
15 And I also appreciate Dr. Draper's introduction. So some
16 of what I've got on my slides is redundant with what he's
17 presented, but I will skip over that part, so we don't
18 have to -- have to go through it twice.

19 Let's see. Just a minute it's not progressing
20 like it needs to.

21 NEXT SLIDE

22 DR. PADILLA: Okay. All right. There we go.

23 So I have a movie up here that I would really
24 like to show, because we had a nice -- the movie is very
25 impressive with how quickly zebrafish develop. So I

1 started working in developmental toxicity,
2 neurodevelopmental toxicity with rats. And when I started
3 working with zebrafish, it was a wonderful thing, because
4 everything happens so quickly. So I'm going to show you
5 this movie.

6 And over here are the hours of development, so
7 this is the first hour of development. This is about the
8 high blastula stage, which is when we usually begin our
9 exposures. It is going through gastrulation now and going
10 through epiboly. And in a few seconds, or hours as the
11 case may be, you're going to see the embryo begin to form
12 on top of what is going to become the yolk. And so the
13 eye is going to appear over here on this left-hand side.
14 And the right-hand side is the tail region, the somites
15 are beginning to form, the eye is beginning, the brain is
16 beginning to form. We're only 14 -- 14, 15 hours into it.
17 The tail is going to separate here from the yolk. We're
18 about 20 -- we're about one day into this development.

19 You can begin to see the blood coursing through the -- the
20 embryo. You can begin to see the heart starting to beat.

21 These things are melanophores, which are sort of
22 like -- I guess the best way to say it is they're sort of
23 like the spots on a fawn. They're designed for
24 camouflage. You can see the eye has already developed.
25 The heart is beating furiously over here at the front of

1 the animal. We're about two and a half days into the
2 development. The jaw is beginning to move forward.
3 You're -- almost all the -- it is going through
4 organize -- organogenesis here. Pretty soon, you're going
5 to see things flowing through the digestive system and
6 he/she is going to swim away.

7 And so we're about 85 hours into this. This
8 animal was probably reared at 28 degrees centigrade, so
9 they develop a little bit faster. We rear most of our
10 animals at 26 degrees centigrade. So they develop -- we
11 actually do our experiments from day zero until day six.

12 And so some of the advantages -- and I'm going to
13 reiterate some of these and sort of emphasize the ones
14 that are important for how we do our research are there's
15 a very rapid development. There's a transparent embryo.
16 The developmental pathways are homologous with many other
17 vertebrates. The genome is easy to manipulate.

18 And for me, in toxicology, I was thinking about
19 working with zebrafish mostly as to extrapolate to human
20 toxicity, but it is also a great model for extrapolation
21 to other fish and ecotoxicology. So you're able to inform
22 both types of toxicological assessments by doing research
23 with zebrafish.

24 One of the things that we also do in our
25 laboratory that I'm not going to talk about is we do

1 functional assessments. We do behavioral assessments to
2 look for developmental neurotoxicity in the animal, so
3 we're able to ask questions of, you know, are they -- are
4 they -- are they behaving normally as -- as the controls
5 are?

6 As mentioned before, the liver has a metabolic
7 capability to both activate and deactivate chemicals.
8 Some really beautiful work that's been done by Dr. Jed
9 Goldstone has shown that they -- the zebrafish possess
10 P450s from many of the same categories that humans do.
11 They have a thyroid axis. They have a stress axis. They
12 have an HPG axis, so they have all the communication
13 pipelines that vertebrates do when they develop.

14 Now, some of the challenges of working with
15 zebrafish models is when you're looking at either
16 development or neurodevelopmental toxicity, if -- it is
17 difficult to -- it is difficult to assign mechanism,
18 unless you've -- unless you've got a very special test.
19 We just usually know that something has happened,
20 something abnormal has happened, but we're not too sure
21 why or how, and so you've really got to delve into that.
22 But for me, from a screening context, usually we're just
23 looking for did something bad happen.

24 And then they also -- something that I'm going to
25 talk about -- touch on towards the end of this -- at this

1 talk is talking about it's difficult to know the internal
2 dosage of the chemical. It's not simple, but a lot of
3 progress is being made.

4 NEXT SLIDE

5 DR. PADILLA: So this is -- I wanted to go
6 through this. This is sort of our baseline experimental
7 design. So we get the embryos on the day of
8 fertilization. We usually wash them with a very dilute
9 bleach solution to get rid of any fungi and begin our
10 exposures, usually about six hours post-fertilization.

11 And for the data that I'm going to present today,
12 we change that solution every single day. So we renewed
13 that chemical solution every single day until day five.
14 And on that day, we actually wash the chemical out for our
15 assessment on day six just so we don't have to handle as
16 many toxic chemicals. And also, we do most of our
17 chemical exposures blinded, so we don't know what chemical
18 we're working with and we just consider everything really
19 dangerous. And so when we do this assessment on day six,
20 it requires quite a lot of interaction with the embryo,
21 and so we'd rather not have the chemical around.

22 So on day six, we look at the embryos. This is a
23 human assessment. And we look at the embryos and ask if
24 it's dead or alive. And if it's alive, we ask if it's
25 hatched, because there's -- we have -- you haven't seen

1 These are -- they're very visually oriented. These are
2 their big eyes. They've got ears, the otoliths on either
3 side. You can't really see them very well, but they do
4 have pectoral fins, and of course, there's a nice straight
5 -- nice straight spine there. This is an inflated
6 swimbladder. And this is what an abnormal fish would look
7 like. There's a lot wrong with this fish. It has a
8 curved axis. There's a lot of edema. It has a very small
9 head. It has a very small eye. The swimbladder is not
10 inflated. So there's a lot -- this is a severely abnormal
11 fish. It is like -- unlikely to -- to reach adulthood.

12 And then this is -- we have some really nice
13 pictures. We have a -- we have a system that is able to
14 pull the fish up into a capillary tube and take -- take
15 pictures of the fish. And it gives us a very, very
16 detailed view of the fish and how it's developed. And
17 this is again a normal six-day old zebrafish. You can
18 from the side, the eye, the mouth is -- the jaw has
19 developed normally, the mouth is towards the front. You
20 can see the individual organs here. You can see the
21 liver. You can see the digestive tract. You can see the
22 heart. And this is the otolith, the ear, and a nice
23 straight spine.

24 And here is one that is -- is abnormal, not
25 severely abnormal, but abnormal. And you can see that

1 would want to ask about the data? And so these are the
2 ones that I was thinking about. I want -- first of all, I
3 want to know how good are the data. And to ask that, I
4 would want to know about consistency of the data within a
5 laboratory, and consistency over time within a laboratory,
6 and then a consistency among laboratories. And then, of
7 course, you would want to ask how does it -- how does it
8 compare with the mammalian data? And so I'm going to
9 touch on -- on all of those.

10 NEXT SLIDE

11 DR. PADILLA: So the first is how -- how
12 consistent are the data within a laboratory? So the
13 laboratory I'm talking about, of course, is my own,
14 because that's the one where I've got the data. And this
15 is a couple of chemicals that I know that you all -- this
16 first chemical is BPA. And as I said, we do most of our
17 assessments blinded to the chemical, so we only find out
18 what the results are afterwards. And this was the same
19 chemical, but different sources. So oftentimes when we
20 get our chemicals, they'll -- they'll put the same
21 chemical in the -- in the library of chemicals that we're
22 testing from two different sources.

23 And the way that this is arranged is the dose of
24 the chemical or the concentration of the chemicals. These
25 animals were all exposed in the chorion by emersion, and

1 is the can -- concentration of the chemical that was in
2 the water that they were exposed to. And then this is
3 sort of the toxicity index, I guess. We sum up our
4 assessments and come up with a number between zero and
5 100. And anything that scores 100 was -- the animal was
6 dead -- was -- it had killed the animal.

7 Anything in between here, basically in the yellow
8 region scored between 20 and let's say 99. These animals
9 were more morphogenically not normal. So they either
10 weren't hatched or there was something wrong with them.
11 And the higher the score means the more things went wrong
12 with them. And the animals that were here in this range,
13 were within the control range. And each circle represents
14 an animal. And sometimes there are lots of animals. It's
15 difficult to tell, because they're circles on top of
16 circles.

17 And so if you're looking here, as you can see the
18 increase in the concentration of BPA, caused an increase
19 in such that -- at the highest dosage, there was some
20 death. And here there was one, I think, out of three
21 animals that died but two were normal. And so this is
22 often what the curve looks like. And then you can
23 calculate an EC 50, an effective dose, basically. And for
24 this -- for this run of this chemical, it was 55. And for
25 this run of same chemical, but from a different supplier,

1 basically it was 63.1 micromolars. So these are very
2 similar. And if you look at -- I think I have another
3 one.

4 NEXT SLIDE

5 DR. PADILLA: Yes. Chlorpyrifos on of my
6 favorite chemicals. If you look at chlorpyrifos, it turns
7 out we use chlorpyrifos as an internal control. And so we
8 have lots and lots of our own assessments as well as the
9 internal control that was within the library that we
10 tested. And you can see here that same thing here, that
11 at the lower doses, there tends to be -- most of the
12 animals are within the control range. As you increase the
13 dose, you see an increase in dysmorphology. Even going
14 even higher, you see it -- basically, it moved from
15 dysmorphology into lethality. And that's the kind of --
16 that's the kind of curves that usually see with this.
17 It's a -- it's a gross curve. But from it, you can get an
18 EC 50.

19 And again, these EC 50s, even though the chemical
20 was from two different sources, were very close. Here,
21 it's 8. Here, it's 10. So it's not bad about
22 consistency -- above -- testing the same chemical from
23 different sources.

24 NEXT SLIDE

25 DR. PADILLA: And how consistent are the data

1 over time? So it turns out that we had tested -- we've
2 tested multiple laboratories. We probably tested two or
3 three thousand chemicals. And this was the same chemical
4 that was three years apart. This is azoxystrobin. This
5 is another chemical, and this is the triclosan. So we're
6 looking at the EC 50s that were done with two different
7 libraries that we tested. And here the EC 50 for
8 azoxystrobin was 2.9, 3.6. So this is very consistent
9 over time. Oryzalin we had 16 and basically 12. Again,
10 very consistent over time. And for triclosan, it was 4.6
11 and 2.7.

12 So we're seeing -- we're seeing consistency not
13 only between the chemicals, but also over time. And keep
14 in mind, those of you that work with zebrafish will get
15 this right away, but the population of fish that we were
16 working with are very -- was very different three years
17 apart. Now, we try to make everything -- and we need to
18 talk about that, but we try to make everything as
19 consistent among our populations as possible. But this
20 also helps us realize that our populations are -- we're
21 not -- we're not seeing a gradual change in the
22 sensitivity of the population over time, because these, of
23 course, were very -- were different fish than the ones
24 that I tested three years before.

25 NEXT SLIDE

1 DR. PADILLA: And how consistent are the data
2 among laboratories? And so that's -- that's a little bit
3 difficult to get at, but it turns out that to Ducharme et
4 al. published a paper about ten years ago now comparing
5 data from many, many different laboratories and many, many
6 different studies to, it turns out, our data, which was
7 really nice. And I think the reason they did that is
8 because we -- we were one of the few laboratories at that
9 time that had published a very large survey of a large
10 library with regard to LD 50s and dysmorphologies.

11 And so basically what they did was they looked at
12 all the different studies that they had reviewed and they
13 had -- they had calculated a metric that they call loaded,
14 that has to do with how toxic the chemical was to the --
15 to the developing zebrafish and realized that those
16 studies had 16 chemicals in common with our study, and
17 just tracked how -- what -- what was the correspondence
18 between the toxicity that we had study -- that we had
19 published and the toxicity that had been published in
20 these other studies.

21 It was -- I felt they had a really nice
22 correlation and I felt that it was very encouraging that
23 the data are consistent among laboratories. And in fact,
24 in just comparing our own data and also comparing our
25 data -- looking at data in other papers for chemicals that

1 we've tested, I would say that there is quite a bit of
2 consistency among laboratories with regard to
3 developmental toxicity of chemicals in zebrafish, embryos,
4 and larvae.

5 NEXT SLIDE

6 DR. PADILLA: And then if you look at concordance
7 between -- or concordance between mammalian toxicity and
8 zebrafish. So there's some -- there's quite a few papers
9 that we can look at for that, but -- so for this one, this
10 was a paper that was published -- they wanted to look at
11 the concordance with regard to four organotin chemicals,
12 And so this chem -- this paper was -- they looked at the
13 in vivo developmental toxicity in mammals and then they
14 looked at the zebrafish developmental toxicity. And here,
15 they're looking at the ranking.

16 And so what they see was the ranking of these
17 chemicals was basically the same between mammals and
18 zebrafish, that the dibutyltin dichloride was the most
19 toxic followed by the dimethyltin dichloride. The
20 monomethyltin dichloride -- trichloride was not toxic in
21 either mammals or zebrafish. And then they had not tested
22 the monobutyltin trichloride in mammals, but in zebrafish
23 it was not toxic.

24 So they were -- they were very heart -- they were
25 very heartened by the fact that the ranking of the

1 toxicity of these organotins was the same between the
2 mammal -- the mammals and the zebrafish.

3 NEXT SLIDE

4 DR. PADILLA: And then in another paper, Kari et
5 al., looked at the toxicity of drugs basically in
6 zebrafish versus mammals. This is developmental toxicity.
7 And it was interesting here, they did see some
8 concordance. But in general, the zebrafish, if there
9 wasn't a concordance, the zebrafish tended to overestimate
10 the toxicity for the mammals. So, I mean, if -- if you
11 have to go one way or the other, you might want to -- you
12 might want a sentinel species that is overestimating the
13 toxicity.

14 And then Nisha Sipes and her -- her co-workers
15 published a paper looking at the concordance between
16 zebrafish studies and mammalian -- different mammalian
17 studies. And they found that concordance ranked somewhere
18 between 55 and 87, I guess. And so, you know, that
19 doesn't sound too good.

20 NEXT SLIDE

21 DR. PADILLA: But when you compare it in this
22 way, so they -- they also compared it in this way, and
23 this is a very interesting graphic. They basically looked
24 at the concordance between zebrafish and rabbit, which is
25 the blue, which was about 47 percent. And then they

1 looked at the concordance of the zebrafish and the rat --
2 and this is both negative and positive concordance with
3 developmental toxicity studies, and they got about a 52
4 percent concordance.

5 But then, just something to take home, the rat to
6 rabbit concordance was really only 58 percent. So it's
7 not horribly wonderful, but it's also not terribly bad, in
8 the sense that the zebrafish and the rabbit, and zebrafish
9 and the rat is between 47 and 52 percent and the rat and
10 the rabbit is -- is really only -- two mammalians is only
11 58 percent. So it's -- it is in the right ballpark, I
12 guess, is the best way to say it.

13 NEXT SLIDE

14 DR. PADILLA: Now, most of what I've talked about
15 is hazard. And I know most of what you all are interested
16 in is hazard ID. But I also would like to talk a little
17 bit about exposure considerations in zebrafish, because
18 most of the studies that we -- we conduct and most of the
19 studies that are in the -- in the literature are emersion
20 type of exposure. So you're taking the animal and you're
21 putting the larvae or the embryo into the solution and you
22 know what the concentration of the chemical is in the
23 solution, but you do not know what the chemical
24 concentration is in the zebrafish. And sometimes it's a
25 lot less and sometimes it's a lot more, and rarely is it

1 the same concentration that is in the solution.

2 And so it's really important, especially if you
3 want to do a risk characterization, that you understand
4 what the dose is to the zebrafish. And so how can a
5 zebrafish embryo larvae be exposed to the chemical? Well,
6 obviously it's being exposed dermally to the chemical, so
7 the chemical can partition into the -- into the embryo
8 just like it crosses any type of membranes.

9 It can also partition into the yolk. And then as
10 the zebrafish grows it absorbs -- the embryo grows, it
11 absorbs whatever is in the yolk. And this is something
12 that can happen. And the yolk is, in general, a more
13 lipophilic type of environment that maybe the embryo is.
14 After about three to four days, the zebrafish can be
15 exposed orally. So the -- the chemical -- the zebrafish
16 begins to take gulps of the surrounding solution by about
17 four days post -- post-development.

18 You can expose them by injecting the chemical
19 directly into the zebrafish. This is done for some
20 chemicals that don't -- aren't absorbed well by the
21 zebrafish, but rarely ever, and it's not really applicable
22 in a screening context. And the zebrafish gills don't
23 really develop until about 10 to 14 days. And so if
24 you're exposing an embryo, and assets assessing the
25 larvae, then you're not going to get much exposure at all

1 through the gills. Although it's a very efficient way in
2 adult -- in adult zebrafish for the exposure to take
3 place.

4 NEXT SLIDE

5 DR. PADILLA: So we do know that the physical
6 chemical characteristics of the zebrafish are -- do
7 determine how much of the chemical is absorbed by the
8 zebrafish. So this is a study that was done where our
9 laboratory and also Robyn Tanguay's laboratory tested
10 basically exactly the same library. Now, their -- their
11 protocol is a bit different from ours. They dechorionate,
12 but they only dose once. We don't dechorionate, but we do
13 dose every day. We renew the solution every day.

14 So we're looking at the distribution of the log
15 P, which is the octanol water partition coefficient in the
16 library that we tested. And you can see it was a pretty
17 wide distribution. And now we're looking at the
18 distribution of the -- of the chemicals that tested
19 positive, that we saw changes in development. And the
20 red, of course, is the chemicals that -- that we saw as
21 positive and the blue is ones that -- that Oregon State
22 saw as positive.

23 And, in general, the distribution is the same,
24 that chemicals that have a log P below about minus one or
25 above about eight probably are not useful. They're --

1 they're either too hydrophobic or too hydrophilic for
2 testing in an emersion type of situation. So that can
3 make a difference with regard to whether you can test the
4 chemical or not.

5 NEXT SLIDE

6 DR. PADILLA: We have also other aspects that can
7 affect dose in zebrafish. You can have whether the
8 chemical is present in the surrounding solution. So as
9 long as a chemical is there, the zebrafish is probably
10 going to absorb it. But as soon as the chemical is not
11 there any more, basically it is going to be dep --
12 depurated. It is going to leave -- of course, the -- it's
13 going to reach a new steady state. It's going to leave
14 the fish and enter into the solution.

15 As I mentioned before, there can be hepatic
16 activation of the -- of the chemical. It can be hepatic
17 deactivation. The age of the time of the exposure
18 determines how much is absorbed by the -- sometimes it's
19 not only the presence of the chorion or not, but sometimes
20 even if you expose them for the same number of hours,
21 certain -- certain number -- certain developmental --
22 developmentals -- certain developmental stages can -- will
23 tend to absorb or not absorb the chemical. The duration
24 of the exposure is also very important.

25 Some chemicals like ethanol and nicotine will

1 reach steady state in minutes, whereas other chemicals
2 will take days to reach steady state. Also, the chemicals
3 can induce enzymes. And so the -- it could be that they
4 induce enzymes that metabolize them or they could induce
5 enzymes that actually pump them out of the cell.

6

7

NEXT SLIDE

8

DR. PADILLA: But -- and I don't want to make
9 this sound absolutely horrible. So even though this is
10 very complicated, there's been some real progress in
11 developing models that predict what the dose of the
12 chemical is, what the internal dose of the chemical is in
13 zebrafish. And these are some examples of that.

14

And so I'd like to thank you all for -- for your
15 attention. And I would sort of like to summarize by
16 saying that I think -- I think getting consistent results
17 for developed -- screening for developmental toxicity in
18 zebrafish is -- we're not only getting consistent results
19 within a laboratory, but also I think we're getting
20 consistent results among laboratories, and the comparison
21 with the mammalian data so far is -- is reassuring. And
22 so I will be glad to consider any questions or comments
23 and just remark that this -- this is a T-shirt that I
24 found on Etsy that I thought was really interesting. I
25 haven't ordered one for myself yet, but I might, so -- but

1 I'll be glad to answer any kind of questions or comments
2 that you all have.

3 CHAIRPERSON LUDERER: Well, thank you very much,
4 Dr. Padilla for that wonderful presentation. We have some
5 time now for clarifying questions, about five minutes, and
6 then we'll get into our discussion which -- for which we
7 have -- with both Dr. Draper and Dr. Padilla for which we
8 have 30 minutes allotted.

9 DR. PADILLA: Okay.

10 CHAIRPERSON LUDERER: So, please again raised
11 your hands as before for -- with any clarifying questions.
12 I will try to keep an eye out here for everyone.

13 Maybe -- Dr. Baskin, go ahead.

14 COMMITTEE MEMBER BASKIN: That was also a
15 fantastic presentation. I feel like I'm at developmental
16 biology meeting and just learning. The question I have
17 is -- and I think I may have just missed this, so, you
18 know, I'm a pediatric urologist and I'm kind of focused on
19 genital development. And here, Dr. Draper gave some
20 nice --

21 DR. PADILLA: Yeah.

22 COMMITTEE MEMBER BASKIN: -- as well yourself on
23 kind of sexual differentiation. What are the major
24 endpoints that the zebrafish community kind of considers,
25 you know, super important? You know, like I saw the eyes.

1 I saw the cardiac development. I'm kind of seeing, you
2 know, the development of like the tail and the whole fish.
3 It looks like there's kind of a liver there. There's
4 clearly an ovary which is very, very impressive, you know,
5 compared to the testes, you know, but the genital
6 development was -- was super subtle. It's kind of a
7 global question.

8 DR. PADILLA: So we try to do our experiments and
9 finish our experiments by day five -- day six. And by --
10 at that time, you cannot -- you can't really tell at all
11 what this is -- is this going to be a male or a female.
12 You cannot -- you cannot discern that. You can -- I mean,
13 the types of endpoints that -- that most people look at,
14 they -- sometimes they look at earlier endpoints and
15 earlier in the development, but at day six, you're mostly
16 looking to see, you know, is -- has the animal -- is it
17 showing a curved spine, is it showing any kind edema,
18 either pericardial or yolk edema, have they absorbed their
19 yolk, is the eye, the head - things like that - is that --
20 is that normal?

21 I mean, having looked at thousands of animals
22 that have been treated with chemicals, those -- it is a
23 very generic type of report out at that point. There's
24 only -- I mean, I often say this. There's only just so
25 many ways that the development in the zebrafish can go

1 wrong, and it doesn't necessarily tell you about the
2 mechanism. There are a couple of -- I think, there's a
3 couple of chemicals that will affect the notochord
4 development and sometimes you see a wavy notochord. There
5 are some chemicals, as I mentioned before, that affect
6 hatching. Hatching is actually kind of a complicated
7 process, but most chemicals just show -- show just
8 something went wrong, I guess, is the best way. And I --
9 at this point, it's six days, and I am not a reproductive
10 biologist. I don't think there's anyway to tell if the
11 animal has had some sort of misdevelopment with regard
12 to -- with regard to reproductive organs.

13 DR. DRAPER: Yeah, the -- the -- the earliest
14 what anyone has ever recorded gene expression differences
15 is at around 14 days.

16 DR. PADILLA: Yeah.

17 DR. DRAPER: I think -- I think that's kind of
18 early, but -- but that would be where you'd -- the
19 earliest signs of skewing one direction to the other for
20 male versus female would occur.

21 CHAIRPERSON LUDERER: Thank you.

22 Dr. Auyeung-Kim has her hand up. Go ahead.

23 Oh, You're muted.

24 DR. PADILLA: Yeah.

25 COMMITTEE MEMBER AUYEUNG-KIM: Can you hear me

1 now?

2 CHAIRPERSON LUDERER: Yes.

3 DR. PADILLA: Yes.

4 COMMITTEE MEMBER AUYEUNG-KIM: Okay. Thank you
5 for the wonderful presentation and very detailed. My
6 question is about the hepatic activation and deactivation
7 that you mentioned. And I was just wondering how is --
8 has there been a comparison made between, you know, the --
9 whether the -- the metabolism would be -- how similar the
10 metabolism is to that of the mammals?

11 DR. PADILLA: Is that I do know that the P450
12 complement is very similar to animals and they have all
13 the same classes that the -- that the mammals have. I
14 don't know -- I do know that there has been some plans to
15 publish on that. I am not aware of whether it's been
16 published or not, but there was a lot of effort to look at
17 the metabolic profile, not necessarily the genetic
18 profile, but the metabolic profile.

19 And so far, I mean, just from my own -- from my
20 own experience in looking at the chemicals, I do know that
21 they are able to activate many of the OP chemicals -- many
22 of the OP chemicals -- organophosphate chemicals that
23 require hepatic activation for real potency. But I -- I
24 don't know -- I don't know about the other aspects of it,
25 but it is quite similar.

1 COMMITTEE MEMBER AUYEUNG-KIM: Okay. Thank you.

2 CHAIRPERSON LUDERER: Dr. Plopper.

3 COMMITTEE MEMBER PLOPPER: Yeah. I just -- the
4 thing that concerned me is that this bioactivation that
5 was just brought up, do these animals have kidneys and
6 they're -- and a number of other organs that also bioact
7 in mammals, how is that addressed with this model?

8 DR. PADILLA: You know, I don't know that anybody
9 has looked at -- they do have -- they do have -- they're
10 not -- they're not called -- they do have kidney-like
11 organs, I guess. Yeah. They even have gallbladders,
12 which to me, for some reason, seems amazing, but -- so I
13 don't know about the activation potent -- I don't know
14 about the metabolic potential of the other organs, but
15 they do have a liver that comes online about two days
16 post-fertilization.

17 COMMITTEE MEMBER PLOPPER: Well, what do they
18 have -- I don't know what you want to call them, some kind
19 of -- let me think now, how do they absorb oxygen?

20 DR. PADILLA: They absorb oxygen by diffusion for
21 about the first 10 to 14 days, and then their gills come
22 online and they begin to absorb it through their gills.

23 CHAIRPERSON LUDERER: Okay. Thank you. I did
24 have a question, which is when you were look -- sharing
25 the data about the consistency among labs, whether that

1 analysis adjusted for -- it sounds like there's two main
2 lab strains and did it make a difference which strain of
3 zebrafish you were exposing to the chemicals. Like did
4 that improve -- you know, if you only looked at the same
5 strain, would it improve the consistency?

6 DR. PADILLA: Oh, gosh, so that was from many,
7 many different laboratories --

8 CHAIRPERSON LUDERER: Um-hmm.

9 DR. PADILLA: -- and so they're all using various
10 strains. And one of the things -- I don't want to make
11 this any more complicated than it has to be. But one of
12 the things that happens if you're rearing fish in the
13 laboratories, you go through many -- you go through about
14 four generations. You can go through quite a few
15 generations each year. And if you're not careful to
16 outbreed your animals, then your strain is going to become
17 more and more sort of institution specific.

18 CHAIRPERSON LUDERER: Um-hmm.

19 And so even though you say you're working with
20 the same -- like I'm working with AB or you're working
21 with WIK, it doesn't mean that it's exactly the same
22 strain. However, we have compared our strain to other
23 strains and find that it is most like the AB strain with
24 regard to behavioral characteristics, not necessarily
25 sensitive to chem -- sensitivity to chemicals. But, you

1 know, were you're com -- in the -- in the graphic that I
2 showed where we were comparing our data to OSU data, those
3 are probably two vastly different strains, but yet the --
4 the amount -- I mean, the -- they -- we're picking up many
5 of the same chemicals.

6 So I -- I don't know -- there has been some
7 research on strain and effect on chemicals. And there is
8 some difference, but usually you have to think about is it
9 whether you're going to call it a hit, in other words, is
10 the chemical toxic to zebrafish, or at what dose. And so
11 in general, calling the chemical a hit is not going to be
12 as strain specific as the actual sensitivity to the
13 chemical.

14 So we handle strain a bit differently in our --
15 we -- we do our best to outbreed our animals as much as
16 possible. When we breed the -- each time we have to raise
17 up a new parental generation. We take it from all the
18 different ages that we have. At least once a year, we
19 order another completely different strain from some place
20 and mix it in with ours. And so we try to keep our --
21 we've gone towards the randomization aspect of it rather
22 than specificity aspect of it.

23 CHAIRPERSON LUDERER: Great. Thank you.

24 Let's see, I'm not seeing any more raised hands,
25 unless, Dr. Plopper, did you have another question?

1 COMMITTEE MEMBER PLOPPER: No, I'm fine. I just
2 didn't lower my hand.

3 CHAIRPERSON LUDERER: Okay. All right. Just
4 wanted make sure.

5 Okay. So now we're going to start with the
6 Committee discussion, the part one of that, with Drs.
7 Draper and Padilla. And so we -- you know, just to kind
8 of get the discussion going, we have some questions to
9 think about. And so one of those is in setting up an
10 experiment using zebrafish, how many adult fish of each
11 sex would you typically start with as a source of ova and
12 sperm. And either Dr. Draper or Dr. Padilla could --
13 could maybe -- maybe start responding to that.

14 Whoops, I think Dr. Padilla -- go ahead. Now we
15 can hear you.

16 DR. PADILLA: In a screening context, we usually
17 start with a lot of fish and a lot of embryos. We have
18 group -- I don't know, we probably start with 30 or 40 of
19 each sex. And we have multiple ages, parental ages, that
20 we -- that we mate at the same time and we take samples
21 from -- from the eggs that were produced by each group of
22 parents. So again, we're -- we're trying to -- to
23 basically randomize things as -- as much as possible.

24 If you -- if you do a one-on-one type of mating,
25 you -- first of all, it's a lot of trouble, because you

1 would have to mate a lot in order to get the thousands of
2 embryos you need to start with to set up the screening
3 context, so -- and -- and it's much less successful when
4 you use fewer fish. So anyway, that's -- that's what we
5 would use, because we need -- we need quite a few embryos
6 at each go.

7 CHAIRPERSON LUDERER: Um-hmm. Thank you.

8 Dr. Draper, did you have anything to add to that?

9 DR. DRAPER: I -- I don't have anything to add to
10 that. As I -- as I said I -- my lab has not done a, you
11 know, classic toxicology screen.

12 CHAIRPERSON LUDERER: Okay. I see that Dr.
13 Allard had his hand raised.

14 COMMITTEE MEMBER ALLARD: Yeah. I wanted to
15 circle back to that question of compare -- comparability
16 between laboratories, both from a toxicity standpoint as
17 well as from a endpoint measurement. So it's about
18 standardization of practices across laboratories so that
19 we can really understand differences that may emerge
20 between studies. So the first part of the question is
21 toxicology focused and less really relying on this paper
22 from Windy Boyd is the first author in EHP that relied on
23 your data, Dr. Padilla, and compared it to the Tanguay
24 lab's data, and -- and saw a -- a decent but partial
25 overlap between -- between labs. And then in the paper

1 they sort of talk about, you know, maybe it's the way that
2 the -- the data is analyzed, I think. I mean -- I guess
3 my question is where does the difference come from?

4 And then I'll have a second partner for both of
5 you after that about standardization about endpoint
6 Measurements, but maybe we can start with the
7 toxicological angle.

8 You're muted.

9 DR. PADILLA: Got it. So the data that I showed
10 that compared our data to Tanguay Laboratory on that graph
11 with the physio -- physiochemical characteristics, we
12 analyzed that data the same way. So it's different from
13 the Windy Boyd paper. So we -- we took their data and our
14 data and put it through the same analysis program. And on
15 that one there, we did tend to get more hits. And from
16 that, we interpreted it as dosing every day tended to give
17 you more hits than the dechoriation aspect, because that
18 was really the difference. I mean, there was some strain
19 different, but I -- I don't think a strain difference
20 would make a difference in whether you called it a hit or
21 not. And that's basically what -- what that aspect was.

22 The Windy Boyd paper, there were differences in
23 the analysis. There was quite a few differences in the
24 analysis when she was comparing those data, and that's why
25 we took it through the same type of analysis paradigm to

1 look at it.

2 I -- you know, we -- we are very concerned about
3 differences in laboratory, and I think -- especially for
4 behavioral assessments, but for doing developmental
5 assessments, I have been -- I have been participating in
6 OECD work group where we've been looking at developmental
7 and behavioral assessments. In general, the developmental
8 assessments of the chemicals among the laboratories is
9 much more consistent than the results from the behavioral
10 assessment, so --

11 COMMITTEE MEMBER ALLARD: Okay. That was -- that
12 was my question about -- about standardization of
13 measurements of behavior or other endpoints that often are
14 done in alternate ways, but some people sort of design
15 things in-house, some people use --

16 DR. PADILLA: That's right. That's right.

17 COMMITTEE MEMBER ALLARD: -- sort of commercial
18 platforms. And I was wondering whether there's been
19 some -- some common agreements --

20 DR. PADILLA: Well, I mean, that's --

21 COMMITTEE MEMBER ALLARD: -- about benchmarks
22 that need to be met for those -- for those things to be
23 used.

24 DR. PADILLA: Yeah. No. And actually, we just
25 finished writing a paper and submitting a paper just -- we

1 reviewed the literatures just trying to figure out all the
2 differences in -- in approaches, and it's -- and it's
3 really scary. And this is for behavioral measurements.
4 And it's not only approaches, it's reading a paper and
5 trying to figure out what they did. The reporting is --
6 is something that we need to get a lot better in order to
7 be able to determine if these two papers did run the assay
8 in the same way or didn't run the assay in the same way.
9 So I completely agree with you, yeah.

10 COMMITTEE MEMBER WOODRUFF: Yeah. Can I just
11 follow up on that -- on that question.

12 CHAIRPERSON LUDERER: Yep.

13 COMMITTEE MEMBER WOODRUFF: -- which is I think
14 one of the things that we found when we're doing this is
15 that people compare active/inactive, which is not -- which
16 can skew your comparisons and don't compare like a
17 benchmark dose across assays. Have you done this and
18 compared the difference, because the benchmark dose tends
19 to be a better reflection of the experimental dose
20 response --

21 DR. PADILLA: Right. Right.

22 COMMITTEE MEMBER WOODRUFF: -- and you get better
23 comparability when you use --

24 DR. PADILLA: Yeah, and so the data that --

25 COMMITTEE MEMBER WOODRUFF: -- do have -- I think

1 that alludes a little bit to the statistical analysis
2 component of it.

3 DR. PADILLA: Yeah. And so the data that I
4 showed with the physiochemical characteristics and how
5 well it corresponded was a re -- basically a -- I guess, a
6 benchmark dose type of calculation. So it wasn't limited
7 by the doses that were chosen. It wasn't like a LOEL or
8 something like that, yeah.

9 COMMITTEE MEMBER WOODRUFF: Okay. Great. Thank
10 you.

11 CHAIRPERSON LUDERER: Dr. Pessah, you have a
12 question.

13 COMMITTEE MEMBER PESSAH: Yeah. I still want to
14 get back to this issue of testing compounds at the
15 extremes, where, you know, many of the persistent organic
16 pollutants that are thought to be developmentally toxic,
17 and, in particular, neurotoxic, they have to be in
18 solution to get past the chorion. I mean -- and I -- I
19 found many studies that I've reviewed where the dose
20 response and the EC 50, whatever the measurement was at
21 endpoint, were well above the solubility limit of the
22 compounds in aqueous solution. Now, granted maybe the
23 solutions that you're using have components in it that are
24 analogous to serum proteins, which can help the compound
25 get in if the pores are large enough. But could you

1 address that, because that really speaks to how seriously
2 you should take some of these results.

3 DR. PADILLA: So most people that do the testing
4 do look at the solubility characteristics and shouldn't be
5 testing above the solubility of the chemical. Is that --
6 is that what you're saying.

7 COMMITTEE MEMBER PESSAH: I just read on -- I
8 just read a paper on benzophenones, which are virtually
9 insoluble in water and they got all sorts of results. And
10 so I'm -- it left me wondering how do you interpret those
11 results?

12 DR. PADILLA: And they're not measuring --

13 COMMITTEE MEMBER PESSAH: Again --

14 DR. PADILLA: -- they're not measuring the level
15 of the chemical in the animal.

16 COMMITTEE MEMBER PESSAH: They are not.

17 DR. PADILLA: It sounds like -- it sounds like
18 that would be the next question, right --

19 COMMITTEE MEMBER PESSAH: Yeah.

20 DR. PADILLA: -- how much of it got into the
21 animal?

22 COMMITTEE MEMBER PESSAH: But do many studies
23 actually take the expense of sending off extracts to -- I
24 mean, is that routinely done so that one could --

25 DR. PADILLA: Well, no, but that's why they need

1 to work within the solubility characteristics of the
2 chemical I guess.

3 COMMITTEE MEMBER PESSAH: Okay.

4 DR. PADILLA: No. There are some -- there are
5 some -- there are some companies that do that, that will
6 test your chemical and also determine, because they want
7 to find out if the chemical is negative -- this is mostly
8 European, but they want to find out if the chemical causes
9 adverse effects in the developing vertebrate, so they send
10 it. And if it doesn't, then they also need to ascertain
11 the chemical got into the animal. And they -- they do
12 that type of analysis, but -- and in eco -- in
13 ecotoxicology, they do spend a bit more time looking at
14 whether the chemical is in solution and how much of it is
15 in solution and working below the solubility
16 characteristics of the -- it's more so than in mammalian
17 hazards ID, but, you know, think is a -- I this is a
18 very -- a very valid concern.

19 COMMITTEE MEMBER PESSAH: But is there -- are
20 there steps being taken to try to get that more
21 standardized in terms of either normalization to internal
22 dose or, you know, having a factor that you use in if, you
23 know, you try --

24 DR. PADILLA: Well, I mean, as -- as -- sort of
25 what I was talking about towards the end is there -- there

1 are people that are developing models that should be able
2 to at least do a pretty good job of predicting how much of
3 the chemical -- how -- what is the bioconcentration
4 factor, how much of the chemical is in the -- in the
5 embryo after a certain time -- after a certain type of
6 exposure?

7 COMMITTEE MEMBER PESSAH: That's important.

8 DR. PADILLA: Yeah.

9 CHAIRPERSON LUDERER: Okay. Thank you.

10 We have a -- kind of another aspect we can turn
11 to to discuss and to -- in our discussion, which is, you
12 know, whether -- and I think you -- you mentioned this a
13 little bit, Dr. Padilla, but I think we could have more
14 discussion about it, whether the potential parental
15 contribution is considered in study design or data
16 analysis. And you mentioned that you really try to
17 randomize that when you're doing these high throughput
18 screens. But, you, know how -- you know, or do other --
19 you know, and perhaps do other groups, kind of getting
20 into what is sort of the common practice potential -- you
21 know, this type of parental contribution considered in the
22 test group assignments or are all embryos just considered
23 the same equivalent?

24 DR. PADILLA: Yeah. From what I know with
25 screening large libraries, the -- the approach is to view

1 all embryos as the same.

2 CHAIRPERSON LUDERER: So there's no consideration
3 to parental -- whether -- which parents they came from?

4 DR. PADILLA: Well, you won't know that unless
5 you do a one-on-one type of mating.

6 CHAIRPERSON LUDERER: Um-hmm.

7 DR. PADILLA: And that is extremely inefficient
8 for obtaining the number of embryos that you need.

9 CHAIRPERSON LUDERER: Right. So the key thing is
10 really that you're mating many fish and then you're
11 random -- and you're basically randomizing the embryos
12 from those --

13 DR. PADILLA: Yeah.

14 CHAIRPERSON LUDERER: -- parents.

15 DR. PADILLA: Yeah. And I frankly don't know how
16 much the results would differ, if you did one-on-one type
17 of mating. I don't -- we don't see a lot of variability.
18 I mean, I don't know if you noticed it, but we're -- we're
19 dealing -- when we do these types of developmental
20 studies, we can run an N of three to six and have a very
21 good repeatable idea of what it's going to be in two years
22 with a completely different group of fish.

23 CHAIRPERSON LUDERER: Um-hmm. Um-hmm.

24 DR. DRAPER: Where it -- where it may make a
25 difference is if sex is being used as an endpoint --

1 DR. PADILLA: Yeah.

2 DR. DRAPER: -- because it has been shown that
3 although, you know, you generally get 50/50, 40/60 sex
4 ratios, if you made a single pair repeatedly, they will
5 give very similar sex ratios from mating to mating that
6 may be different from another pair. So there is, at least
7 in the domesticated line, although there isn't a strong,
8 you know, sex determinant, there are definitely loci
9 that -- multiple loci that can affect sex.

10 So -- but -- but if I were using sex as an
11 endpoint, I would do what Dr. Padilla does, which is
12 basically, you know, use a very large randomized mating
13 and just combine all those together, so that you basically
14 have the average sex ratio of the -- of those fish.

15 CHAIRPERSON LUDERER: Yeah. I mean, I -- yeah, I
16 think this comes up when, you know, we're thinking about
17 mammalian studies, we usually -- if we're doing any kind
18 of departmental exposure, you know, correct for litter
19 effects, right? So we do some sort of statistical
20 adjustment for that. And so, I mean, it sounds like
21 that's --

22 DR. PADILLA: Oh.

23 CHAIRPERSON LUDERER: -- or it should do that.

24 DR. PADILLA: Well, actually, I mean -- well,
25 this gets us off on a whole nother tangent. If you raise

1 those embryos in a -- in -- together in a solution -- this
2 is why we put one per well. If you raise those embryos
3 together in a solution, they -- they have an effect on
4 each other and you really need to do statistics. I mean,
5 if you're raising 50 embryos in a petri dish, let's say,
6 then that needs to be your litter, that needs to be your
7 statistical litter --

8 CHAIRPERSON LUDERER: Um-hmm.

9 DR. PADILLA: -- because there's some really good
10 data to show that the condition of one embryo may affect
11 the condition of the other embryos.

12 CHAIRPERSON LUDERER: Um-hmm.

13 DR. PADILLA: And so we don't have that maternal,
14 but we do have the environmental contribution, so that is
15 something you do need to worry about and to consider when
16 you're looking at the experimental design. Yeah.

17 CHAIRPERSON LUDERER: Dr. Allard, I see your hand
18 is raised.

19 COMMITTEE MEMBER ALLARD: Yeah, so I -- we had
20 just talked here about potential -- in some cases, some
21 studies that need higher numbers. So I guess a very basic
22 question then, when I review literature involving
23 zebrafish of those early stages for reproduction, what is
24 a well-powered study? What is a good number of animal
25 that would make the data appear more sound? Is that -- is

1 it really too study dependent?

2 COMMITTEE MEMBER WOODRUFF: Well, I -- can I just
3 like -- isn't there partly though, like when you were
4 showing that data between EPA and Oregon State, I mean
5 part of it is length of exposure, right, like more chronic
6 exposure resulted in more robust findings from the
7 results, right? And it -- it's not -- it's partly about
8 the number of embryos, though --

9 DR. PADILLA: Well -- okay. So in that study,
10 they had 32 embryos per concentration. We had --

11 COMMITTEE MEMBER WOODRUFF: Right.

12 DR. PADILLA: We had five.

13 COMMITTEE MEMBER WOODRUFF: But you saw --

14 DR. PADILLA: But we were dosing every single
15 day.

16 COMMITTEE MEMBER WOODRUFF: Right.

17 DR. PADILLA: They did not dose every single day.

18 COMMITTEE MEMBER WOODRUFF: Right.

19 DR. PADILLA: And so I think that -- so it
20 wasn't -- they exposed -- we actually exposed for the same
21 amount of time, except they just dosed once. And then we
22 just -- we renewed the solution. So, I guess, that's -- I
23 mean, it -- and also there's was at a different
24 temperature than ours was, so -- so there are -- there
25 were some differences between them. And we don't really

1 know which one contributed to, that all -- although I do
2 know that there are people that are trying to decipher
3 this out. You know, is it dosing every day? Is it
4 removing the chorion? Is rearing them at 28 instead of
5 26? So there's -- there's various aspects that you could
6 look at, but -- okay.

7 COMMITTEE MEMBER WOODRUFF: Yeah. Well, I guess
8 what I would say is like that gives you a fine point on
9 the level of the dose response, but in general what you
10 were seeing is there was a response, though at different
11 gradations, depending on some of these -- obviously
12 experimental factors are important --

13 DR. PADILLA: Yeah.

14 COMMITTEE MEMBER WOODRUFF: -- for that, but
15 you -- it looked like from that -- those study results,
16 except for the issue about the (inaudible) which seemed to
17 influence --

18 DR. PADILLA: Yeah, both ends.

19 COMMITTEE MEMBER WOODRUFF: -- the findings, that
20 there was -- I mean, if you looked at the correlation
21 between the responses, it looked like it would be very
22 high. I'm not sure if you did that, but...

23 DR. PADILLA: No, we didn't do that.

24 COMMITTEE MEMBER WOODRUFF: Yeah.

25 DR. PADILLA: This was for a methods paper. So

1 Patrick was asking what was the number. I don't -- I
2 don't know what -- I feel -- so when we first started --
3 maybe this is too much, but when we first started testing
4 the ToxCast chemicals, we had three -- 400, 500 tests. I
5 was very worried, because we were using two to three
6 animals at each concentration. And the statistician
7 explain to me that to calculate the EC 50, you're just
8 looking at where it changes, right? So you've got
9 nothing, nothing, nothing, happens, and all of a sudden
10 everything happens, and then -- then at the higher doses,
11 there -- it's all lethal.

12 And so to calculate that EC 50, you're looking
13 for that change. And that's a bit different than if
14 you're looking for -- if you need to have data to
15 calculate a BMD. So for that, that data calculation
16 requires more -- more doses, more animals in the area
17 where the change is occurring, so you can accurately
18 cal -- calculate that BMD, so --

19 COMMITTEE MEMBER WOODRUFF: Well -- yeah. No, I
20 agree. I just would say though -- I mean, your EC 50 is
21 like a BMD, it's just a BMD 50 not at BMD 10, or 5, or
22 something like that.

23 So I mean, I think your --

24 DR. PADILLA: Okay.

25 COMMITTEE MEMBER WOODRUFF: -- your earlier point

1 about it didn't -- we didn't actually have to have too
2 many of these, I guess, embryos to see some -- to at least
3 identify the 50 percent response, right --

4 DR. PADILLA: Yeah, because --

5 COMMITTEE MEMBER WOODRUFF: -- is that what
6 you're saying?

7 DR. PADILLA: Yeah, because it -- because usually
8 nothing happens at the lower doses, then everything
9 happens. You see malformations and then quickly you've
10 moved on to death usually.

11 COMMITTEE MEMBER WOODRUFF: Right.

12 DR. PADILLA: There are some chemicals like the
13 pyrethroids, which gets back to the solubility question,
14 where you never quite reach lethality. It's just sort of
15 probably because you can only get so much of the chemical
16 in solution, and after that, nothing -- nothing -- you
17 don't get any increase in solution.

18 And it was really interesting. We looked at some
19 mixtures, some chemicals that tended to be mixtures, and
20 you saw, in general, a very protracted dose response
21 curve, that there were many -- there was much longer --
22 you know, many of those dose responses that I showed you
23 were very quick. Within two or three concentrations,
24 you've gone from normal to absolutely lethality, so you
25 have to be able to catch it basically, if you want to do a

1 good calculation. I mean, it's a very -- in some ways,
2 it's a very gross assay. You're going from control to
3 death in most cases.

4 CHAIRPERSON LUDERER: Okay. Thank you.

5 COMMITTEE MEMBER WOODRUFF: I guess I -- can I
6 just ask a question, but you did -- you did say that you
7 can measure different developmental aspects, right, of
8 the --

9 DR. PADILLA: Of the malform, yeah. And so what
10 happens usually is you get a -- you get a dose or doses,
11 we're nothing much is happening, and then the in-between
12 doses you begin to see the malformations.

13 COMMITTEE MEMBER WOODRUFF: Right. Okay. Thank
14 you.

15 DR. PADILLA: And then -- then the -- maybe a
16 dose or two higher than that. I mean, these are half log.
17 So a dose or two higher than that, you're beginning to see
18 mostly lethality, yeah. And sometimes you see -- I mean,
19 I -- sometimes you see, at the higher doses, the lethality
20 occurring earlier, and earlier, and earlier. I mean,
21 there could be also a time component of it too.

22 CHAIRPERSON LUDERER: Okay. It looks like we
23 have a couple more hands raised. Thank you.

24 Dr. Auyeung-Kim.

25 I think you're muted still.

1 I think you're still -- we can't hear you. Yeah.

2 COMMITTEE MEMBER AUYEUNG-KIM: Sorry. I'm doing
3 the -- the phone mute and computer mute -- unmute.

4 So I was just wondering with the -- you know, you
5 were talking about like with the doses that you go -- you
6 know, you see low levels. You don't see anything go
7 higher and then you see lethality. How much of that has
8 to do with like the different conditions that might be at
9 each lab? You know, like you mentioned during your talk
10 that there are some labs that, you know, did use different
11 temperatures, some, you know, manipu -- or some, you know,
12 keep the chorion and others don't, does -- how much of
13 that can influence the toxicity -- the developmental
14 toxicity that is observed?

15 DR. PADILLA: I don't know, but I would guess,
16 because we have -- we have fiddled around with this a
17 little bit by changing temperatures and also changing
18 whether we dose once or whether we dose multiple times.
19 In general, it does not affect whether you would call the
20 chemical a hit or not. In general, it -- it doesn't --
21 that doesn't change too much, but the dose -- the
22 effective dose, or the BMD, or the LOEL is what is usually
23 affected when you change those. But it -- it may. I
24 mean, I haven't tested all the chemicals in all the
25 different protocols, and so it's hard to tell, yeah.

1 COMMITTEE MEMBER AUYEUNG-KIM: Understood.

2 DR. PADILLA: But I think -- I think if you came
3 up -- if a chemical came up completely negative, it is
4 likely it would be com -- there's -- there's not very much
5 you could do to the protocol to make it a positive, but I
6 don't know that. I mean, what situation are you worried
7 about? Are you worried about the dose or are you worried
8 about whether the chemical causes overt changes in
9 development?

10 COMMITTEE MEMBER AUYEUNG-KIM: Well, I guess,
11 it's over the dose and whether or not you'll see -- you
12 know, because we make the decisions on, you know, whether
13 the dose is going to -- or whether the chemical is going
14 to be a reproductive toxicant.

15 DR. PADILLA: Yeah.

16 COMMITTEE MEMBER AUYEUNG-KIM: And so the dose
17 kind of -- because if you dose too low, then you're not
18 going to see it, then you may have the -- you know, make a
19 different decision versus if you do see something. But
20 then if we do see something, is it because it was, you
21 know, a super high dose?

22 DR. PADILLA: Yeah. Yeah. I mean, we only go as
23 high as 100 micromolar. That is -- that is our highest
24 dose, and -- but you see papers that are using millimolar
25 levels. And I mean, you have to figure out -- you have to

1 think about how real -- what -- how realistic that is
2 basically.

3 COMMITTEE MEMBER AUYEUNG-KIM: Yep. Thank you.

4 CHAIRPERSON LUDERER: Thank you. Dr. Draper, did
5 you have any other comments?

6 DR. DRAPER: (Shakes head).

7 CHAIRPERSON LUDERER: No. Okay. Dr. Pessah, you
8 have your hand raised?

9 COMMITTEE MEMBER PESSAH: Yeah. So I was
10 wondering if we could get some guidance when you are
11 working at high EC 50s, where you're clearly seeing either
12 behavioral or a morphometric change. But usually, those
13 are at much higher levels than you would ever see in,
14 let's say, serum or urine samples from humans that have
15 been exposed.

16 Do we --

17 DR. PADILLA: So are we --

18 COMMITTEE MEMBER PESSAH: Do we --

19 DR. PADILLA: Are we talking about internal dose
20 or are we talking about --

21 COMMITTEE MEMBER PESSAH: Do we use an
22 uncertainty factor in interpreting the zebrafish data,
23 much like we would do in a mouse study?

24 DR. PADILLA: I'm not a risk assessor, so I can't
25 answer that, but -- I mean, are you talking about internal

1 dose or are you talking about nominal dose, what's in the
2 water?

3 COMMITTEE MEMBER PESSAH: Well, I guess, we don't
4 have that data for most of the studies, the internal dose.
5 We have the external dose.

6 DR. PADILLA: Right, but pretty soon, there would
7 be models that you ought to be able to at least
8 guesstimate within an order of magnitude, I would guess,
9 what the internal dose will be in the zebrafish. So that
10 will help considerably, right?

11 COMMITTEE MEMBER PESSAH: Yeah, it would
12 actually. Thank you.

13 CHAIRPERSON LUDERER: All right. Let's see.

14 Kind of turning to another question that we can
15 continue our discussion thinking about. So studies
16 including in OEHHA's recent hazard identification
17 documents provide examples of similar biological systems
18 or pathways being -- being affected in both zebrafish and
19 mammals by a given chemical, but with different
20 directionality of response or with a different downstream
21 outcome. And so how do we consider differences as well as
22 similarities between species and these kinds of
23 evaluations? And either -- either one of you would like
24 to start with that or anyone else have any kind of
25 additional questions related to that?

1 DR. PADILLA: Well, that -- that appears to be
2 more of a risk assessment question, but do they want it to
3 be a biological question? I mean, I know from a risk
4 assessment standpoint, even if you're working with
5 mammals, it doesn't necessarily have to be the same --
6 exactly the same thing that's happening in rats or mice
7 to -- to inform the risk assessment in humans, right?

8 CHAIRPERSON LUDERER: Yes. Although, I mean, I
9 think -- I think, you know, there's more consistency among
10 studies, that definitely tends to strengthen, you know,
11 the evaluation of the association. If anyone else would
12 like to jump in on that. But it would be -- I mean, if
13 you do see -- I mean, I would think that for certain
14 endpoints, you don't have an exact, you know -- analogous
15 endpoint between a mammalian system and a zebrafish
16 system. So that's not always going to be possible to look
17 at exactly the same outcome either. I mean, that's one
18 consideration that -- you know, and the question is really
19 is there an effect? And it may not be the same exact
20 out -- downstream outcome, but there -- but there are
21 effects.

22 Dr. Woodruff.

23 COMMITTEE MEMBER WOODRUFF: Yeah. I'm wondering
24 if -- I mean, just to say that from those DART reviews, it
25 was a little hard to totally interpret, because I think,

1 as Stephanie was showing, sometimes the experimental
2 conditions can influence the exact findings, so -- and I
3 don't remember the papers from when we did those reviews,
4 but I -- I do think -- I think what you're saying,
5 Stephanie, is in -- there's a general concord -- or
6 actually maybe you -- you and Bruce can comment on --

7 DR. PADILLA: Yeah.

8 COMMITTEE MEMBER WOODRUFF: -- general
9 concordance of developmental effects compared to specific
10 concordance. So, for example, in cancer --

11 DR. PADILLA: That's right.

12 COMMITTEE MEMBER WOODRUFF: -- you -- I don't
13 know if actually zebrafish do get cancer and we aren't
14 doing that in this committee --

15 DR. PADILLA: They do.

16 COMMITTEE MEMBER WOODRUFF: -- but it -- for
17 example, when we look at animal and human concordance for
18 cancer there's -- in general, you can see concordance, but
19 the sites might be different.

20 DR. PADILLA: Um-hmm.

21 COMMITTEE MEMBER WOODRUFF: So maybe you could
22 speak to that for developmental and reproductive
23 endpoints.

24 DR. DRAPER: I can just comment on the
25 reproductive endpoints. You know, one of the main

1 differences between mammals and fish is that fish are very
2 easy to sex reverse. And so, you know, there's a lot of
3 ways that we can affect, you know, the production of these
4 signals that are required to maintain, for example,
5 femaleness, and so -- at multiple levels. You know, a
6 toxicant that affects the somatic gonad could cause sex
7 reversal. A toxicant that affects germ cell development
8 could cost sex reversal. So even though those toxicants
9 wouldn't cause sex reversal in mammals because we don't
10 sex reverse that easily, you know, that doesn't mean that
11 it's not hitting the same pathway. It's just that fish
12 are more labile and easier to get to flip. It --

13 COMMITTEE MEMBER WOODRUFF: Yeah, can I -- can I
14 just -- so are you saying that sex reversal could be an
15 indicator for a different type of sex-related effect in
16 humans? Is that --

17 DR. DRAPER: Absolutely.

18 COMMITTEE MEMBER WOODRUFF: Got it.

19 DR. DRAPER: And once we --

20 COMMITTEE MEMBER WOODRUFF: So sometimes the
21 mapping needs to be -- the outcomes may look different,
22 but the mapping or the general issue is the same.

23 DR. DRAPER: That's correct. So once, you know,
24 you see something that causes skewed sex ratios, then you
25 can, you know, from that determine what the cell type is

1 or system that's being affected, and probably more often
2 that not, it would be the same system in mammals. It's
3 just the -- the endpoint is going to be different.

4 COMMITTEE MEMBER WOODRUFF: Right. Thank you.

5 CHAIRPERSON LUDERER: Patrick, I see your hand it
6 raised.

7 COMMITTEE MEMBER ALLARD: Yeah. So I guess does
8 that go back to the point that was made earlier that
9 basically, zebrafish is a great sentinel species. If you
10 see ovotestis going on, in a -- in the fish, then it might
11 be even sign, indeed, that you would have probably a very
12 strong hormonal imbalance going on in other species?

13 DR. DRAPER: Yes, I agree with that.

14 COMMITTEE MEMBER ALLARD: Thank you.

15 CHAIRPERSON LUDERER: All right. And I'm
16 looking -- I don't see any other -- oh, Dr. Pessah, your
17 hand is raised or -- or did you forget to put it down?

18 Okay. All right. Then not seeing any other
19 raised hands, and I think we are just about at the time
20 point that was allotted for our lunch break, if I'm not
21 mistaken.

22 It's actually well past it apparently, so --
23 that's because we've been having such a wonderful
24 discussion and such great presentations. So I'd like to
25 thank both Dr. Padilla and Dr. Draper again.

1 And we will now -- do we want to take 45 minutes
2 for lunch or are we -- let me see. I'm going to look in
3 the chat and see if there's something about that.

4 Okay. It looks like -- no, we'll take our
5 45-minute lunch break as planned. And so -- let's see, I
6 have that it's 12:30 right now. So we would come back at
7 1:15, unless some -- one of the staff members wants to
8 change that.

9 Lauren, I see you cam on.

10 DIRECTOR ZEISE: I think that is fine, but I did
11 just want to ask that we have Carol provide us our
12 Bagley-Keene reminder.

13 CHAIRPERSON LUDERER: Yes. All right. Thank
14 you.

15 DIRECTOR ZEISE: Great.

16 CHIEF COUNSEL ROWAN: Hi. Thank you. I'd just
17 like to remind all of the members that during the breaks
18 you aren't allowed to talk amongst yourselves about the
19 subject matter of the meeting, so that includes once again
20 phone calls, texts, and chat. And my recommendation would
21 be that you also not talk to third parties regarding that
22 same information. So if you do, then you'll need to
23 disclose the fact that you had a discussion with someone
24 and give the general content of the discussion, so that
25 it's part of the public record.

1 So just generally, it's better not to chat --
2 it's just better to chat about something else over lunch.
3 And that's it for now.

4 CHAIRPERSON LUDERER: All right. Thank you. So
5 we'll see everyone at 1:15. Everybody have a good lunch.

6 Bye-bye.

7 (Off record: 12:30 p.m.)

8 (Thereupon a lunch break was taken.)

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 AFTERNOON SESSION

2 (On record: 1:15 p.m.)

3 PART II: BEYOND SCREENING: ZEBRAFISH AS A MODEL FOR
4 DEVELOPMENTAL MECHANISMS AT THE CELLULAR AND
5 MOLECULAR LEVEL

6 CHAIRPERSON LUDERER: All right. Welcome back,
7 everyone. It's 1:15. So, let's go ahead and begin our
8 afternoon session. So the part two session is entitled,
9 "Beyond Screening: Zebrafish as a Model for Developmental
10 Mechanisms at the Cellular and Molecular Levels".

11 And our afternoon speakers are Dr. Jennifer
12 Panlilio, Woods Hole Center for Oceans and Human Health,
13 and Dr. Don[SIC] Wagner from the University of
14 California -- Dan Wagner, excuse me, from the University
15 of California, San Francisco.

16 After their presentations, we'll have 30 minutes
17 for Committee discussions, like we did this morning, and
18 then we'll take a short break, and then move on to the
19 last part of the session, a discussion with the Committee
20 and all four of our invited speakers.

21 So I'd like to now introduce Dr. Jennifer
22 Panlilio from Woods Hole. She is -- her research
23 interests include circuit neuroscience and
24 neurotoxicology. Recent publications based on her
25 graduate work feature the use of zebrafish larvae to study

1 the effects the of an algae produced neurotoxin domoic
2 acid on outcomes of neurobehavioral and neuronal
3 development.

4 And her -- the title of her talk today will be
5 use of a Zebrafish model to investigate how low doses of
6 domoic acid affect the developing nervous system,
7 including windows of susceptibility, structural and
8 molecular changes in nervous system tissues, and links to
9 behavioral alterations.

10 Dr. Panlilio, welcome and thank you for speaking
11 today.

12 **PRESENTATION BY DR. JENNIFER PANLILIO**

13 (Thereupon a slide presentation).

14 DR. PANLILIO: Wonderful. Thank you so much for
15 that introduction. So let me share my screen. Please let
16 me know if you don't see the slides shortly.

17 CHAIRPERSON LUDERER: Yes, we see-- now, we see
18 presenter view. Perfect.

19 DR. PANLILIO: Wonderful. Okay. So it's a
20 pleasure to speak to you all about my research on
21 essentially how we can use zebrafish to identify the
22 developmental mechanisms of neurotoxicity. So for this
23 talk I'll focus primarily uncovering -- uncovering the
24 mechanisms of neurotoxicity that occur from exposure
25 particularly to a harmful algal bloom toxin known as

1 domoic acid.

2 NEXT SLIDE

3 DR. PANLILIO: But firstly, what is harmful algal
4 blooms and what's a harmful algal bloom toxin?

5 So it's probably familiar in the west coast, but
6 harmful algal blooms are these mass accumulations of algae
7 that are defined really by their adverse societal impacts
8 rather by -- than by any strict scientific definition.

9 So there are plenty of ways that harmful algal
10 blooms, or HABs, can cause harm. And so some of these
11 HABs produce toxins that directly affect human health.

12 NEXT SLIDE

13 DR. PANLILIO: And so one particular harmful
14 algal bloom toxin that I study is called domoic acid. So
15 this toxin is produced by a diatom. So this is a type of
16 phytoplankton that you see over here. And then shellfish
17 and other seafood we eat can then accumulate domoic acid
18 as they're filter feeding for these phytoplankton, which
19 in turn we then can consume.

20 And so when domoic acid is consumed in high
21 enough doses, particularly in adults, it can cause what's
22 known as amnesic shellfish poisoning. So Symptoms from
23 amnesic shellfish poisoning include gastro intestinal
24 issues, memory loss, coma, and even death in the most
25 severe cases.

1 behavioral phenotypes that are consistent with other
2 animal models that are acutely exposed to high doses of
3 domoic acid.

4 However, no zebrafish studies have been done up
5 until, you know, the -- we published ours. More lower
6 level doses, that may be potentially more relevant for
7 human exposures. And further, there was no previous
8 studies that looked essentially into those more potential
9 windows of susceptibility for exposures.

10 NEXT SLIDE

11 DR. PANLILIO: So knowing this, our studies
12 really wanted to address these knowledge gaps. And so
13 there were these three primary objectives. And so the
14 first is to identify specific windows or developmental
15 windows of susceptibility to domoic acid exposure, to
16 identify the functional consequences for behavior, and
17 then to determine whether there are specific cells types
18 that are targeted by exposures to domoic acid.

19 NEXT SLIDE

20 DR. PANLILIO: And so to accomplish this, and
21 this is what this meeting is about, right? We're -- we
22 utilize the strengths of the zebrafish model. So one
23 thing that's really nice is zebrafish have these really
24 simple and quantifiable behaviors. And so we've chosen
25 one behavior in particular where the neural circuits that

1 underlie these specific behaviors are very well
2 characterized. And so what that allows us to do is it
3 allows us to link that be -- potential behavioral deficit
4 to the underlying neural circuits that drive it.

5 And finally, we've also heard this, but they have
6 transparent larvae that develop externally. So what this
7 really allows us you to do is it allows us to directly
8 image cellular processes that may be perturbed over the
9 course of early development, and we can do this in
10 real-time as the animals are living.

11 NEXT SLIDE

12 DR. PANLILIO: So to identify the critical
13 windows of susceptibility, we exposed fish in discrete
14 periods in early development through a different method of
15 exposure than what you've heard about, but we've used
16 intravenous microinjection. And then we identified -- to
17 identify the mechanisms of toxicity, we then took this
18 multi-level approach where we measured the behavior as the
19 readout of toxicity and then assessed the potential
20 structural changes in the neural circuit that underlies
21 this behavior. And then finally, we linked these to
22 specific cellular targets and molecular effects from
23 exposures.

24 NEXT SLIDE

25 DR. PANLILIO: So in particular, I exposed

1 zebrafish to domoic acid, again during these discrete
2 periods in early development. And the way we could do
3 this is through intravenous microinjections. So the
4 microinjections were done into their caudal vein. So
5 essentially, we took the little needle and we could find
6 the caudal vein and inject them over time. And we did
7 this at one, two, and four days post-fertilization, or
8 dpf. And so we decided to do this over the more common
9 route of exposing them, which is through chemicals in the
10 water, you know, that emersion type exposure that Dr.
11 Padilla spoke about, because we wanted to ensure domoic
12 acid was entering in the fish, and we also -- and it also
13 really allowed us to mimic that single acute exposure
14 during these very discrete periods of early development.

15 NEXT SLIDE.

16 DR. PANLILIO: And so just to back up a little
17 bit, why did we choose these three periods? I targeted
18 these three key early stages, because they mark three
19 neurodevelopmental stages that are quite important for the
20 fish. So, for example, exposures at one day
21 post-fertilization is when neurons and glia cell --
22 precursor cells are spec -- are first specified. It's
23 when a majority of those early sensory and motor neurons
24 differentiate.

25 And then at 2 dpf, this is the time where that

1 would target a specific glial cell called the
2 oligodendrocyte, which I'll take about quite a bit. This
3 is when it starts to migrate and differentiate and starts
4 to wrap axons across the central nervous system.

5 And then finally, exposures at four days
6 post-fertilization correspond to those later developmental
7 periods where most of the cell types in that early nervous
8 system are already specified.

9 NEXT SLIDE

10 DR. PANLILIO: So following these exposures, I
11 then did a detailed assessment of the startle response
12 behavior in the larval stages between 5 to 7 dpf. And
13 during the same larval period, I also imaged the different
14 cells and structure that make up the circuit that drive
15 this response. And so I mentioned startle, so that's the
16 behavior we're looking at. So what is it and why -- why
17 are we using it?

18 NEXT SLIDE panel

19 DR. PANLILIO: And so assess the functional
20 effects of domoic acid, so we're using the startle
21 response behavior. And so the larval startle response
22 behavior occurs in response to a sudden and intense
23 stimulus. It's quantifiable and it really requires proper
24 sensory processing and motor control, so it serves as a
25 tool to determine whether these processes are disrupted by

1 exposure to domoic acid.

2 Finally, it's driven by underlying neural
3 circuits that are pretty well known, so it makes it a
4 really powerful behavior to study, because again it can
5 allow us to link these behavioral results to the anatomy
6 and the cell types that are involved in the circuit.

7 NEXT SLIDE.

8 DR. PANLILIO: And so to assess startle behavior,
9 essentially these fish are placed in the 16 well plates
10 that sit above a speaker and their startle responses are
11 recorded using a high speed camera above.

12 NEXT SLIDE

13 DR. PANLILIO: Okay. Okay. So here's just a
14 zoom-in on a single well that you see over here. And the
15 larvae is essentially divided into three segments, as
16 encoded by these three different colors. So the changes
17 in the curvature, as the fish undergo startle, are
18 estimated by the changes in angle between these three
19 segments. So what I'll do is I'll play a video, so you'll
20 see -- you'll see the video over here. And what you'll
21 see to the right of the video are the estimated changes in
22 curvature as the fish undergoes a startle response.

23 So note that there's this initial really large
24 bend angle. This is that C bend over here followed by a
25 corrective angle, then the fish start swimming away, and

1 kinematic attributes you would look at such as the maximum
2 angular velocity that led to that bend as well.

3 NEXT SLIDE

4 DR. PANLILIO: So we know that there's this
5 behavioral deficit that results from domoic acid exposure
6 and that it is most true from when we're exposing fish,
7 particularly at this 2 dpf time point. Again, just to
8 emphasize, I love this behavior, because we know a lot
9 about the underlying cells and their connections that
10 drive it. And so knowing that there's deficits in
11 startle, we then sought to look at the anatomy of the
12 circuit.

13 NEXT SLIDE

14 DR. PANLILIO: And one thing we started looking
15 at first for myelin sheaths. And startle responses are
16 extremely fast. This is why we really need those high
17 speed video cameras to actually record them. So they
18 happen been between 50 and 50 milliseconds after a
19 stimulus is given, which ex -- which also requires
20 extremely fast conduction velocities through the axons as
21 they -- essentially the signal propagates down the axons
22 and enervates the muscles across the trunk.

23 And so to allow for this rapid propagation of a
24 signal axons within the startle circuit have heavily
25 myelinated. And in fact, this -- this neuron that I'll

1 talk about a little later is the most heavily myelinated
2 neuron in the nervous system of the fish.

3 NEXT SLIDE

4 DR. PANLILIO: And so we assess myelination in
5 this -- the spinal cord, again Because proper myelination
6 is required for -- for proper startle. And so to
7 accomplish this, we again employ the power of the
8 zebrafish model, right? So we have this transgenic fish
9 that have myelin sheaths that are labeled by GFP. And
10 because zebrafish are transparent when they're younger, we
11 can again image these structures in living animals, right?

12 And so here's just a fish on its side. Here's
13 just a cartoon of a cross-section of its spinal cord. And
14 in control fish what you see is that there's two regions
15 here. This is the ventral region of the spinal cord and
16 this is the dorsal. And what you'll see is it contains
17 myelin that is both abundant and elongated.

18 NEXT SLIDE

19 DR. PANLILIO: Now again, so that's our control
20 fish, right? But -- and using these transgenic fish, we
21 can score myelin phenotypes visually from those again that
22 look like this -- this beautiful control you see the SEC
23 up here to those that essentially have these disorganized
24 sheets, where essentially the myelin and the axon doesn't
25 follow the track perfectly, all the way to the most severe

1 form, where its -- there are very, very sparse sheaths and
2 they contain all these like very unusual circular
3 features, which I'll get back to you later about what we
4 think those are.

5 NEXT SLIDE

6 DR. PANLILIO: Okay. So what we did is we again
7 exposed fish to domoic acid. And what I'm showing you
8 right here is an exposure to an intermediate dose. And
9 then we did this over different developmental time
10 periods. And what we see is that the highest proportion
11 of fish with myelin defects occur when domoic acid is
12 exposed at 2 dpf and 2.5 dpf. And those exposed at 2 pdf
13 have a larger proportion of the more severe myelin
14 defects, so these -- these levels right here.

15 NEXT SLIDE

16 DR. PANLILIO: So we also tested a range of doses
17 and during a range of different developmental times, and
18 we found that even down to 0. -- 0.09 nanograms, which is
19 the lowest dose we tested, we still see an effect at 2
20 dpf, while not seeing this for fish exposed at the two
21 other time periods you see up here.

22 NEXT SLIDE

23 DR. PANLILIO: So note though that there are gaps
24 in the developmental times we were -- and for example,
25 there are places we were unable to test like this one. So

1 for example, within our highest dose of 0.18 nanograms, we
2 did not test fish exposed at 4 dpf, because they did have
3 other phenotypes that made them untestable. And so I do
4 want to bring up that point, and I think it's also
5 important, because one such phenotype -- severe phenotype
6 these animals have would -- is essentially widespread
7 brain necrosis, where you see essentially their head
8 because they have -- they're transparent is -- it looks
9 like a cloudy brain, if you will.

10 NEXT SLIDE

11 DR. PANLILIO: And so in this case, right, over
12 40 percent of the embryos injected specifically at these
13 later periods, so at 4 dpf, show this very severe
14 phenotype. So it seems that at higher doses, those overt
15 severe phenotypes can occur at the later stages of
16 exposure.

17 NEXT SLIDE

18 DR. PANLILIO: So both the behavioral data and
19 myelin sheath data both now point to 2 dpf being an
20 important period for domoic acid exposure, especially
21 exposures at the lower ends of the dose -- the dose range.
22 And so we wanted to continue to investigate whether
23 exposure at 2 dpf disrupts other important players in the
24 neural circuit. So to do that, I'll step you through the
25 different players that make up the startle circuit that we

1 know pretty well.

2 NEXT SLIDE

3 DR. PANLILIO: So in addition to myelin sheaths
4 that are required for that proper, that rapid execution of
5 that startle response, there are other things that are
6 involved, right? So auditory and vibrational stimuli are
7 first detected by the hair cells that occur both within
8 the inner ear and within the neuromasts that make up the
9 lateral line.

10 And so the mechanical deflection of these hair
11 cells then lead to the activation of sensory neurons and
12 then these sensory neurons can then send information to
13 the hindbrain. And so in the hindbrain, cells like the
14 Mauthner cell along with other homologs integrate all the
15 sensory information. And once this -- the Mauthner cell
16 reaches threshold, it then fires a single action
17 potential, which propagates down its -- its axon that
18 extends into the spinal cord.

19 NEXT SLIDE

20 DR. PANLILIO: And then what it -- what this
21 results in is that as the action potential rapidly travels
22 down the spinal cord, it activates primary motor neurons
23 along the way. And because the signal propagates so
24 quickly, this leads to these really fast unilateral muscle
25 contractions and these deep bend angles that you saw on

1 the video previously.

2 NEXT SLIDE

3 DR. PANLILIO: So -- Okay. So let's step through
4 the circuit. Let's look first at the sensory side. So
5 let's look at the neuromasts and the inner ear hair cells
6 as -- in addition to the other sensory structures.

7 NEXT SLIDE

8 DR. PANLILIO: Okay. So to assess neuromasts, we
9 used a live cell staining dye called GASPI. And so
10 essentially, this is one of those cases where you can put
11 a zebrafish in a dish and expose them in the water to this
12 live staining dye. They will take it up. And what we
13 found is that domoic acid exposed fish have the same
14 number of neuromasts, both in their heads, so we looked at
15 the number of neuromasts in the head, as well as their
16 trunk region over here. So it doesn't seem at least in
17 terms of absolute neuromast count that domoic acid alters
18 that.

19 NEXT SLIDE

20 DR. PANLILIO: We also looked at various sensory
21 neuron -- neurons, their axonal tracts, and found no
22 differences. For example, here's a -- an image of a
23 zebrafish. This -- these are fixed fish, so these are now
24 antibody staining at least on the top. And what you'll
25 see here is that we see no differences, the inner ear is

1 outlined in this like teal dashed outline. We saw no
2 differences in the inner ear hair cells. We also, using a
3 live -- live imaging of another transgenic also found no
4 noticeable differences in both the lateral line -- the
5 neuromasts -- again over here. These are the
6 neuromasts -- as well as that lateral line, which is the
7 ganglia, or that nerve, the -- the nerve connecting, all
8 these neuromasts over here.

9 So -- so I like to point this out that at least
10 at this stage and at this resolution, it doesn't seem like
11 domoic acid is targeting the sensory system directly.

12 NEXT SLIDE

13 DR. PANLILIO: So again, so -- okay. So that's
14 the sensory system. And so after sensory information is
15 collected, right, by the inner ear hair cells and the
16 lateral line, it then sends it to the Mauthner cells and
17 its homologs. So we then assessed for the presence of
18 this specific Mauthner cell, which is teal one in this
19 cartoon over here.

20 NEXT SLIDE

21 DR. PANLILIO: And so here show -- we use
22 antibody shading -- staining to show that while all the
23 controls we imaged had these two Mauthner cell pairs -- so
24 here is one pair going this way, the axon crosses the
25 midline, and goes down contralaterally. So it has these

1 two beautiful Mauthner cells here. Most domoic acid
2 exposed fish have no Mauthner cells. So here -- here is
3 an image where you just don't see the Mauthner cells here,
4 but there were a few with two, but majority of them did
5 not have Mauthner cells.

6 The other thing we can look at in the same
7 tissue, right, is we can count the hindbrain tracts, so
8 that would be these lines that you see over here. And
9 when looking at these tracts, we found there's no
10 significant difference between the domoic acid exposed
11 fish and the control. So it -- again, emphasizing that it
12 isn't all neurons that are altered by domoic acid, but
13 potentially very specific targets.

14 NEXT SLIDE

15 DR. PANLILIO: Okay. So these findings indicate
16 that exposure to domoic acid led to these measurable
17 startle deficits, particularly exposures at 2 dpf. And In
18 conjunction with these, we also see that there's a loss of
19 this Mauthner cell, that's really important for startle,
20 as well as myelin defects during the larval period at 5
21 dpf.

22 So note that all of these endpoints were taken
23 during the larval stages, which is after nascent
24 myelination has occurred, which occurs between 3 -- it
25 starts at like 2.5 and ends mostly at 5 dpf. So it's

1 after this -- this nascent myelination has occurred and
2 also it's well after exposures at 2 dpf, right?

3 And so we then wanted to look more at the initial
4 cell targets and the initiating events that perhaps may
5 contribute to all those later larval phenotypes we were
6 seeing.

7 NEXT SLIDE

8 DR. PANLILIO: So recall, right? So in the
9 larval stages, we both saw the loss of that Mauthner cell
10 along with its axons in the spinal cord as well as myelin
11 defects. So we no, of course, that myelin cannot. So
12 here is like my little cartoon, right, of an
13 oligodendrocyte, which wraps myelin around axons. So we
14 know, of course, the myelin cannot wrap around axons that
15 are just not present. So if an axon, for example, is lost
16 first, we expect there to just be not as much myelin
17 around, right, and there might be myelin defects.

18 But we also know that myelin provides really
19 important metabolic support or axons. And so the loss of
20 the myelin could then also lead to axonal defects. So the
21 question then becomes what happens first, right? Do we
22 lose myelin first and then the axons follow or vice versa?

23 NEXT SLIDE

24 DR. PANLILIO: And so to -- to address this
25 question, we first wanted to see how domoic acid exposure

1 affects those initial stages of myelination. And again,
2 I'll emphasize here like that I think the power of this
3 model is we cannot only easily image fish because they're
4 transparent and they're small, but we can also visualize
5 cellular processes that are occurring in real-time. So
6 that's what we did here.

7 NEXT SLIDE

8 DR. PANLILIO: We're essentially perform
9 time-lapse imaging with transgenic fish, so these are live
10 fish, where we looked for and we observed myelination
11 actually happening within their spinal cords. And so what
12 you're looking at here is the cartoon on the left is
13 essentially this is a transgenic fish, where are cell
14 bodies of the oligodendrocytes, those are the cells that
15 make myelin, are labeled in red. And their -- and their
16 membranes that become myelin are labeled in green.

17 And so what you'll see here, this is a time-lapse
18 video that occurs over the course of around 12 hours. And
19 so on the top, you'll see the controls are forming these
20 beautiful myelin sheaths, so there's those little thick
21 processes -- elongated process here and here for example.

22 In comparison, domoic acid exposed fish primarily
23 do not. So what you'll see here is -- so here they are,
24 right? These oligodendrocytes have these red cell bodies.
25 And instead of traveling around and starting to myelinate,

1 they tend to form these shorter sheaths, right, so you
2 don't really see those elongated -- elongated sheaths that
3 you see here. And they also form these really strange --
4 I'll play that one more time.

5 They -- they tend to form these really strange
6 circular features, which again I am pointing out, because
7 this becomes relevant later to what we think these
8 phenotypes are.

9 NEXT SLIDE

10 DR. PANLILIO: All right. So these -- now we
11 know this -- the initial stages of myelination is
12 perturbed. And so now we turn more specifically to
13 looking at again those oligodendrocytes, which is that
14 lineage of cells that make myelin. So we looked at how
15 domoic acid perturbed oligodendrocyte development, a
16 little bit after the time myelination commences.

17 NEXT SLIDE

18 DR. PANLILIO: So we used another fish line, so
19 we have a lot of fish lines through this study. And this
20 fish line essentially labels oligodendrocytes that you see
21 here. And so what we did is we quantified the number of
22 myelinating oligodendrocytes in the spinal cord during the
23 period of myelination.

24 So as I said, so each of these individual points
25 is an individual oligodendrocyte that we can quantify.

1 And then on the right, you'll see each point in this graph
2 represents the number of oligodendrocyte counted from an
3 individual fish that was exposed either to no on domoic
4 acid or that was the control all the way to the two doses
5 of domoic acid you see here.

6 And what you'll see is that there is this
7 significant reduction in the number of oligodendrocytes
8 per -- which is true for, you know, our -- our medium
9 range dose, but it's particularly true for our highest
10 dose of domoic acid.

11 NEXT SLIDE

12 DR. PANLILIO: Okay. So now, I'm building this
13 model, right? So we know that domoic acid disrupts that
14 initial myelination and leads to the loss of
15 oligodendrocytes like 4 dpf. And the loss of
16 oligodendrocytes could conceivably contribute to the
17 myelin defects we're seeing, because if there's a reduced
18 supply, if there's less oligodendrocytes that can
19 myelinate, this may just lead to the less myelin overall
20 being formed, right?

21 The loss of the oligodendrocytes could be because
22 domoic acid is directly binding to and affecting these
23 oligodendrocytes, because they do have the receptors to
24 which domoic acid binds to.

25 NEXT SLIDE

1 DR. PANLILIO: Okay. So now we've looked at the
2 oligodendrocytes, right? But we also know that they've
3 lost -- they have this Mauthner cell loss. So let's
4 revisit that. So we know that this occurs at the larval
5 stages, but we wanted to determine whether these Mauthner
6 cells were absent shortly after exposure, but before
7 myelination even commences, right? So all we had to do
8 really was image domoic acid exposed fish and look for
9 Mauthner cells much earlier.

10 NEXT SLIDE

11 DR. PANLILIO: So using the same antibody, it's a
12 neurofilament antibody, we're aim -- we're able to image
13 the Mauthner cell prior to myelination. So here's just a
14 control brain to orient you and here are -- in these teal
15 arrows are those two beautiful large Mauthner cells.

16 And so while control fish always have two
17 Mauthner cells, domoic acid exposed fish have a range of
18 phenotypes. But again, majority of domoic acid exposed
19 fish don't have those two Mauthner cells even prior to
20 when myelination commences.

21 NEXT SLIDE

22 DR. PANLILIO: So now know again, that the
23 Mauthner cell loss occurs even earlier than myelination.
24 Thus, the domoic acid exposed fish may be targeting --
25 domoic acid, excuse me -- may be targeting these neurons

1 first, and then altering the cellular environment in the
2 spinal cord and in the brain prior to myelination, which
3 may, you know, have downstream effects for the
4 oligodendrocytes and myelin later.

5 NEXT SLIDE

6 DR. PANLILIO: So speaking of the cell
7 environment, let's look at myelination in the spinal cord.
8 So in a control animal, there's really this correct
9 balance between the number of oligodendrocytes, as you see
10 here. So this again is the cell that is responsible for
11 myelinating in the brain and spinal cord. And -- and so
12 there is a balance between oligodendrocytes and the amount
13 of axons they have to myelinate.

14 NEXT SLIDE

15 DR. PANLILIO: But what happens when these axon
16 targets are reduced? What if there's just less axons
17 around? So the Lyons lab addressed this questions by
18 essentially using a genetic model that reduced axons
19 within the spinal cord. And they found that the reduction
20 of axonal surface area also led to the reduction in total
21 number of oligodendrocytes. And they propose it's
22 potentially because there's a feedback process, which
23 attempts to now correct the mismatch between the
24 oligodendrocytes to the axons.

25 What they also found is they found the appearance

1 of these circular profiles remember that I -- I've been
2 point that out and saying what are those. It's very
3 strange. And what they -- they found that to be this --
4 was this abnormal phenotype where they thought that it's
5 due, and they actually show data, to show that essentially
6 instead of wrapping just axons, because from there were
7 not enough axons, these oligodendrocytes were starting to
8 wrap neuronal cell bodies instead. So not just the axons,
9 but the cell -- cell interface itself.

10 And so they attributed again these effects to the
11 mismatch within the axonal surface area to the number of
12 oligodendrocytes present, because as there is less axons
13 in the environment to myelinate, oligodendrocytes start to
14 myelinate other things, right, including again those
15 neuronal cell bodies.

16 So it is possible, right, that such a thing is
17 occurring the domoic acid exposed fish to and the loss of
18 this Mauthner cell, as well as other like large axons
19 within the spinal cord, could contribute to the effects
20 we're seeing with the oligodendrocytes and myelin.

21 NEXT SLIDE

22 DR. PANLILIO: So we wanted to see whether our
23 circular features were very similar to theirs. Are these
24 also incorrectly rats' neuronal cell bodies.

25 NEXT SLIDE

1 DR. PANLILIO: And so to identify what these
2 circular features are, we used another transgenic fish.
3 So here in magenta, this labels myelin, which is the
4 oligodendrocyte membranes and the green labels the
5 membranes of neurons. And so in -- and what you'll see
6 here, right, this is a domoic acid exposed fish. There
7 are these again these really unusual circular profiles
8 that are produced by oligodendrocytes primarily only in
9 domoic acid exposed fish. And in green, in this picture
10 over here, you're seeing these honeycomb-like structures
11 that represent those densely packed neuronal cell bodies
12 within the spinal cord.

13 And in the merged image on the right most, you'll
14 see that these circular oligodendrocyte membranes appear
15 to be in the same location as the neuronal cell bodies.
16 So suggesting that the oligodendrocyte membrane is
17 wrapping neuronal cell bodies. And so this is just a
18 schematic of just that, right? So there are
19 oligodendrocytes that wrap, you know, axons as they
20 should, but in -- in addition to that, they're now
21 wrapping these cell bodies, because there's just not
22 enough axons around presumably.

23 NEXT SLIDE

24 DR. PANLILIO: So we then did electron
25 microscopy, which will give us a little bit better

1 resolution. And so to orient you, here's just a
2 cross-section of a fish over here. And here -- so here's
3 it's whole trunk and here -- over here is it's spinal
4 cord, and if we zoom in on that, these circular -- you
5 know, all these circles over these densely packed circles
6 are under -- are -- are neuronal cell bodies. And in
7 control fish, right, most of these are just wrapped by a
8 double membrane layer, which is what you would expect.

9 NEXT SLIDE

10 DR. PANLILIO: And so that's true for a lot of
11 domoic acid exposed fish. But in addition to that, we
12 also have cells -- neuronal cells, where there's more than
13 just that -- that double membrane, but there's a second
14 outline around them like here and here. So we think that
15 these are also myelin inappropriately wrapping these cell
16 bodies.

17 NEXT SLIDE

18 DR. PANLILIO: And so with all this, we have a
19 working model for how developmental exposures to domoic
20 acid mediates toxicity. So the loss of the Mauthner cell
21 precedes disruptions in myelin. And then the loss of the
22 Mauthner cell contributes to this reduced axonal surface
23 area in the spinal cord. And the loss of oligodendrocytes
24 may result from that reduced axonal surface area as that
25 feedback process attempts to correct the discrepancies

1 between the axon surface area and the oligodendrocyte
2 present.

3 Myelin defects may also result in a reduced
4 axonal surface area as oligodendrocytes start to myelinate
5 neuronal cell bodies in the absence of just enough axons.
6 So both these phenotypes have been found in the genetic
7 model that lacks axons. So it's possible that all of
8 these oligodendrocyte effects are secondary effects to the
9 loss -- the initial loss of those -- the axons

10 I previously proposed that domoic acid may bind
11 directly to the oligodendrocytes as well. And it is still
12 possible that the -- you know, these cells do have
13 receptors to respond domoic acid, so it might be that
14 these processes occur in concert.

15 So with all of these elements can contribute to
16 that observed aberrant startle behavior we see. And as
17 you see this model has a lot of question marks, so the
18 receptors to which domoic acid binds to are expressed by
19 multiple cell types in the nervous system. So again
20 conceivably, there could be multiple targets happening at
21 the same time.

22 NEXT SLIDE

23 DR. PANLILIO: So stepping back from all these
24 very specific details, I want to discuss a little bit
25 about what this means -- that this could mean for human

1 health. So this -- this current research identifies a
2 potential mechanism of domoic acid toxicity that occurs
3 during a susceptible window of exposure and early
4 development. So I found pronounced changes in spinal cord
5 structure following domoic acid treatment. And so this
6 highlights how domoic acid targets not just the brain,
7 which is where a lot of people look, but also potentially
8 the spinal cord.

9 I also found that there's specific cells that
10 were lost during domoic acid exposures. So humans don't
11 have Mauthner cells, but they do have a group of
12 functionally equivalent cells. And so even if this
13 research does not identify specific neurons that may be
14 targeted in humans, I think it can provide us with clues
15 as to which -- what characteristics some cell types may
16 have that may make them more susceptible to domoic acid
17 toxicity. So perhaps neurons with really large axons that
18 are excitable, that sort of span the range and have --
19 into the spinal cord may be more suscept -- sensitive to
20 domoic acid exposure.

21 So I also found that the loss of axons right
22 before the big wave of myelination leads to really
23 aberrant myelin phenotypes. And we could, of course,
24 speculate whether domoic acid exposures in humans prior to
25 myelination could also have important consequences. But

1 few minutes now for clarifying questions from the
2 Committee. And then remember, we'll have more time for
3 discussion later. So I see some raised hands already.

4 Dr. Pessah.

5 COMMITTEE MEMBER PESSAH: That's a wonderful
6 presentation. I really like the sort of methodological
7 approach. You mentioned excitability. And did you
8 actually measure the balance of excitation inhibition was
9 that different at two -- two days post-fertilization? Is
10 it driving the axonal loss, I guess, is -- a
11 hyperexcitation?

12 DR. PANLILIO: Right. And you know, I -- I sort
13 of glossed over the -- what we know about domoic acid. So
14 domoic acid binds to ionotropic glutamate receptors, so it
15 is an excitotoxin. I did not measure that directly, but I
16 would be very surprised if there were not changes in that
17 for sure. And in terms of like why I speculate the
18 Mauthner cell particularly at the two day
19 post-fertilization time point is susceptible is there are
20 papers that show that it actually will switch subunits
21 right before 2 dpf for two subunits that are more
22 excitable and that respond more, and it's a very specific
23 subunit of a ionotropic glutamate receptor. So short
24 answer is no, I didn't measure it directly, but I would be
25 very surprised if it were not changed.

1 COMMITTEE MEMBER PESSAH: Thank you.

2 DR. PANLILIO: Yep.

3 CHAIRPERSON LUDERER: All right. Dr.
4 Hertz-Picciotto, I see you have your hand raised too.
5 You need to unmute.

6 COMMITTEE MEMBER HERTZ-PICCIOTTO: So sorry.

7 CHAIRPERSON LUDERER: Now, we can hear you.

8 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah, my video
9 is also a little lag there.

10 Yeah, I love this talk. Your -- you really
11 explain very well your step-by-step approach. And I'm
12 just -- you know, I was real -- I'm really struck about
13 the myelination, because, you know, at least two years
14 postnatally it's -- it's really immature, the -- not
15 complete. And what I don't know, and I don't know if you
16 do, one -- so one question I have is the brain versus the
17 spinal cord in humans, is the myelination happening more
18 or less in both at the same. Is that extended period also
19 for the spinal cord? I think the two-year that I had
20 heard as -- or that you constantly see in the literature
21 is based on brain specifically.

22 And then the second question I had also was I'm
23 interested in -- you know, you are talking about -- you
24 are interested in those low -- lower doses that human
25 beings get. And I'm wondering about the comparability of

1 your exposure to this -- the zebrafish and how that might
2 translate in terms of doses that humans are getting
3 generally through seafood consumption, I presume.

4 DR. PANLILIO: Right. Wonderful question. So I
5 will say I -- you were right for the human stuff all
6 the -- my understanding of the ontogeny from myelination
7 occurs from the brain. I do believe there is a paper that
8 goes through different brain regions. I'm wondering if
9 spinal cord is in there as well. So the short answer is
10 I'm not sure for humans.

11 However, for fish, nascent myelination occurs
12 across both the brain and the spinal cord roughly during
13 that embryonic period between 2 to 5 dpf. So it's a
14 pretty like relatively short window, but I guess like if
15 you like stretch zebrafish out, it wouldn't be that short.
16 But yeah, so great question about whether that's true for
17 humans and I'm not 100 percent sure.

18 For the dose comparability, so I've thought about
19 this a lot, and so the short answer is there's no direct
20 comparison, partially because there's currently no
21 information that I know of of domoic acid concentrations
22 in both human fetal tissues or even maternal. Like we
23 just don't know what domoic acid is in maternal, like
24 human blood, you know, the concentrations in serum.

25 And so what we do know, for example -- so all we

1 really know is unfortunately, the reason it was brought up
2 for the fourth round is that we know about an acute --
3 doses that can cause acute exposure in humans, because of
4 an incidental exposure that occurred in 1987, but that was
5 acute and that was for adults.

6 Our doses are comparable to if we assume that the
7 embryos are 1.5 milligrams, which they can't change,
8 right? Our doses are comparable to doses used when they
9 injected rodents subcutaneously, postnatally to look at
10 domoic acid.

11 But they are -- but they are higher than I would
12 assume. You know, we talk about route of exposure, there
13 very -- they're much likely higher than what potentially a
14 human would see just because we're injecting it directly
15 into the system, right? Like depending on how -- you
16 know, if you were to, for example, eat domoic acid and
17 it's an oral exposure, we find that oral bioability --
18 availability is pretty low. So if you were a young child,
19 for example, you know, you can think, for example -- but
20 they've also found, for example, that the concentration in
21 fetal tissue is less than concentration in maternal plasma
22 in rats. So like all of this is to say, we're using
23 zebrafish as like a mechanistic model realizing that there
24 are -- you know, there are some limitations in not being
25 able to translate that dose directly.

1 COMMITTEE MEMBER HERTZ-PICCIOTTO: Thank you.

2 DR. PANLILIO: You're welcome.

3 CHAIRPERSON LUDERER: Dr. Woodruff, I see you
4 have a question. Just time for a quick question. We'll
5 have more discussion later.

6 COMMITTEE MEMBER WOODRUFF: Okay. I can wait.

7 CHAIRPERSON LUDERER: Okay. Great. Thank you.

8 So maybe keep -- keep that question in mind till
9 the discussion. So now, I'd like to turn to our second
10 speaker, Dan -- Dr. Dan Wagner, who is Assistant Professor
11 of Obstetrics and Gynecology and Reproductive Sciences in
12 the School of Medicine at the University of California,
13 San Francisco. His research applies the techniques of
14 cell lineage tracing and single cell transcriptomics to
15 zebrafish embryos as a model for understanding the
16 cellular changes that occur as development progresses.

17 And the title of his talk today is high
18 throughput single cell genomics used -- as used to
19 identify genes involved in regulating cellular
20 differentiation during zebrafish development.

21 And now, I'll turn the floor over to Dr. Wagner.

22 Thank you.

23 **PRESENTATION BY DR. DAN WAGNER**

24 (Thereupon a slide presentation.)

25 DR. WAGNER: Okay. Thank you so much for the

1 introduction. Can everybody hear me and see my slides as
2 well?

3 CHAIRPERSON LUDERER: Yes. Yes, to both.

4 DR. WAGNER: Okay. So very happy to be here
5 today. Learning a lot. So I'm a developmental biologist
6 by training. So I'm from UCSF. I started my lab about
7 three years ago. And we study the zebrafish as a means to
8 understand basic molecular mechanisms of sulfate
9 specification in vertebrate embryos.

10 And here on this title slide, I'm showing you two
11 images of a zebrafish. So left is obviously a micrograph
12 showing individual cells labeled by an H2B localized
13 fluorescent protein transgene. The right side shows a
14 data modality that we use extensively in my lab and that's
15 the single cell profiling. So I think Bruce Draper
16 introduced single cell profiling very nicely in his talk.

17 Well, generally what we're doing in these kinds
18 of measurements is we're collecting individual cells,
19 measuring all the genes that are on or off in those cells,
20 and then representing a very high dimensional data set
21 in -- in -- in a two-dimensional plane. So in these -- in
22 these graphs, each dot is a cell and the proximity of the
23 cells on the graph denotes gene expression similarity.

24 And so I'll tell you a little bit about how we're
25 trying to use this mode of data analysis to understand

1 embryonic developmental in a more quantitative manner than
2 had been possible previously.

3 NEXT SLIDE

4 DR. WAGNER: The main motivations for the
5 research in our group are to understand developmental
6 defects, fate specification, but also how embryos respond
7 to challenges and what sort of processes we might be able
8 to dial up or down to assist embryos in -- in overcoming
9 such challenges. So zebrafish, just like humans, face
10 numerous challenges that can stand in the way of normal
11 development. These challenges span from both genetic
12 causes to environmental causes. And we've -- we and
13 others have observed that embryos can have remarkable
14 plasticity, so they can often recover from some -- some
15 pretty catastrophic insults at the genetic or
16 environmental levels. And so we'd like to understand the
17 mechanisms that help modulate the responses to those
18 challenges.

19 NEXT SLIDE

20 DR. WAGNER: As I alluded to on the first slide,
21 so we use a lot of single cell genomics in our lab to
22 interrogate developmental processes. And the reason we
23 use single cell result assays are because really the
24 enormous complexity of developmental systems. So every
25 individual cell in a developing embryo has differences.

1 Those differences can be genetic. They can be epigenetic.
2 They can be gene expression, stress, position in the
3 embryo. So there's just a high dimensional vector of
4 differences at the individual cell level.

5 And previously for gene expression profiling,
6 we've been limited to -- to sort of bulk assays, where we
7 would take many, many cells, analyze the DNA and RNA in
8 those cells, collectively to arrive at a population
9 average. Now, the downside of such assays like this are
10 that we often arrive at non-physiological understanding of
11 the processes because we're averaging between cells and
12 losing important differences.

13 So single cell profiling by contrast preserves
14 the individual states of each cell when we make the
15 measurements. And this allows us to gain different levels
16 of insights into the processes happening inside the
17 embryo.

18 NEXT SLIDE

19 DR. WAGNER: So the motivation, as I said before,
20 we want to understand and manipulate mechanisms of cell
21 fate feedback control in embryonic development. Our
22 vision is to combine in vivo studies with tools from
23 single cell genomics. So we use zebrafish in on our lab,
24 of course.

25 NEXT SLIDE

1 DR. WAGNER: And I think Bruce and the other
2 speakers today gave fantastic introductions to the system,
3 so I won't belabor this too much. I think Stephanie
4 actually showed this exact same video, which was collected
5 by a former lab mate of mine in our post-doc. But as
6 we've -- as we've seen, zebrafish are transparent. They
7 develop outside the mother. This makes them extremely
8 accessible experimentally for perturbation as well as for
9 analysis. So I'll just leave it at that, because we've --
10 we've -- we've well established why zebrafish can be
11 powerful system.

12 NEXT SLIDE

13 DR. WAGNER: So the methods that we use in my lab
14 to generate hypotheses regarding developmental plasticity
15 and fate specification rely on these technologies for
16 single cell profiling. And these technologies are still
17 fairly recent, probably in the last five years is when
18 they took off. They generally use microfluidics --
19 microfluidic droplets or channels to encapsulate
20 individual cells and make measurements at the single cell
21 level. And then we have an accompanying set of
22 computational tools that we use to analyze these very
23 large data sets and produce graphs or landscapes that give
24 us the ability to understand patterns in these data sets.

25 NEXT SLIDE

1 DR. WAGNER: So I'll tell you a little bit about
2 the technology we use. It's a called inDrops. This is
3 a -- one of the classic original methods used to
4 encapsulate individual cells into microfluidic droplets.
5 And this allows us to perform barcoded CDNA reverse
6 transcription reactions. Basically, we can create a
7 library of DNA molecules that represent the expression
8 states of each individual cell. And each cell gets a
9 unique bar code that allows us to decipher those patterns
10 when we sequence our data. And so we use this method in
11 my lab extensively through a microfluidic setup and we can
12 use this to capture thousands of cells from any stage of
13 development that we choose under conditions of
14 perturbation or from wild-type embryos. We really have a
15 lot of ability to measure cells in different states and we
16 use the zebrafish system.

17 NEXT SLIDE

18 DR. WAGNER: So the general sort of computational
19 pipeline that we use for most of our data analysis is
20 schematized here. So as I said, we start with staged
21 embryos. We can grow the embryos to any stage of choice.
22 If we were specifically focused on spinal cord or brain,
23 we can grow them to a particular stage, collect either the
24 whole embryo or specific cells from the organ of interest.
25 We capture and using inDrops we record these high

1 to epiboly, and the beginning of organogenesis in
2 zebrafish. And so as you can see, we -- we assembled the
3 data into one of those graphs. In this particular graph
4 I'm showing, each cell is labeled by it's time point of
5 origin, and I hope you can appreciate that at the early
6 time points, the picture is relatively simple, all the
7 cells of before hpf, that's four hours after
8 fertilization. The cells are generally not that different
9 from each other. They perform this sort of -- this ball
10 at the -- at the middle of this graph in dark blue.

11 But as we move forward in time, the cells become
12 more different from each other. They become more
13 molecularly diverse. And the algorithms that we use to
14 assemble this graph actually were able to recapture these
15 trajectories, so these branches that extend forward in
16 time. And these branches actually correspond to different
17 lineages of the embryo, as they're developing from -- from
18 this -- this sort of early pluripotent state.

19 NEXT SLIDE

20 DR. WAGNER: And so this -- this type of
21 depiction, this depiction of developmental biology, this
22 sort of landscape view is really the basis of -- of all
23 the work in my laboratory. And so I'll give you some
24 very, very high level quick vignettes about how we use
25 this landscape representation for different kinds of

1 questions in zebrafish development. So I don't -- I don't
2 have time to go through full stories for each of these,
3 but I'm just giving a flavor of the kind of quantitation
4 that we can now bring to zebrafish and to our
5 understanding of developmental biology using data sets
6 like this.

7 So I'll tell you just a little bit more first off
8 about how we map all the cell states of this -- of this
9 landscape and what we learned just from the shape of -- of
10 that landscape, the shape of the manifold that came out.

11 And then in number two, I'll tell you a little
12 bit about how we incorporate lineage measurements, a
13 separate method we can use to incorporate genuine lineage
14 measurements into these cell state measurements. And this
15 allows us to gain some insights about the paths that cells
16 take as they move forward in time across this landscape.

17 Probably of most relevance to -- to this meeting
18 is something I'm very excited about and that's how we are
19 using this landscape as power phenotyping tool. So most
20 of the data I'll show you today is from wild-type embryos,
21 but we can also collect single-cell profiling data from
22 perturbed embryos and ask any number of different levels
23 of questions about how a perturbation - and that can be a
24 drug, it can be a mutant - has affected the developmental
25 ontogeny of -- of -- of the embryo.

1 And then finally, I'll tell you a little bit
2 about some -- some unpublished work that we're excited
3 about where we're interested in getting -- gaining some
4 molecular insights into how time is controlled in embryos,
5 so what dictates how fast embryo -- cells move across this
6 landscape during the process of embryogenesis.

7 NEXT SLIDE

8 DR. WAGNER: Okay. So just starting again just
9 big picture, what -- what can we learn from these -- these
10 landscape representations of an embryo? So we have
11 detailed molecular data now for each cell from many time
12 points all across the embryo. Because we have this
13 transcriptome information, we can annotate our landscape
14 with any kind of marker gene, so a gene that we might know
15 something about.

16 So, here's any easy one, nanog marks the
17 pluripotent cells. These are the cells of that four --
18 four-hour embryo that I -- I talked about before. So
19 pluripotent cells are right at the center of the graph.
20 So we use markers of different lineages to annotate all
21 the different branches on this landscape on this graph
22 that we found.

23 NEXT SLIDE

24 DR. WAGNER: So we had markers of neural, the
25 neural plate.

1 NEXT SLIDE

2 DR. WAGNER: The eye.

3 NEXT SLIDE

4 DR. WAGNER: Epidermal lineages.

5 NEXT SLIDE

6 DR. WAGNER: Mesodermal --

7 NEXT SLIDE

8 DR. WAGNER: -- and endodermal lineages.

9 NEXT SLIDE

10 DR. WAGNER: Blood. Blood vessels.

11 NEXT SLIDE

12 DR. WAGNER: Neural Crest.

13 NEXT SLIDE.

14 DR. WAGNER: And of interest to this group, we
15 did find germ cells. Germ cells were probably one of the
16 least interesting lineages we saw in this time window of
17 development. So we found germ cells. They didn't change
18 too much as far as we could measure in the first 24 hours,
19 but they were there and they were easy to collect.

20 NEXT SLIDE

21 DR. WAGNER: Interestingly, we're not only -- and
22 this goes to this sort of high dimensional nature of these
23 data sets. We're not just collecting sort of identity
24 information about which cells belong to which tissue.
25 This landscape view, this high-dimensional view of

1 development captures other information as well. So one of
2 the things that we get for free is positional information.
3 So in the 24-hour embryo, which is the final time point in
4 the data set here, we still have expression of genes that
5 are positional markers in the embryos. So CDX4 marks the
6 posterior of the body. And this -- this is true for many
7 different lineages, so mesoderm, ectoderm. And we can see
8 that our machine learning graph that we've generated
9 actually incodes this information as well as the cell type
10 information. So the posterior cells that express high
11 level CD -- CDX4 are organized on this landscape in a
12 particular manner --

13 NEXT SLIDE

14 DR. WAGNER: -- and reciprocally, we have markers
15 for anterior tissues that are expressed in a -- in a
16 complementary fashion.

17 NEXT SLIDE

18 DR. WAGNER: So we've done a lot of annotating of
19 this data set. We've made public portals. In the last
20 few years, many, many groups have actually published
21 similar data sets, dates sets at different times, a few
22 perturbation data sets, and we really have just not a
23 wealth of data in the public domain that gives us detailed
24 molecular information about how all of these different
25 cell types, what defines them, and also what are the

1 changes -- what are the transcriptional changes that
2 coincide with their formation during development.

3 NEXT SLIDE

4 DR. WAGNER: And so one of the -- yeah, one of
5 the cool observations we made from just looking at this
6 data, this is wild-type data, was related to the topology
7 of cell fate specifications. So typically in our -- in
8 our textbooks, you know, ontogeny or lineage is
9 represented as a tree. So as a bifurcating tree of
10 progressive cell fate choices that lead to very diverse
11 cell fates as you go forward in time.

12 And what we found is that that's actually not the
13 case, in terms of the transcriptional identity of the
14 cells. There are many branching regions of the tree, but
15 there are also looping regions. So there are cases where
16 cells that differentiate, but then might have different
17 origins, but then start to look more similarly. So this
18 was particularly the case for the cranial neural crests,
19 which we -- we know differentiates into mesenchyme in the
20 head region and the pharyngeal arches.

21 But I think what our data showed that was a
22 little surprising is to me personally at least was just
23 how similar those transcriptional states were to the point
24 where they become indistinguishable. So some interesting
25 insights about topology kind of came from just anal --

1 analysis of the shape of that landscape.

2 NEXT SLIDE

3 DR. WAGNER: So what is it good for and what we
4 are using it for? The zebrafish embryonic landscape we
5 found does not resemble a tree, So we don't use the term
6 tree to describe this -- this data representation. We
7 either use manifold or landscape, so we can collect data
8 from many different embryos and it's -- we generally get
9 reproducible information, despite the fact that it's an
10 indeterminate lineage. I can go more into that, if anyone
11 is interested.

12 And we have sort of -- in our analysis pipeline,
13 we have no presumption of topology. And this really opens
14 us up to ask questions about converging, differentiation,
15 dedifferentiation, transdifferentiation, things like this.
16 So those are -- those are things that we're very
17 interested in in my lab.

18 Okay. So one of the questions -- one of the
19 initial reasons we did this is that we wanted to build
20 trajectories that describe the detailed molecular
21 instructions making any tissue in the vertebrate body
22 planned. And we thought in the early days, oh, we'll just
23 sort of look -- we'll look for these branches. We'll
24 build, what they call, trajectories, and we'll read out
25 gene expression information or every single tissue and

1 organ and reconstruct the molecular history.

2 NEXT SLIDE

3 DR. WAGNER: And it turned out this was not so
4 easy to do just from the single cell RNA sequencing data
5 alone. And in some cases, it was -- it was okay. So the
6 two examples I show here are the epidermal lineage as well
7 as the retina, the eye. And so there are a few cases on
8 landscape where we could -- we could try to draw a path
9 and it made sense that it represented sort of -- of a
10 stepwise process by which cells are differentiating along
11 a particular path.

12 But there were many places in the landscape where
13 the data were messy or just confusing. And it was very
14 hard to establish directionality, so which way would cells
15 be moving across this landscape. So there are emerging
16 toolkits for building this type of information back into
17 our RNA-seq data sets. One of them is a computational
18 method call RNA velocity, which can be very useful.

19 NEXT SLIDE

20 DR. WAGNER: What we used to tackle this was
21 genuine lineage tracing measurements. And I'll tell you a
22 little bit about how we do that and the kinds of things
23 that we're hoping to do next with lineage tracing
24 information.

25 NEXT SLIDE

1 DR. WAGNER: I'm actually going to skip this
2 slide just due to time.

3 NEXT SLIDE

4 DR. WAGNER: The method -- the lineage tracing
5 method that we've incorporated into our single cell
6 profiling is called TracerSeq. It's based on a
7 transposase system, the Tol2 system, which we routinely
8 use in zebrafish to build transgenics. So what Tol2 the
9 transposase enzyme allows us to do is insert a cassette of
10 DNA into the zebrafish genome and we can control exactly
11 what goes in the cassette. So in the case of TracerSeq,
12 the cassette is a -- is a transgene. So it's a -- it's
13 a -- it contains a promoter and a reporter for a
14 fluorescent protein. In this case, it was gfp.

15 And then in the three prime UTR of that
16 transgene, we placed a barcode -- a unique barcode. So
17 we're actually not injecting one species of DNA. We're
18 injecting a library of -- of DNAs into the -- into the
19 embryo.

20 So we co-inject this library into this single
21 cell stage with our transposase mRNA. And what we've shown
22 is that TracerSeq works very well by inducing unique
23 insertion events into the genome in this asynchronous
24 fashion. So in this diagram here, I've shown just a
25 little -- a small portion of the lineage tree of the

1 embryo. And what we've depicted are integration events.
2 So each of these color boxes is a particular TracerSeq
3 barcode that's been inserted into the genome. And now any
4 that cell divides, all of the descendants of that cell
5 will inherit that same barcode. And we have many barcodes
6 that get integrated into many different cells and
7 sometimes into descendants of a cell that was previously
8 barcoded with a different insertion. And so this produces
9 very rich data sets that we can -- whoops.

10 NEXT SLIDE

11 DR. WAGNER: -- that we can actually combine with
12 our single cellular RNA-seq landscape. And this allows us
13 to now have ground-truth information about which cells are
14 most related to which other cells and where those cells
15 ended up in their differentiation trajectories.

16 So these data sets are very big. We use them in
17 lots of different ways. One of the things we can do with
18 these data sets is -- is analyze individual clones and how
19 cells that are different -- how cells differentiating
20 within a clone, within a clade diverge on the landscape
21 and become different.

22 NEXT SLIDE

23 DR. WAGNER: We can also aggregate data from many
24 different embryos and many different TracerSeq
25 integrations to come up with aggregated pictures of which

1 lineages are often more related to each other. And
2 this -- this has produced very satisfying trees. Now, I
3 know I told you that -- it's development is not always a
4 tree, so we have a few violations in this tree. But in
5 general, we've been able to use this data to corroborate
6 places where the single cell RNA-seq data both conforms to
7 a tree as well as when it violates that -- that sort of
8 tree assumption. So now we have these two interdependent
9 pieces of information that allow us to establish ontogeny.

10 NEXT SLIDE

11 DR. WAGNER: So the TracerSeq lineage data has
12 also allowed us to resolve some complex scenarios. So
13 that looping event that I told you about before, where
14 trans -- neural crests appear to be transdifferentiating
15 into a mesodermal like mesenchymal state, our TracerSeq
16 data confirms what we know from the developmental biology
17 textbooks about which direction cells might be moving
18 through this complex topology. This particular loop our
19 TracerSeq data tells us that there's particular
20 mesenchymal cells that have a neural plate origin that
21 have transdifferentiated from crests into something that
22 looks very much like mesoderm.

23 NEXT SLIDE

24 DR. WAGNER: So these are just a few examples. I
25 don't -- I don't really have time to tell you about some

1 of the things we're doing with TracerSeq now, but just
2 very briefly, we're combining lineage barcodes with
3 perturbation barcoded and we're also using TracerSeq to
4 examine how lineage relationships change under conditions
5 of perturbation. But even in the first pass, which I just
6 went over now, the TracerSeq lineage data has been very
7 helpful in helping us resolve ontogeny on the landscape.
8 It's confirmed tree violations. And it's also given us
9 quantitative ways to sort of look at lineage similarity
10 and lineage trajectory

11 NEXT SLIDE

12 DR. WAGNER: Okay. I'm going to talk a little
13 bit about the next way that we've used these landscapes,
14 and that's to assess perturbations.

15 NEXT SLIDE

16 DR. WAGNER: And really our -- our long-term goal
17 is to understand not only how embryos become defective in
18 response to genetic or environmental queues, but how they
19 could recover from such -- from such insults and what
20 molecular mechanisms they might use to recover.

21 NEXT SLIDE

22 DR. WAGNER: So just to show, you know, what we
23 can do with this kind of data, I'll describe a really
24 simple experiment we did, which analyzed a classic
25 patterning gene that's chordin. Chordin is a BMP

1 modifying chordin. We've simply changed how cells flow
2 through these -- these cell fate decisions and they're
3 flowing differently in a predictable fashion when we knock
4 out chordin or overexpress BMP. So this has led to a
5 number of questions. We have a couple projects in the lab
6 that are sort of examining in further detail what's going
7 on with chordin.

8 NEXT SLIDE

9 DR. WAGNER: But in the final couple minutes, I
10 want to talk about a -- a new project that we've started
11 more recently, and that examines the landscape from one
12 sort of different perspective and that's -- and that's
13 through time. And so I've -- I've -- I've sort of shown
14 you the landscape with time points and how, as cells
15 advance over time, they're becoming more differentiated,
16 more diverse at the molecular level, but we're interested
17 in understanding what really controls the speed at which
18 cells are making these decisions.

19 NEXT SLIDE

20 DR. WAGNER: And the speed or tempo of zebrafish
21 development is something that we've long known can be
22 altered by the environment. So Chuck Kimmel back in the
23 nineties and some of the early staging observations that
24 he made noted something that we all use, probably every
25 lab, is that the speed of development is very sensitive to

1 temperature. So zebrafish are not warm-blooded. They
2 depend on in the environment for -- for their temperature.

3 And we know, we've seen it many times, that at
4 higher temperatures development precedes more quickly than
5 at lower temperatures. So there's -- there's sort of
6 environmental influence that changes the rate at which
7 cells move through the developmental process.

8 And it's actually quite fascinating that this
9 is -- that this can work. I mean, we're -- we're not
10 talking about one cell type. We're talking about dozens
11 or hundreds of cells types that coordinately know how to
12 remain synchronized at faster or slower speeds at
13 different temperatures. And so it's not only temperature,
14 so we've -- we've been investigating this. We've -- we've
15 seen a few other environmental conditions that can
16 modulate tempo. One of them is oxygen. Another is simply
17 crowding the embryos, which we think could be oxygen, but
18 there's some evidence that it might be something else as
19 well.

20 NEXT SLIDE

21 DR. WAGNER: And so we embarked recently in the
22 lab on a -- on a chemical screen to see if we could Get
23 some molecular insights into processes that might be
24 affecting tempo, sort of tempo regulation. So we
25 performed a chemical screen using this Cayman chemicals

1 that had a -- this particular library we purchased off the
2 shelf. So it had 160 drugs, so a fairly modest screen,
3 that was targeted at metabolic enzymes, and so glycolysis,
4 citric acid cycle. And I can talk about why we -- we
5 targeted this, but this was just sort of a first -- first
6 attempt to see if we could modulate this process using
7 drugs.

8 So we used a fairly simple metric to -- to -- to
9 determine whether tempo had been affected. And this goes
10 back to Chuck Kimmel again, but the measurement of the
11 head-trunk angle. So as an embryo -- as a zebrafish
12 embryo in between 18 hours and about 30 hours is finishing
13 segmentation and organogenesis. The head of -- head
14 straightens basically. So as this -- as this angle
15 between the head and the trunk increases, it's a fairly
16 linear readout of where that embryo is in time. And so we
17 use both head-trunk angle as well as embryo length as a
18 quick readout for developmental progression.

19 And we exposed zebrafish to -- to this chemical
20 library at two concentrations between the hours of 19 and
21 25 plus fertilization. We did this because this is the
22 window when head-trunk angle and length have very linear
23 relationships to time. Let's see. And we -- just because
24 this came up earlier, we did this on dechorionated
25 embryos.

1 now to -- to try to understand, you know, what happens in
2 embryos that are going at different speeds. So we're
3 approaching this -- this question from a few different
4 angles.

5 So I think I'm -- I'm like a couple minutes over,
6 so I'm going to wrap up now. Hopefully, I've been able to
7 give you an impression of how we can use our quantitative
8 single-cell approach to understand the process of
9 development in a sort of new way, in a quantitative way
10 that let's us make specific statistical measurements and
11 to do so across the embryo both in normal conditions and
12 ways that allow us to infer ontogeny using lineage
13 barcodes. We can use this approach to understand the
14 details of perturbations. And hopefully, we'll -- we'll
15 get some insights into timing mechanisms as well.

16 And So I just want to quickly thank the group, my
17 lab. The tempo project that I talked about at the end,
18 was -- is carried out by a grad student Chris Chen here
19 and his summer student Sarah Foust. And so just want to
20 thank the lab and our collaborators at UCSF who we work
21 with on a number of projects. And thanks to all of you.
22 So I -- I guess I'll answer any clarifying questions that
23 may have come up. Thank you.

24 CHAIRPERSON LUDERER: Thank you, Dr. Wagner for
25 that fascinating talk. Just looking to see whether we

1 have any raised hands for clarifying questions for Dr.
2 Wagner.

3 DR. WAGNER: Okay.

4 CHAIRPERSON LUDERER: Patrick.

5 COMMITTEE MEMBER ALLARD: Yeah. Thank you so
6 much for this -- this wonderful talk, fantastic talk. I
7 had a question regarding the -- the loss of function work
8 that you did so -- with no gain, right? So you can -- you
9 can capture by single cell these pretty dramatic changes
10 from a -- from a functional perspective, but I was
11 wondering what that looks like when you do perhaps -- when
12 you look at the hypomorphic situations where things may
13 not be as dramatic or when you do pharmacological
14 interventions, if you've tried that, and -- and whether
15 the single cell data still gives you clear answers or
16 whether it gets a little bit messy because cellularization
17 is tough and doing single cell in general is very
18 computationally challenging.

19 DR. WAGNER: So I -- I'll say that the results
20 we've gotten from single cell analysis of perturbation
21 data have -- have been a bit surprising. I would say that
22 we've -- I'm not aware of a case where we have seen
23 nothing yet, but I think chordin demonstrated a principle
24 that I think has been borne out in -- in many other
25 studies by other groups too. And that -- that's a first

1 observation. I think it's just interesting, and that's
2 that perturbations that exert massive patterning defects
3 on the embryo's body plan. So dorsalization,
4 ventralization, loss of entire organs, or, you know,
5 states that -- that are completely lethal that look
6 terrible when you actually look at the embryo under a
7 microscope, that the individual cells in -- in those
8 embryos often still look quite normal. And so that's not
9 to say that the proportions are normal, but the actual
10 granular cell states themselves look generally normal.

11 So this is something we're really interested
12 in -- in exploring more systematically, so some of the
13 projects in the lab were comparing sort of different loss
14 of function phenotypes to gain of function phenotypes. So
15 I think gain of function phenotypes or overexpression
16 phenotypes are probably more likely to produce novel
17 states or pathogenic states, and we're very interested in
18 how an embryo would resolve states like that.

19 So I think the -- yeah, in some ways, we didn't
20 really see what we -- what we kind of expected to see more
21 aberrant states and we just haven't seen that and that's
22 been true for other groups as well, generally for loss of
23 function mutations. So we're gearing up right now to do
24 single-cell analysis on some drug perturbations starting
25 with retinoic acid. And I guess it -- we have yet to see

1 if -- if that's going to be a similar case here.

2 But, yeah, I think the one thing that's been
3 very, very robustly seen in single-cell analysis is -- is
4 sort of whether specification fails or not. So that's --
5 that's something that's easy to see.

6 COMMITTEE MEMBER ALLARD: Thank you.

7 DR. WAGNER: I will say one more thing related to
8 that too, and that's, you know, these single cell
9 measurements are just a particular set of measurements.
10 We could -- this is the transcriptome. So there's a lot
11 of other variables related to cell identity. So there's
12 epigenetic signatures, there's -- there's, you know,
13 post-translational modifications. We're not seeing any of
14 those things. So to the extent that changes in a
15 particular pathological context eventually feedback and
16 change the transcriptomes, we'll see that. But there are
17 things that we wouldn't expect to be able to see with
18 this.

19 CHAIRPERSON LUDERER: Thank you. I see we have a
20 question from Dr. Baskin.

21 COMMITTEE MEMBER BASKIN: Hi. Larry Baskin.
22 Fantastic talk. More of a technical question. You showed
23 some beautiful data that early on in embryogenesis the
24 cells were basically the same and then you move out, you
25 know, four or five days, case and very, very -- very

1 different. How do you know where -- where you're getting
2 the cells from and are you using markers, for example, for
3 mesoderm, ectoderm, endoderm or is it just where you place
4 the needle so to speak.

5 DR. WAGNER: So, in general, we can do surgical
6 enrichments, and we've done that for a few experiments.
7 For the data I showed today, we're actually disassociating
8 the entire embryo, so we're taking all the cells from
9 everywhere and we're allowing our computational algorithms
10 to -- to put it all back together based on transcriptional
11 signatures. And so then we use markers in silico to -- to
12 sort of determine which -- which clusters of cells go
13 where.

14 And so I just showed a few little examples of
15 some marker genes that we use to say, okay, this branch is
16 expressing all the mesoderm markers we expected and this
17 other branch is expressing all the epidermis markers. And
18 generally it's -- when you get into those data, it's very
19 convincing, because the cells are the -- you know, the
20 cluster doesn't just express one marker, it expresses
21 dozens of markers that are all specific to a -- to a
22 particular branch.

23 So, yeah, we've -- we've generally done pretty
24 well allowing the data to tell us which cells are -- are
25 which. I don't know if that answers the question, but...

1 COMMITTEE MEMBER BASKIN: It kind of does. It
2 seems likes it's a little more conducive to the earlier
3 embryos as opposed to later on when you're -- you know,
4 have a lot of, you know, blood vessels and all types of,
5 you know, different -- the complexity, I think, I guess
6 increases but in the system of the zebrafish earlier on,
7 it seems like this is -- pretty kind of spot on.

8 DR. WAGNER: Yeah, and I think the -- you know,
9 the loop that I talked about where, you know, cells that
10 have distinct ontogenies as they differentiate, they start
11 to look more like each other, even though they're not
12 related in their lineage. This is abound to increase
13 dramatically after 24 hours. So hox genes are only
14 expressed for so long. So some of the markers that --
15 that allow us to distinguish these spatial domains, we
16 don't expect those to remain on. And so we -- we may see
17 a collapsing of these things.

18 But, you know, within -- within this -- this
19 particular time window, it's -- you're right, it is -- it
20 is pretty good. I think if we wanted to, you know,
21 preserve other -- sort of other identities or spatial
22 origins, you know, we can turn to other methods or include
23 other modalities in this. So optogenetics to mark cells
24 with -- of a particular region, such that they would have
25 a label that we could read out with our transcriptional

1 profiling and sort of see that in the data as well.
2 Surgical Techniques, there's -- there's sort of ways to go
3 about it, if needed.

4 COMMITTEE MEMBER BASKIN: Thank you.

5 CHAIRPERSON LUDERER: Okay. Thanks.

6 Dr. Pessah.

7 COMMITTEE MEMBER PESSAH: Hi. Very nice
8 presentation. I was wondering, have you segregated on
9 sex, but you don't know the sex at that point or -- and
10 different lines of zebrafish, do they converge? Does the
11 machine learning algorithms converge on a common pattern?

12 DR. WAGNER: So, let's see, the answer to the
13 first question is we don't know the gender. We haven't
14 looked for that at all yet. It's too early. The second
15 question is, yes, we actually had two -- two strains in
16 our initial data set and I didn't show that they -- they
17 lay on top of each other almost perfectly. And another
18 data set that hasn't been published yet by a colleague,
19 they've seen the same thing.

20 So, I think -- I mean, that's something
21 interesting I didn't really go into, but, you know, we --
22 we often think about or wonder about strain differences,
23 but at least at the -- at this level of transcriptional
24 similarity across tissues, the signatures that distinguish
25 tissues from each other at that level, we haven't really

1 seen any trends strain by strain.

2 COMMITTEE MEMBER PESSAH: Thank you.

3 CHAIRPERSON LUDERER: Great. Thank you.

4 So it looks like we don't have any additional
5 clarifying questions, so then we can move into our
6 discussion part two with both Drs. Panlilio and Wagner.
7 And we have about -- maybe a little -- about 30 minutes
8 for this.

9 So to get us started, maybe something to think
10 about some suggested questions for discussion. So in the
11 absence of mammalian and mechanistic data on effects of
12 chemicals like domoic acid or bisphenol A, could zebrafish
13 screening studies for general and/or neurological effects
14 alone have indicated the need for additional studies to
15 fully characterize toxicity in mechanisms of action? So
16 that's one thing to think about.

17 Another question is to design appropriate
18 zebrafish studies, what other types of evidence could help
19 define appropriate lines of experimentation? So, for
20 example, with the domoic acid and bisphenol A, we have
21 documented adverse effects on wildlife that correspond to
22 those observed in humans and test animals, and which can
23 then suggest detailed laboratory examination of adverse
24 effects and mechanisms.

25 And finally, another thing to think about is

1 should zebrafish data generated for the purpose of acute
2 fish toxicity testing conducted for environmental hazard
3 assessment, be more generally incorporated into
4 consideration of likely adverse harm to humans.

5 So those are some sort of food for thought. And
6 any discussion from members of the Committee or from any
7 of our speakers, including from this morning, want to kind
8 of go -- take -- riff on any of those or -- or have some
9 other points of discussion they'd like to the bring up?

10 I know Dr. Woodruff had some -- had a question
11 earlier today that -- which she deferred. Would you like
12 to start with that?

13 COMMITTEE MEMBER WOODRUFF: Oh, you're calling on
14 me, because everyone is like, whoa, that was a lot of
15 really amazing content.

16 (Laughter).

17 COMMITTEE MEMBER WOODRUFF: Okay. I was
18 listening to your questions and I'm thinking through --
19 yeah, I guess I had a couple of thoughts, right? So, I
20 mean, you guys presented a lot of really detailed and, you
21 know, biology and mechanistic information. And, you know,
22 kind of trying to think how that -- I'm thinking through
23 the -- that kind of piece of it and some of the -- I mean,
24 I -- it's interesting to look at the different ways to
25 measure influences on development. And you both talk a

1 little bit about this, including, you know, the response,
2 or how fast the head unfurls, and -- but I think we're --
3 you know, when we're trying to think about how to use this
4 information, it all points towards, and what I'm hearing
5 from you, is that these are different ways to interrogate
6 development using the zebrafish model, that -- and maybe
7 in the domoic acid, I think it's -- and I wanted to follow
8 up on this question, is that what -- you know, that's
9 definitely a toxic chemical and that you're -- you're -- a
10 lot of the work that you were doing was looking as these
11 windows of susceptibility.

12 And I thought what was pretty interesting about
13 that, which was a follow up on the question, Ulrike, that
14 I had, which was you were looking at acute exposures. But
15 if you had done a chronic exposure across all the windows,
16 you still would have identified that effect, right,
17 because what you saw is that -- I think it was
18 post-fertilization one and post-fertilization four, you
19 got kind of a mild effect that was higher at the high
20 doses, but at the two, everything was depressed.

21 So I think, you know, when we're thinking about
22 trying to interpret data for the kinds of chemicals that
23 we're looking at, it's useful to see, you can identify
24 windows of susceptibility, but a chronic exposure could
25 also, right, is what I would take if you had exposed your

1 embryos chronically. You probably still would have seen
2 it, but you wouldn't know exactly when that -- what was
3 the timing that was influential, right -- is that right?

4 DR. PANLILIO: Right. And I think -- so in
5 addition to that -- so that's right. So like let's say
6 that would have required me essentially to be
7 microinjecting every day from one to four. But then one
8 thing that that -- that may do is that may miss sort of
9 lower dose exposures that can in -- and happen in a single
10 hit. That's my first thought on that.

11 But most importantly what I like about using
12 windows of susceptibility is it also gives you a clue for
13 the mechanisms of action, right --

14 COMMITTEE MEMBER WOODRUFF: Right.

15 DR. PANLILIO: -- because you're -- if you're
16 exposing them through the entire period of time, you don't
17 really know which neurodevelopmental processes are
18 potentially targeted by that. So part of the reason why I
19 did that was like, okay, so we know that the developing
20 nervous system is a target for domoic acid, but what
21 within the developing nervous system and what processes
22 are perturbed. And so that sort of gives you a little bit
23 better of a clue as to like what we need to be watching
24 out for. Like kind of going to that guide question about
25 how we can use zebrafish to understand like, you know, the

1 mechanisms by which we like a toxin or toxicant works,
2 right? But then --

3 COMMITTEE MEMBER WOODRUFF: Yeah, so I'm -- oh
4 sorry. Go ahead.

5 DR. PANLILIO: Go ahead.

6 No and --

7 COMMITTEE MEMBER WOODRUFF: You want to just --
8 you go first.

9 DR. PANLILIO: Go ahead.

10 (Laughter).

11 COMMITTEE MEMBER WOODRUFF: Well, I guess what
12 I'm thinking is -- and it's with both those examples is
13 that from the purposes of where we're looking at the
14 chemical -- environmental chemical exposures that you --
15 you could -- if you don't hit a particular mechanism, that
16 you have been -- as you are working through these
17 experiment -- experiments, if you don't hit that
18 particular mechanism, and you don't evaluate that, you
19 could miss a chemical as opposed to, you know, if you're
20 doing this chronic exposure domoic acid and you -- you
21 have an experimental condition that, I guess, I think is a
22 little bit broader, so you make sure you don't miss
23 something, it's kind of -- I think our -- you know when
24 people have been asking questions about exposure, and that
25 was interesting about how you did your dosing, I -- that's

1 very important and the chart -- what we're -- I think
2 we -- you know, as scientists, we're super interested in
3 all the shape of the dose response curve and the
4 mechanisms, but our charge on the Committee is that it can
5 be a response at any exposure.

6 So even though you have these higher exposures --
7 I guess, you didn't -- weren't really sure -- or there
8 hasn't been some experiments done to look at the
9 difference between the injection versus if you stuck them
10 in a dish of the --

11 DR. PANLILIO: Right. Actually, no there has
12 been. So I will say, so two things. So it also matters
13 what type of injections. So the paper that I referenced
14 above where they do -- it's very -- it's much more common
15 to do yolk injections, for example, at earlier stages. To
16 see any sort of effects, they had three to 260-fold higher
17 doses they had to use. So I think method is important.

18 And also I -- I did try water waterborne
19 exposures and we did up to 40 micromolar of domoic acid
20 and we didn't see any effect whatsoever. So I do -- I do
21 think that, you know, the problem is, of course, this is
22 not high throughput at all, and that's hard. So it would
23 be, for example, I suspect missed in a screening process
24 to do this work, because I just didn't -- I was not able
25 to see it in waterborne. And this was through a chronic

1 exposure from I believe I started at 1 dpf all the way to
2 five with my highest dose of 40 micromolar. And so --
3 yeah, so that is -- you know, we talk about exposure and
4 exposure route and how that's important, so that's part of
5 it for sure.

6 COMMITTEE MEMBER WOODRUFF: But did you say --
7 you said also they sell this in the animal studies, right,
8 response?

9 DR. PANLILIO: Which part?

10 COMMITTEE MEMBER WOODRUFF: The domoic acid. Did
11 you mention that all --

12 DR. PANLILIO: Yeah, absolutely. So they did.
13 So like -- so, for example, in rodents either they
14 injected the mother, right, and they looked at the --

15 COMMITTEE MEMBER WOODRUFF: Okay.

16 DR. PANLILIO: -- you know, or they injected, you
17 know, neonates as well. And so I will say like what was
18 kind of assuring, right, is that we saw very -- at least
19 on the very acute neurotoxicity side, we saw very similar
20 phenotypes. So that sort of allowed us to think more
21 about using fish as a model to look at this.

22 COMMITTEE MEMBER WOODRUFF: Thank you.

23 CHAIRPERSON LUDERER: All right. Thank you.

24 DR. WAGNER: I wanted to -- I wanted to comment
25 on this thread a little bit too, just about the -- the

1 sort of potential benefits or use of the methods I talked
2 about today and sort of where they fit into -- into these
3 kinds of goals. So I think -- I mean, Stephanie Padilla
4 talked about this a little bit. And, you know, there's --
5 it sort of depends on the goal of the experiment how many
6 animals you use, what level of resolution we choose to ask
7 a mechanistic question.

8 So, you know, because our single cell methods
9 that are -- you know, they're getting cheaper every day,
10 but they're still fairly expensive. It's never your first
11 experiment, so we do the same dose response curves. Even
12 for CRISPR or mutants, we establish using morphology the
13 general phenomenology defect first. And then when it's
14 very reproducible, we use our method to go in and get deep
15 mechanistic insights into what's specifically going wrong
16 under a very small number of conditions. We can't -- we
17 can't effectively apply -- apply this technology to a
18 thousand conditions. We can apply it to a few.

19 I would say one area in which the single cell
20 approach could be very powerful and maybe different is
21 that it can be -- it can be good in assessing defects that
22 might be mysterious, or pleiotropic, or where it's just
23 difficult to nail down with markers like one at a time,
24 like which -- which -- which sort of tissues might be
25 affected or if multiple tissues are simultaneously

1 affected by perturbation.

2 Those are things that we can actually evaluate
3 pretty easily and without the need -- without even the
4 need for a hypothesis. So you know, we just look at every
5 single gene and every single cell and then ask the fish,
6 you know, what changed. And so we can -- I guess, we
7 could be more open-ended in terms of mechanism, as long as
8 we know we're looking at the right time and place. So I
9 don't know if that helps, but that's -- that's sort of how
10 I see a method like the single cell fitting in.

11 COMMITTEE MEMBER WOODRUFF: Right. And I was
12 kind of thinking also, but your -- your approach could
13 identify kind of unique pattern signals that could --
14 could interrogate later, right? Rather than doing more
15 broader evaluation, you could perhaps target some of your
16 exposures also on particular unique patterns.

17 DR. WAGNER: Absolutely, yeah.

18 COMMITTEE MEMBER WOODRUFF: Thank you.

19 CHAIRPERSON LUDERER: Thanks.

20 Irva, you've had your hand up for a while.

21 And I think you might still be muted.

22 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah. I
23 wasn't muted any more, but I was trying to get the video
24 on. It seems to have a lag.

25 Okay. So I guess this has been really

1 interesting, because I have to say that I tend to be
2 skeptical as we get more and more away from humans, and
3 then away from mammals, and then away from, you know,
4 whole organisms, where when we talk about exposures, which
5 is, you know, what DARTIC is about, where, you know, the
6 models seem, you know, to be getting further and further
7 away from what we're trying to do.

8 But I will say that I -- I have been really
9 impressed, and I -- I think the bottom line that I've kind
10 of come out with from today and all of the presentations
11 really, you know, beginning with -- with Bruce's, you
12 know, comparisons of the, you know, the organs, and all
13 the way through Stephanie's presentation and, Jennifer,
14 yours, and Dan's well, that this -- there are a lot of
15 similarities that make, you know, the comparisons I think
16 useful and certainly from the perspective of just hazard
17 identification, which, you know, is sort of that -- that
18 first step that -- that -- that we are, as a panel, are --
19 are, you know, supposed to be trying to -- to take based
20 on the best available evidence.

21 And, you know, I -- I understand that there's
22 been sort of that -- the level for, you know, in vitro
23 testing has been kind of -- is always seen as being, oh,
24 it can be confirmatory, but -- you know, and so forth.
25 And -- and I -- I still think that the -- the -- the

1 translation from species to species needs -- needs --
2 needs work to establish when things translate and when
3 they don't. And when people bring out that -- I don't
4 know, one of the examples early on, and I can't remember
5 what the chemical was, but you got negative effects in one
6 set of experiments or one species, and then positive in
7 the other. And, yeah, that tends to erode the confidence
8 that we can use that data. You know, that that's going to
9 actually be useful for -- for our purposes.

10 But I -- I think the kind of careful mechanistic
11 step-by-step sort of laying out of -- of biological
12 responses and the consequences of those responses, and the
13 pathways that -- that follow one after the other of these
14 consequences is the kind of data that to me does speak to
15 the relevance for -- for -- you know, for regulatory, you
16 know, purposes such as -- such as the Prop 65 that we
17 are -- we are working under.

18 So, yeah, I think that -- that's really -- it's
19 not really a question, but just a comment on, that I -- I
20 feel I really gained a much big -- better understanding
21 that the zebrafish is more than just, oh, we -- there's
22 this transparency and you can see things happening
23 internally, you know, from this.

24 And, yeah, that's -- I mean, I think that's kind
25 of the question before us is what -- what -- what is the

1 utility of zebrafish modeling? I see that it's really got
2 a lot of features that make it very relevant and that the
3 right kinds of studies can really help us in the
4 regulatory realm.

5 CHAIRPERSON LUDERER: Yeah. Thanks. And I think
6 that gets to kind of one of the questions that we were --
7 that I, you know, read through at the beginning, which is
8 this idea that using some model like the zebrafish model
9 could potentially use -- be used as screening -- you know,
10 in screening for various different kinds of effects, and
11 that might indicate the need for additional studies to
12 characterize -- you know, more mechanistic studies to
13 further characterize the mechanism of action of a
14 toxicant.

15 Dr. Pessah

16 COMMITTEE MEMBER PESSAH: My question is for Dr.
17 Panlilio. I was wondering, so you found a critical window
18 at two days post-fertilization for domoic acid. Would
19 there be any advantage to letting those fish go past day
20 10, when they've got a more complex array of functions
21 that you can test? Is that something in the work, because
22 I think that would be very informative.

23 DR. PANLILIO: Absolutely. It was actually a
24 slide I took out, but thinking about sort of the long-term
25 consequences of setting up a nervous advertise and

1 perturbing it during these critical windows and looking at
2 what that looks like in adulthood is absolutely critical.

3 The one thing that is a little bit harder is that
4 when we start going to adulthood and just with complex
5 behaviors, we don't know as much about the sort of
6 underlying neural circuits for a lot of these behaviors.
7 And I will argue that while there are some really
8 wonderful labs and wonderfully established behavioral
9 protocols to test for, for example, adult behavior or
10 adult endpoints, it's a little less established than like,
11 for example, mouse behavior in some ways.

12 And so while we can do that and we can test, for
13 example, the adult behavior, what does this mean in terms
14 of, okay, yes, we know that there was a perturbation prior
15 in development and now let's say there's this deficit in
16 social behavior that we see in adulthood, how does -- how
17 do we connect that prior insult to that later adult
18 behavioral endpoint is still tricky, just because we know
19 less about the circuits that drive adulthood.

20 I mean, just because that's -- you know, there
21 are still blank boxes there, that doesn't mean it's not
22 important. And I do think growing the fish up and doing
23 that sort of study is important. And I do believe there
24 are people working on that.

25 CHAIRPERSON LUDERER: Okay. Thank you.

1 I know we are supposed to take a break of about
2 15 minutes and I'm wondering whether this would be a good
3 time to do that.

4 So if I'm not hearing anything from the staff
5 that we should not take a break now, why don't we go ahead
6 and take our 15-minute break. And then when we come back,
7 we'll be talking further, discussing more about the use of
8 zebrafish data in developmental and reproductive
9 toxicant -- toxicity health hazard assessment.

10 So it is 3:02, so why don't we come back around
11 3:15 and then reconvene then. All right everyone have a
12 good break

13 (Off record 3:02 p.m.)

14 (Thereupon a recess was taken)

15 (On record: 3:15 p.m.)

16 **PART III. USE OF ZEBRAFISH DATA IN DART**

17 **HEALTH HAZARD ASSESSMENT**

18 CHAIRPERSON LUDERER: Okay. Welcome back,
19 everyone. We're now moving on to part three of our
20 discussion and session today. And that's on the use of
21 zebrafish data in DART health hazard assessment.

22 We have about an hour -- up to an hour to discuss
23 this among ourselves and with all four of our invited
24 speakers. So we have several questions that we could
25 discuss and think about. So starting with the first one,

1 given that biological differences between zebrafish and
2 mammals, for example, lack of internal fertilization and
3 pregnancy, what are the issues that be should be discussed
4 in considering zebrafish data in human health hazard
5 assessment?

6 Another is how might considerations of life stage
7 and windows of susceptibility be used in evaluating data
8 from zebrafish?

9 What are some ways routes of exposure in
10 toxicokinetics could be considered in interpreting the
11 results from zebrafish assays?

12 So those are some things -- some points that we
13 might want to discuss.

14 Also, applications of the zebrafish model focus
15 on upstream essential processes in reproduction and
16 development, rather than final apical outcomes and how
17 should this be considered when we consider different data
18 streams?

19 And finally, another question to think about is
20 given the diversity of zebrafish study types and outcomes
21 measured, how might these studies be best evaluated for
22 quality of the studies for purposes of hazard and risk
23 assessment.

24 So if any one of our speakers or panel members
25 have thoughts upon -- about any of those, please go ahead

1 and raise your hands and I will call on people.

2 Let's see, I think -- I believe that's, yes,
3 Patrick. Dr. Allard.

4 COMMITTEE MEMBER ALLARD: Yeah. Thank you. I --
5 I guess what I'm struggling with, when I'm thinking about
6 mammalian development and zebrafish development is to
7 think about the timing of things and thinking, for
8 example, of -- that's just one example, the blood-brain
9 barrier formation and whether it forms in a way that's
10 analogous to the generation, the birth of the same
11 neuronal types that we're going to then try to examine and
12 compare with in -- in mammals.

13 So -- so I guess this is -- this question is for
14 the panel members and maybe we can just think about the
15 blood-brain barrier formation. What do we know about it
16 and how is the timing comparable or dissimilar between the
17 two, and what are some of the lim -- related to that, what
18 are some of the limits to the extrapolation that we can
19 make between zebrafish and -- and mammalian species?
20 Should I direct the question to one of the speakers?

21 CHAIRPERSON LUDERER: To one of the speakers?
22 Yes.

23 COMMITTEE MEMBER ALLARD: I think this would be
24 for Dr. Panlilio perhaps.

25 DR. PANLILIO: Hi. It's a really interesting

1 question. I've thought about this. So, I mean, it occurs
2 slowly over time where the largest chemicals get -- start
3 getting excluded at 2 dpf, so I was thinking about that in
4 terms of my -- my windows of susceptibility. And so --
5 but it is a really interesting question to think about,
6 okay, so how does that relate -- so I know how that looks
7 like in terms of how does the blood-brain barrier
8 formation occur in fish relative to the developmental
9 processes in fish.

10 One thing that I think this question is really
11 interesting, because I'm trying to think about now how
12 that may be uncoupled for example with myelination that
13 happens, you know, in humans, that occurs like well into,
14 you know, early adulthood, right? And so you can imagine
15 that there are potentially processes where it will be --
16 depending on the structure of the chemical, that, you
17 know, you'll see it in fish and you won't necessarily have
18 to worry about it as much in humans.

19 It's not necessarily true for domoic acid, just
20 it's -- it's a very small, you know, neurotoxin, but it
21 is -- it is definitely something to consider is like again
22 not only expose -- exposure route, but like also like how
23 does a chemical toxin and toxicant, like how is it able to
24 get to target tissue? And, you know, and one thing about
25 domoic acid too in particular is there are specific brain

1 regions that we know of in adults that are more -- that
2 are more targeted, so for example the hippocampus, because
3 of how domoic acid can sort of shunt that blood-brain
4 barrier.

5 So, I mean, again, I -- so even if it does form
6 over time, and that's an important consideration, there
7 are also still target tissues that sort of allow for more
8 accessibility even without that. So I do think it's an
9 interesting question. I haven't -- I don't have like an
10 exact graphed out answer for how, you know, different
11 processes, neurodevelopmentally parallelize with that,
12 but...

13 COMMITTEE MEMBER ALLARD: Thank you.

14 CHAIRPERSON LUDERER: Dr. Pessah.

15 COMMITTEE MEMBER PESSAH: I guess I need to
16 question -- I think I heard that in -- in the injection
17 model with domoic acid, the dose may be high, but it's a
18 single exposure, and we don't really know the
19 pharmacokinetics, but you do get a very, very robust
20 response. And I believe the response, based on the data
21 I've seen and read about, but then you also just told us
22 that if you put domoic acid on postnatal day two up to 40
23 micromolar in the water, that you don't see any effect.
24 And this goes to my comments last year when we were
25 reviewing a particular set of compounds where I said,

1 well, these studies seem to lack face validity. And then
2 the question came up, well, what is face validity?

3 It means that what you think you're doing at the
4 very fundamental stage, which is the exposure stage,
5 really is occurring. And we -- we heard about, well,
6 small molecule domoic acid has a favorable log P. It
7 should be getting in past the chorion, if we understand
8 that the chorion has pores, and yet it doesn't do
9 anything, at least in this context.

10 When we then review papers, how are we to know
11 which ones have face validity and which ones don't?
12 Because unless you have internal concentrations and target
13 engagement as part of that data set, it may not be
14 relevant. I guess it -- and that -- and that goes for a
15 lot of animal studies, I mean, not just the zebrafish. I
16 like zebrafish by the way. They're great.

17 (Laughter)

18 CHAIRPERSON LUDERER: Any -- oh, Dr. Padilla.

19 DR. PADILLA: So, Isaac, I have -- I've thought a
20 lot about this, because I started working with zebrafish
21 at about the same time I started screening chemicals. And
22 screening -- and I thought, oh, zebrafish is going to be
23 such a big change, and it is. But screening chemicals is
24 a really big change too. And you have to -- you have to
25 get comfortable with not knowing everything. That's the

1 first thing.

2 And what I've always said with chemicals and
3 screening is there's lots of reasons to get a negative.
4 There are just lots of reasons to get a negative and you
5 really have no idea why you've got the negative. The
6 positive on the other hand, you do have something
7 happening. And so usually I -- I view the positives with
8 a lot more certainty than I view the negatives, because
9 there's just -- I mean, the chemical could have fallen,
10 the chemical could be sticking to the -- to the plastic,
11 the chemical could be sticking to the chorion, the
12 chemical could be sticking to the -- to the outside of the
13 embryo and never get inside the embryo, or it could be
14 breaking down, it could be pumped out by reverse pumps in
15 the zebra -- I mean, there's all kinds of things that
16 could be happening to give you a negative.

17 Put the positive means that something has
18 happened and let's go after it or let's let somebody else
19 go after it. But I guess that's one of the things.
20 That's -- and if you're only looking at negatives, then
21 you either need to know the chemical is there in the fish
22 or else you need to have -- the other thing you need to
23 have is some positive controls. So that's another thing
24 that you need to look in papers is are they running
25 positive controls. Do they -- can they pick up a

1 chemical, if it's a positive? And some papers don't do
2 that.

3 COMMITTEE MEMBER PESSAH: Agree.

4 DR. PADILLA: I mean, this is all pretty obvious,
5 but for me, it was -- it was a different mind set when we
6 started doing screening.

7 CHAIRPERSON LUDERER: Thank you.

8 Dr. Wagner, did you have a comment on that?

9 DR. WAGNER: Hi. Sorry. I had a comment on the
10 previous topic.

11 CHAIRPERSON LUDERER: Um-hmm.

12 DR. WAGNER: I just wanted to mention the
13 blood-brain barrier --

14 CHAIRPERSON LUDERER: Um-hmm.

15 DR. WAGNER: -- before we got too far away from
16 it.

17 CHAIRPERSON LUDERER: Sure.

18 DR. WAGNER: So, yeah, there is a -- there
19 have -- has been some really cool recent work done on the
20 zebrafish blood-brain barrier by Dr. Natasha O'Brown and
21 Sean Megason. So basically, the barrier forms at 3 dpf.
22 And there have been some -- some elegant studies that show
23 what you can do tracer dextran injections into the
24 bloodstream to investigate when -- when the barrier forms
25 and which regions of the brain and spinal cord become

1 protected and when.

2 And I believe some of the molecular regulation
3 that's -- that's been uncoupled so far supports a lot of
4 similarities between the zebrafish mammalian systems. So
5 it's a combination of transcytosis and tight junction
6 regulation that dictates what size molecules can get
7 through and when.

8 So there's a -- there's a literature on that.
9 I'm not personally an expert in it, but it is out there.
10 So I think you could -- you could definitely approach
11 questions of barrier penetrance and the zebrafish, if you
12 have the right timing, and knew where -- knew where to
13 look.

14 DR. PADILLA: My understanding is it's controlled
15 by many of the same molecular mechanisms too.

16 DR. WAGNER: Yeah.

17 CHAIRPERSON LUDERER: Yeah. Thank you for that.
18 Dr. Woodruff.

19 COMMITTEE MEMBER WOODRUFF: Just let me -- I just
20 want to make sure I got that -- I understood that, that
21 you said that the blood-brain barrier formed 3 days
22 post-fertilization, is that what you said? That wasn't my
23 question, but then when you said that, I wanted to make
24 sure I understood that.

25 DR. WAGNER: I think it's 3 to 5 days, but I'd

1 have to check to be perfectly --

2 COMMITTEE MEMBER WOODRUFF: But it's after
3 fertilization some day -- number of days.

4 DR. WAGNER: Yeah.

5 COMMITTEE MEMBER WOODRUFF: Okay. That's. Thank
6 you. I just wanted to follow up on Stephanie's point, and
7 I just -- going back to, you know, the ability of the
8 zebrafish to -- or exposures in the zebrafish to be
9 predictive of what we might see in other species. And I
10 thought your presentation on chlorpyrifos and BPA were
11 pretty illustrative. Those are two chemicals that we've
12 already declared as a committee as developmental
13 reproductive toxicants, and they seem to be well conserved
14 in your model. I wonder if you could comment on that
15 or -- in that, I feel that -- think -- I mean, I agree
16 about the exposures, but I also think that we -- thinking
17 about chronic exposures is really important for us,
18 because that's typically what we are looking at in our --
19 in the population of humans is not one-time exposures.
20 That can occur with some of these chemicals, but for many
21 of the chemicals that we're evaluating, it's a chronic
22 exposure.

23 And I think that the -- also, the information you
24 purpose -- presented on concordance in general with other
25 zebrafish models is also very useful for us, because I

1 think that zebra -- I'm just going to say, I think
2 zebrafish is an underutilized tool for us for evaluating
3 toxicity of hazards. And I think just thinking about all
4 the way to the beginning presentation about conservation
5 of development across species is generally true or
6 majority or super majority true, I think helps us -- I
7 think moves -- I think zebrafish should be, you know,
8 placed more in our wheelhouse for evidence that we're
9 evaluating for toxicity and hazard.

10 DR. PADILLA: So I actually don't really remember
11 collecting the BPA data. All I know is it was positive.

12 COMMITTEE MEMBER WOODRUFF: Oh, you showed some
13 on the -- on the slides.

14 DR. PADILLA: I know. I know.

15 COMMITTEE MEMBER WOODRUFF: Yeah.

16 DR. PADILLA: But as I said, I mean, we -- we
17 collect most of our data in a blinded fashion. We --
18 the -- for the chlorpyrifos, chlorpyrifos is a chemical
19 that -- that I studied in many different ways and I had a
20 lot of it, so -- and it tends to be very stable in DMSO.
21 And so we decided to use it as our positive control. And
22 it's very interesting, because we were checking these
23 plates every day. And I always would get sucked into
24 thinking that the chlorpyrifos positive control wasn't
25 working, because the fish would look completely normal up

1 until about day 5, which is about the time that the liver
2 comes on board. And so as soon as the liver comes on
3 board, and the Chlorpyrifos gets converted to the
4 chlorpyrifos oxon, then the fish begins to go down hill
5 developmentally. And by day 6, we always see either death
6 or malformation in the -- in the chlorpyrifos animals.

7 And we've -- we've also run other people's
8 chlorpyrifos. We've also run chlorpyrifos oxon and so it
9 is extremely toxic very early in development. And so it
10 appears to be behaving. We also use it -- we haven't
11 talked about this, but I also use it as a positive control
12 for my behavioral studies in zebrafish. So even when we
13 dose at levels that don't cause any developmental effects
14 in the zebrafish, we still see behavioral effects. And
15 the behavioral effects, if we keep them until they're 14
16 days old, are even more pronounced at 14 days than they
17 were at 6 days.

18 So it seems to be a chemical that is behaving as
19 you would predict it from the human and mammalian
20 laboratory animal tests in the zebrafish. But, I mean,
21 that's one example.

22 CHAIRPERSON LUDERER: Thank you.

23 Any -- any other thoughts or questions on that
24 topic or other topics? We can think about it while I ask
25 whether we have any public comments. Did we have any

1 comments from members of the public or questions?

2 **PUBLIC COMMENT**

3 MR. LEICHTY: If a member of the public would
4 like to make a comment, please use the Zoom function to
5 raise your hand.

6 CHAIRPERSON LUDERER: Have we -- we haven't
7 received any in the chat, I don't think, or -- I guess
8 they -- or -- or through email.

9 MR. LEICHTY: We do have one hand raised.

10 CHAIRPERSON LUDERER: Let's see. I'm not --
11 let's see, I'm not seeing that.

12 MR. LEICHTY: Okay. Well, I -- I can allow
13 that -- oh, well, it looks like they just lowered their
14 hand.

15 CHAIRPERSON LUDERER: Okay. All right. And we
16 don't have any to read either, is that right?

17 MR. LEICHTY: Okay. And they've raised their
18 hand again, so I'll let that person speak.

19 CHAIRPERSON LUDERER: Great.

20 MR. LEICHTY: You have five minutes.

21 MS. BURGESS: Thank you for this -- all these
22 presentations. They were really wonderful. My name is
23 Sean Burgess. I am at the University of California,
24 Davis. And I'm also a colleague of Dr. Draper who spoke
25 this morning.

1 I just want one comment about the chronic
2 exposure versus the kind of more immediate exposure that
3 was -- that was just mentioned. You know, I think that
4 one -- one point is is that, you know, during development
5 or during human development, there will always be some
6 stage where they're being exposed to whatever they're
7 being exposed to, and it can have an effect. So I don't
8 think -- I think that, you know, thinking about chronic
9 exposure is important, but I also think you can think
10 about how you can have kind of acute exposure just
11 because, you know, we develop and experience all stages
12 of, you know, embryogenesis. Any one of those could be
13 sensitive to one of those chemicals. So I was wondering
14 if anyone could comment on that.

15 CHAIRPERSON LUDERER: Thank you for that comment.

16 Did any of the -- I think you mentioned one of
17 the speakers. Do any of the speakers want to comment on
18 that?

19 I mean, certainly I don't think we should
20 discount acute exposures. You know, obviously, they --
21 you can have different -- different information based on
22 the exposure paradigms and that obviously is something to
23 think about what are the -- what are the exposure
24 paradigms that maybe would be most useful in this type of
25 an application. And I think there was an argument made

1 for -- for chronic exposures, but certainly as we saw from
2 some of our speakers, acute exposures can also have very
3 pronounced effects. And I see that Dr. Padilla has her
4 hand raised.

5 DR. PADILLA: Yes. So, I mean, one of the things
6 that we've often thought about, sort of like what Sean is
7 talking about, is one day in the life of a zebrafish
8 embryo is a very long time when you think of it compared
9 to a human embryo or -- I mean, so it's -- it's hard to
10 quantify those differences, and to -- and to equate them.
11 So, I mean, so much happens in a very, very short time for
12 zebrafish development that -- that, you know, an entire
13 human trimester could be a day and a half. And so it's --
14 it's -- it is -- it's going to be hard to equate those and
15 decide how you feel about them.

16 Thank you.

17 **COMMITTEE DISCUSSION**

18 CHAIRPERSON LUDERER: Thank you. Any other
19 thoughts from other panel members or speakers?

20 Dr. Allard

21 COMMITTEE MEMBER ALLARD: Yeah. I guess I'm
22 going to react to some of the comments that I heard
23 earlier, and forgive me if my comments are not necessarily
24 well put together, but I heard the comment moving away
25 from the point of interest, and, you know, thinking about

1 the concordance sometimes between rodent species, between
2 a mouse and a rat, or, you know, thinking about the
3 lagomorphs as well, like bringing in the rabbit. And the
4 problem is is between each one of them and -- and humans,
5 those concordances are sometimes not great either. And
6 using an evolutionary approach where we look at multiple
7 species of the same time to really identify the critical
8 chemicals that seem to alter the same developmental
9 pathways or similar developmental pathways across phyla is
10 actually to me a much more powerful tool to really
11 identify something that will be potentially potent in --
12 in humans as well.

13 Yeah. The other thing I think that's -- to me
14 that's also important to think about is that I think we're
15 all kind of making, or some of us, including me, I think
16 we're making comments about, you know, replicability
17 between laboratories and whether that's concerning or not.
18 But this problem for replicability, at least I'm -- I'm
19 sort of heartened to see that -- that, you know, several
20 labs look at the data, analyze the data together using the
21 same tools, which is often lacking in vertebrate data,
22 which also suffers from replicable -- replicable -- the
23 lack of repetition -- I cannot say it, but you understand
24 what I'm trying to say. And we've seen that on this panel
25 before, right? We look at this data and we have X number

1 of studies showing a positive outcome and X number of
2 studies showing a negative outcome and then we use weight
3 of evidence.

4 It's kind of part of the -- the process of
5 weighing those things. But at least with zebrafish data,
6 what I'm again heartened to see, and I'll repeat myself,
7 is the fact that then you can take large data streams
8 where people have screened large amounts of chemicals,
9 large number of chemicals and then use similar
10 methodologies to -- to analyze this data and compare it.
11 And I -- I think this is actually very powerful.

12 CHAIRPERSON LUDERER: Thank you, Dr. Allard.

13 Let's see, I just that someone else had their
14 hand raised, but I think -- okay. I think they lowered
15 their hand.

16 Any other comments from other Committee members
17 or from any of the panel members?

18 Dr. Baskin.

19 COMMITTEE MEMBER BASKIN: So, you know, looking
20 at all the zebrafish data and then getting, you know,
21 incredible lectures today, it seems like it's going to be
22 a very important model. And I was kind of just asking
23 staff of OEHHA, it -- would the future possibly be that
24 this would be kind of our first line of screening tool and
25 then if there was a question, we would still go to, you

1 know, mouse/rodent models, or do we think that this is
2 going to replace the use of mouse and rats?

3 CHAIRPERSON LUDERER: So you're ask -- are you
4 asking for the staff to respond to that?

5 COMMITTEE MEMBER BASKIN: Or whoever would like
6 to comment on it, because, you know, it's -- I just -- the
7 zebrafish is still a zebrafish.

8 CHAIRPERSON LUDERER: Dr. Sandy, did you have a
9 comment?

10 DR. SANDY: Yes. For OEHHA, and others can jump
11 in, but I'd just say that we're not proposing that we
12 would use this as a screen or first step, but we're just
13 acknowledging that there are more studies out there in the
14 literature when we do a search for DART effects on a
15 particular -- with a particular chemical. We're going
16 to -- we're running into -- we already have been
17 presenting it over the last few years data on zebrafish.
18 And we anticipate that we'll see more and more data in
19 zebrafish, so we thought it was useful to delve into this
20 topic in more detail today.

21 CHAIRPERSON LUDERER: Thank you.

22 Dr. Woodruff.

23 COMMITTEE MEMBER WOODRUFF: Yeah, I would hope
24 that we see zebrafish presented as another stream of
25 evidence along with our human and mammalian evidence. I

1 mean, I -- the data presented today -- I guess I would not
2 say just screening, but it's a line of evidence along with
3 what the other pieces of evidence that we have, and the
4 presenters, including using it to interrogate
5 developmental biology that's relevant to humans, though it
6 feels that this is important sorts of information for us
7 to be evaluating as we're deliberating on our decisions.

8 CHAIRPERSON LUDERER: Yeah. Thank you. And, of
9 course, we're also evaluating mechanistic data streams
10 too --

11 COMMITTEE MEMBER WOODRUFF: Right.

12 CHAIRPERSON LUDERER: -- with culture models and
13 putting it all together.

14 COMMITTEE MEMBER WOODRUFF: And there's
15 mechanistic data in the zebrafish data.

16 CHAIRPERSON LUDERER: In the zebrafish data, yes.
17 Yeah. Dr. Padilla.

18 DR. PADILLA: So I just want -- sorry to talk so
19 much, but I'm really enthusiastic about this. And so one
20 of the things that we haven't talked about today is there
21 is a whole literature on using zebrafish to discover the
22 underpinnings for human disease. And there's been very
23 successful -- there's a whole literature about tank to
24 bedside, where there's -- there's very little laboratory
25 mammals in between. And that's also very interesting and

1 may give you more faith in some of the decisions that you
2 want to make.

3 And then also, I wanted to respond to Dr.
4 Baskin's remark. As somebody who's been trying to
5 convince the regulatory community of the usefulness as
6 zebrafish, one of the things -- and you sort of alluded to
7 this. The first thing is sort of a screening of
8 prioritization, right? We have 100 chemicals, which ones
9 are the worst -- which ones are the worst actors? And so,
10 you know, can we identify those and then test them in our
11 more familiar laboratory animals?

12 And then as the Europeans are beginning to move
13 to, they -- you know, actually regulating on human
14 toxicity using Zebrafish data. But for that, of course,
15 you need to understand exposure. So these are very common
16 problems that many, many other regulatory agencies are
17 trying to struggle with.

18 And in my experience with the EPA, 10, 15 years
19 ago, they really weren't too much interested in zebrafish
20 data. But now, because there is so much out there, as --
21 as you all were talking about, you do a search and all of
22 a sudden you've got all these zebrafish papers. There's
23 so much out there, that it kind of behooves people to
24 figure out how it is useful, which is I know what you all
25 are struggling with, but everybody else is struggling with

1 it too.

2 But there is a whole literature out there using
3 zebrafish to -- to determine mechanisms and mechanisms of
4 disease and identifying chemicals that could possibly
5 treat disease that we haven't even touched on.

6 CHAIRPERSON LUDERER: Thank you.

7 Dr. Hertz-Picciotto.

8 COMMITTEE MEMBER HERTZ-PICCIOTTO: All right.

9 Yeah, I think several people have already, you know, hit
10 on some of the main -- the main issues, and just somewhat
11 reiterating and maybe putting a little different light on
12 my earlier comments. You know, I find the -- what -- you
13 know, what Stephanie just said to be really interesting
14 that, I mean, obviously we're focused on developmental,
15 reproductive, that's -- that's the -- that's this
16 Committee's charge. But the use of zebrafish widely now
17 in a lot of diseases, I think, you know -- and I should be
18 looking at that too -- does -- does increase, you know,
19 some confidence in -- in utilizing these data.

20 And, you know, I continue to -- to be curious,
21 not just about dose but routes of exposures and that
22 entire, you know, unknown, and is there uncertainty really
23 about how -- how to -- how to compare across routes of
24 exposure, which -- and, in fact, I, you know -- really
25 some of the questions of -- for some of these exposures,

1 how are -- do we -- what data do we have about, you know,
2 low level human exposures. You have to kind of look at it
3 chemical by chemical. And there's still -- we have a lot
4 on some chemicals and maybe a lot less on some others,
5 including, you know, domoic -- domoic acid, it seems.

6 But, you know, with all of those caveats, the
7 basic question of does -- does this particular exposure
8 pose a potential -- does it have the potential to cause
9 reproductive or developmental harm? It still stands as --
10 as valid evidence in living systems, including organisms
11 that share quite a bit with the humans, and 70 percent
12 of our -- of our genes, so -- and the genes regulate our
13 responses to -- to, you know, exogenous insults.

14 So from that perspective, you know, I think, you
15 know, obviously it requires looking at all the mechanisms,
16 looking at exposures, looking at routes, looking at -- and
17 the endpoints and how, you know, what -- do those --
18 the -- do the -- what was it, the Mauthner -- Mathen --
19 I've already forgotten the name of the cells.

20 DR. PANLILIO: Mauthner

21 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yeah. Are --
22 do the correlates respond similarly to similar types of --
23 of triggers? And, you know, those -- those are -- those
24 are all answerable questions, you know, with -- with maybe
25 some certain kinds of experiments that are a little dif --

1 just, you know, slight perturbations of your -- of current
2 sorts of experiments, which, I mean, that's the beauty of
3 experimental science, that, you know -- as an
4 epidemiologist, I can boast that, oh, it's relevant for
5 the people we're trying to protect directly, but boy, do I
6 get envious when I hear some of these presentations where
7 you can, you know, have a very specific question, you can
8 answer that question, then take that result, and that
9 raises the next question of, okay, then does this -- you
10 know, the next step in the pathway.

11 So, yeah, it's -- it's -- it's compelling in many
12 ways and I -- and I really appreciate the presentations
13 and the -- and today really opening up that -- that --
14 that issue for us on this panel.

15 CHAIRPERSON LUDERER: Thank you.

16 Dr. Allard.

17 COMMITTEE MEMBER ALLARD: Sorry. I know I talk
18 too much. I guess I would just like to reiterate the
19 point made earlier is that as the number of studies are
20 growing again exponentially if you look at PubMed, and
21 we're going to -- probably going to review a lot more of
22 that data in the future, I think it's very important to
23 have expertise related to noncanonical or alternative
24 animal models including zebrafish especially, both on the
25 staff side and perhaps on this panel as well, so that we

1 can really easily tell apart a high-quality study, used
2 sound methods for people in the field as opposed to the
3 opposite, not sound methods. So being able to tease those
4 things apart will require expertise. And I'm not sure if
5 we right it now. It would be great to know whether we do
6 or not. But if we don't, then we definitely need to have
7 that in-house.

8 CHAIRPERSON LUDERER: Thank you.

9 I'm just looking to see if there are any
10 additional comments from panel members or from any of the
11 presenters.

12 Certainly, we've heard a lot of really great
13 presentations today about the -- the -- the utility of
14 using zebrafish to -- both to understand mechanisms and
15 to -- potentially for -- and also for -- for screening for
16 toxicity. And I -- as we -- I think we've heard from
17 several panel members too that the -- that the -- given
18 the increase in the number of studies in zebrafish and
19 also just the utility of looking at various different
20 model systems, and multiple streams of evidence from
21 mammalian systems and the fish models as well as
22 potentially other models, and of -- the utility of
23 comparing those systems and especially if there is -- if
24 there are similar signals that come out in multiple model
25 systems how that strengthens the evidence for reproductive

1 and developmental toxicity since that is our focus.

2 So are -- if there are no other comments that --
3 and I don't see any additional raised hands, then I think
4 that we can check one more time with Julian whether there
5 are any additional public comments. And if not, I think
6 we can move on to the next item.

7 MR. LEICHTY: I'm not seeing any at this time.

8 CHAIRPERSON LUDERER: All right. Great. Thank
9 you very much and I'd like to thank all of our speakers
10 again too.

11 **III. CONSENT ITEM - UPDATE OF THE CALIFORNIA**
12 **CODE OF REGULATIONS TITLE 27 SECTION 27000**
13 **LIST OF CHEMICALS WHICH HAVE NOT BEEN ADEQUATELY**
14 **TESTED AS REQUIRED**

15 CHAIRPERSON LUDERER: And now we're going to move
16 on to the consent item, which is an update on the
17 California Code of Regulations Title 27, Section 27000,
18 list of chemicals which have not been adequately tested as
19 required. So we are now ready to take up this consent
20 item. The Committee is being asked to affirm changes in
21 response to submissions from the Department of Pesticide
22 Regulation. The U.S. EPA has indicated that there are no
23 changes. This is a ministerial duty of the Committee and
24 that we rely on the information provided to OEHHA by the
25 Department of Pesticide Regulation and U.S. EPA in order

1 to identify the chemicals that need to be added to or
2 removed from the Section 27000 list.

3 I'd like to introduce OEHHA Special Assistant for
4 Programs and Legislation Julian Leichty to give the staff
5 present on this item.

6 Julian.

7 MR. LEICHTY: Thank you, Dr. Luderer.

8 So Proposition 65 requires the State to publish
9 and update annually a list of chemicals that are required
10 to be tested under federal or State law for
11 carcinogenicity or reproductive toxicity and have not yet
12 been adequately tested as required. This list can be
13 found in Title 27, Section 27000 of the California Code of
14 Regulations and is commonly referred to this -- to --
15 referred to as the Section 27000 list.

16 It's separate and distinct from the Proposition
17 65 list of chemicals known to cause cancer or reproductive
18 toxicity. Section 27000 list has no regulatory impact.
19 It does not require that any testing be done. Rather,
20 it's a source of information concerning chemicals that
21 need further testing pursuant to State or federal law.

22 To update the list, OEHHA requests information
23 from the California Department of Pesticide Regulation and
24 the U.S. Environmental Protection Agency's Office of
25 Pollution Prevention and Toxics, and Office of Pesticide

1 Programs each year.

2 This year, OEHHA staff reviewed these responses
3 and identified one recommended change to the Section 27000
4 list, removal of bromodialone. Based on information
5 received from DPR, data requirements for this compound
6 have been fulfilled and further carcinogenicity and
7 reproductive toxicity testing are not required.

8 The letter from DPR along with additional
9 background, response letters from U.S. EPA, a mock up of
10 the proposed change are available in the staff report
11 provided to the Committee and posted online on September
12 30th. The proposed change is also shown on this slide.

13 As Dr. Luderer mentioned, this is a consent item
14 and ministerial duty of the Committee, and that the DARTIC
15 and CIC committees use the information provided by DPR and
16 U.S. EPA to identify the chemicals that need to be added
17 to or removed from the Section 27000 list.

18 We ask the Committee members to vote in favor of
19 the proposed change, so we can update the list. Unless
20 you have any questions, I will now turn it back to Dr.
21 Luderer.

22 CHAIRPERSON LUDERER: Thank you, Julian. So
23 again, are there any questions from the -- any panel
24 members?

25 Okay. I'm not seeing any raised hands. The --

1 then I will read the question. So the question we're
2 voting on is should Section 27000 of Title 27 of the
3 California Code of Regulations be amended as indicated in
4 the staff report? And I will now call your names and ask
5 you to vote yes, no, or abstain on this question.

6 Dr. Allard?

7 COMMITTEE MEMBER ALLARD: Yes.

8 CHAIRPERSON LUDERER: Dr. Auyeung-Kim?

9 She may have --

10 COMMITTEE MEMBER AUYEUNG-KIM: Yes.

11 CHAIRPERSON LUDERER: Yes. Okay.

12 Dr. Baskin?

13 COMMITTEE MEMBER BASKIN: Yes.

14 CHAIRPERSON LUDERER: Dr. Carmichael?

15 COMMITTEE MEMBER CARMICHAEL: Yes.

16 CHAIRPERSON LUDERER: Dr. Hertz-Picciotto?

17 COMMITTEE MEMBER HERTZ-PICCIOTTO: Yes.

18 CHAIRPERSON LUDERER: Dr. Pessah?

19 COMMITTEE MEMBER PESSAH: Yes.

20 CHAIRPERSON LUDERER: Dr. Plopper?

21 COMMITTEE MEMBER PLOPPER: Yes.

22 CHAIRPERSON LUDERER: Dr. Woodruff?

23 COMMITTEE MEMBER WOODRUFF: Yes.

24 CHAIRPERSON LUDERER: Okay. And I also vote yes.

25 So I affirm that we have the, I guess, the

1 required -- we have a unanimous yes vote, and I think six
2 yes votes are required to affirm the change. So based on
3 that, we have more than six votes.

4 **IV. STAFF UPDATES**

5 CHAIRPERSON LUDERER: All right. And next, we
6 will move on to the staff updates. We'll close out this
7 meeting with these updates on the Proposition 65 listings
8 regulations and litigation that have taken place since our
9 last meeting. So I will again ask Julian to present on
10 the chemical listings and safe harbor levels.

11 MR. LEICHTY: Thanks again, Dr. Luderer.

12 (Thereupon a slide presentation.) .

13 MR. LEICHTY: So we are providing you with an
14 update on important Proposition 65 developments since the
15 last DARTIC Committee meeting. I'll start by going over
16 the chemicals or endpoints added to the Proposition 65
17 list or under consideration for potential listing as well
18 as data call-ins requesting information on chemical
19 toxicity. Then I'll discuss adopted and proposed safe
20 harbor levels.

21 After that, I will turn it over to our Chief
22 Counsel, Carolyn Rowan, who will provide an update on
23 other regulatory -- other regulatory actions and
24 significant Proposition -- Proposition 65 litigation.

25 Next slide, please

1 identification document. The data call-in ended last
2 April. BPS was one of the chemicals prioritized by the
3 DARTIC in 2020.

4 Next slide, please.

5 NEXT SLIDE

6 MR. LEICHTY: Since the Committee's last meeting,
7 safe harbor levels have been adopted in regulation for
8 carcinogens. A no significant risk levels -- no
9 significant risk levels were adopted for Oral and
10 inhalation exposures to 1,3-dichloropropene, 1,3-D, and
11 became effective October 1st, 2022.

12 We also proposed a safe harbor level for antimony
13 trioxide and are reviewing comments received on the
14 proposal. And now I will turn things over to Carolyn.

15 NEXT SLIDE

16 CHIEF COUNSEL ROWAN: Thanks, Julian.

17 So in the first Slide here, we have other Prop 65
18 regulatory actions from the past year. The first one on
19 the list is safe harbor warning for cannabis smoke and
20 Delta-9-THC exposure. The regs provide non-mandatory
21 specific safe harbor exposure warning methods and content
22 for weed sale products that can expose consumers to
23 cannabis smoke or delta-T -- delta-9-THC via inhalation,
24 ingestion, or dermal application and for environmental
25 exposures to cannabis smoke and delta-9-THC businesses --

1 where smoking of cannabis, or vaping, or dabbing
2 delta-9-THC occurs. So this regulation became effective
3 on October 1st, 2022.

4 We also have the safe harbor warning for
5 glyphosate. And this regulation provides safe harbor
6 guidance for businesses that cause exposures to glyphosate
7 from consumer products that require a warning. And this
8 regulation was recently adopted and will become effective
9 on January 1st, 2023.

10 The next step is the safe harbor warning for
11 acrylamide in food. This regulation also provides safe
12 harbor warning content. This one is for businesses that
13 cause exposures to Prop 65 listed chemicals in food and
14 beverages that require warnings. And we submitted it to
15 OAL last month and we expect to hear back on this proposed
16 reg by October 28th.

17 And finally, we are actually working on exposures
18 to acrylamide in cooked and heat processed foods. On
19 October 6th, we noticed a second modification of proposed
20 text and documents and information to the rulemaking file.
21 So this regulation is out for comment at the moment.

22 And litigation, I think is on the next slide.

23 NEXT SLIDE

24 CHIEF COUNSEL ROWAN: Thanks, Julian. We have
25 four cases that are currently active that I can provide

1 updates on. One is the Physicians Committee for
2 Responsible Medicine, or PCRM, versus Newsom. And that
3 case is a challenge to OEHHA's decision not to list
4 processed meats. We're in the discovery stage right now,
5 so we haven't reached any merits, briefings, or hearings.
6 And so that's the update there.

7 We also have the National Association of Wheat
8 Growers versus Bonta case. This one involves a First
9 Amendment challenge to the glyphosate warning requirement.
10 The challenge centers on the argument that because only
11 the IARC has identified that chemical as a carcinogen, and
12 other agencies including U.S. EPA have said it is unlikely
13 to be a human carcinogen, there can be no warning that
14 will be misleading. The district court determined that
15 required warnings for glyphosate exposures violated First
16 Amendment limits on compelled speech and the AG appealed
17 to the Ninth Circuit. And the case was on hold while
18 OEHHA was preparing its new glyphosate warning reg. Now,
19 that that new regulation has been approved, the case will
20 become active again. The parties are going to file one
21 last brief in the Ninth Circuit and the court will then
22 decide whether to send the matter back to the lower court
23 or proceed to oral argument.

24 We also have Cal Chamber versus Bonta. This case
25 involves another First Amendment challenge. Here, it's a

1 challenge to the safe harbor warning for acrylamide. And
2 the district court previously granted a preliminary
3 injunction and the Ninth Circuit affirmed. The case is
4 back with the trial court, although there has been very
5 little activity since the new judge was assigned.

6 And finally, there is Council for Education and
7 Research on Toxics versus Starbucks. In this case, CERT
8 challenged the OEHHA regulation on coffee as part of a
9 long-running enforcement action. As you may recall, OEHHA
10 adopted a reg essentially saying that chemicals formed in
11 coffee from the roasting and brewing don't require a
12 warning under Prop 65. The coffee reg was used as a
13 defensive in the case and the trial court upheld the
14 regulation and entered judgment for the coffee companies.
15 CERT appealed and the court heard oral arguments in
16 September, this last month, and we are awaiting a
17 decision.

18 So that's the status of litigation at the moment.

19 CHAIRPERSON LUDERER: All right. Thank you very
20 much, Carolyn.

21 Our last item, I'd like to ask Director -- OEHHA
22 Director Lauren Zeise to summarize the Committee actions.

23 COMMITTEE MEMBER HERTZ-PICCIOTTO: I have a
24 quest --

25 CHAIRPERSON LUDERER: It looks like Dr. -- yes.

1 COMMITTEE MEMBER HERTZ-PICCIOTTO: I just have a
2 question. I just had a question. Can you go back one
3 slide. I was trying to figure out did you -- did I hear
4 this -- did you say that the safe harbor warnings for
5 cannabis were not required, the others were, in this -- on
6 this slide or did I mishear?

7 CHIEF COUNSEL ROWAN: No, the safe harbor warning
8 for cannabis are final and effective now. They were
9 effective October 1st.

10 COMMITTEE MEMBER HERTZ-PICCIOTTO: Okay. I -- I
11 just misheard something.

12 CHIEF COUNSEL ROWAN: Yeah, no problem.

13 **V. SUMMARY OF COMMITTEE ACTIONS**

14 CHAIRPERSON LUDERER: Okay. Thank you. And I'd
15 like to turn over the -- to Dr. Lauren Zeise, Director of
16 OEHHA to summarize committee actions.

17 DIRECTOR ZEISE: Great. Thanks, Ulrike.

18 Okay. So we had a fascinating set of
19 presentations and also a really rich discussion on
20 zebrafish. And I think we heard a lot enthusiasm for
21 continuing to present this stream of evidence, this --
22 this -- the committee this stream of evidence. And you
23 know, I think we'll reflect on the discussions of the
24 Committee and speakers as we prepare the material for the
25 hazard identification documents. And we'll really look

1 forward to future engagement on present -- presenting this
2 evidence and also evidence on other NAMS. So thank you so
3 much. It was a very full day and a lot of rich
4 discussion. So I thank the Committee and the speakers for
5 that, as well as the staff for all the work to put this
6 session together, and a special call-out to Marlissa
7 Campbell. So thank you so much.

8 When we -- also, a decision -- a unanimous
9 decision to remove bromodialone from the section 2700[SIC]
10 list. So that was a unanimous finding by the committee.
11 And so -- and the Committee also heard the updates.

12 So with that, I think we'll wrap it up and I'll
13 turn it back over to Ulrike. I -- again, I just want to
14 thank the Committee for a really rich discussion and all
15 the participation. Very helpful to us and I hope it
16 was -- it's going to be helpful to you as you consider
17 these new data, especially the zebrafish, and other new
18 data streams.

19 I'd like to thank the audience and also -- and
20 also staff from all the work to put together this meeting.
21 It definitely takes a village for this, so thank you so
22 much.

23 Back to you, Ulrike.

24 CHAIRPERSON LUDERER: Yeah. Thank you. Yeah,
25 I'd like to echo Dr. Zeise's comments and thank everyone

1 that -- the speakers, the staff, the Committee members,
2 and adjourn the meeting.

3 So thank you, everyone.

4 Goodbye.

5 (Thereupon the Developmental and
6 Reproductive Toxicant Identification
7 Committee adjourned at 4:07 p.m.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

CERTIFICATE OF REPORTER

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, do hereby certify:

That I am a disinterested person herein; that the foregoing California Office of Environmental Health Hazard Assessment, Developmental and Reproductive Toxicant Identification Committee was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California, and thereafter transcribed under my direction, by computer-assisted transcription.

I further certify that I am not of counsel or attorney for any of the parties to said meeting nor in any way interested in the outcome of said meeting.

IN WITNESS WHEREOF, I have hereunto set my hand this 30th day of October, 2022.



JAMES F. PETERS, CSR, RPR
Certified Shorthand Reporter
License No. 10063