

MEETING
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
SYNTHETIC TURF SCIENTIFIC ADVISORY PANEL

CALEPA HEADQUARTERS BUILDING
BYRON SHER AUDITORIUM
1001 I STREET
SACRAMENTO, CALIFORNIA

FRIDAY, MAY 31, 2019
9:30 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

John Balmes, M.D., Chairperson

Edward Avol, M.S.

Deborah Bennett, Ph.D.

Sandy Eckel, Ph.D.

Amy Kyle, Ph.D.

Thomas McKone, Ph.D.

Linda Sheldon, Ph.D.

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT:

Lauren Zeise, Ph.D., Director

Jocelyn Claude, Ph.D., Staff Toxicologist, Special
Investigations Section, Pesticide and Environmental
Toxicology Branch

Sam Delson, Deputy Director, Office of External and
Legislative Affairs

Carl DeNigris, Acting Chief Counsel, Office of the Chief
Counsel

Allan Hirsch, Chief Deputy Director

Miguel Macias, Student Intern, Pesticide and Environmental
Toxicology Branch

David Ting, Ph.D., Chief, Pesticide and Environmental
Toxicology Branch

Patty Wong, Ph.D., Chief, Special Investigations Section,
Pesticide and Environmental Toxicology Branch

A P P E A R A N C E S C O N T I N U E D

PRESENTERS:

Randy Maddalena, Ph.D., Lawrence Berkeley National
Laboratory, Department of Energy

ALSO PRESENT:

Robert Blink, International Carbon Black Association

Denise Kennedy, DK Enterprises

Steve Krauss, CRM

Mike Peterson, Gradient

Robina Suwol, California Safe Schools

I N D E X

	PAGE
Welcome and Opening Remarks	1
Synthetic Turf and Playground Studies Overview	5
Synthetic Turf Field Exposure Model	13
Afternoon Session	108
Non-Targeted Chemical Analysis	108
Public Comments	192
Further Panel Discussion and Closing Remarks	219
Wrap Up and Adjournment	237
Reporter's Certificate	239

1 P R O C E E D I N G S

2 DIRECTOR ZEISE: Okay. Good morning, everyone.
3 It's 9:30. The webcast is on, and so I think we'll
4 start -- get started.

5 So I'd like to welcome everyone in the room and
6 on the webcast to this fourth meeting of the Synthetic
7 Turf Scientific Advisory Panel meeting.

8 I'm Lauren Zeise. I'm Director of the Office of
9 Environmental Health Hazard Assessment. And before I
10 introduce the Panel, I'll just briefly note that we're
11 very excited about today's meeting. We're going to be
12 looking today at the methods by which we are proposing to
13 calculate exposures to synthetic turf, as well as looking
14 at the chemical analyses that have been conducted by
15 Lawrence Berkeley National Labs, and OEHHA staff have been
16 working with the labs. And so we're going to have some
17 discussion on that. So we're really looking forward to
18 the Panel's input, the audience's input, today's meeting.

19 So to introduce the panel, we have Ed Avol from
20 the University of Southern California; Tom McKone from I
21 guess retired or are you now in a special position with
22 the Lawrence Berkeley National Labs and UC Berkeley?

23 ADVISORY PANEL MEMBER MCKONE: Rehired retiree.

24 DIRECTOR ZEISE: He's a rehired retiree. Very
25 good.

1 CHAIRPERSON BALMES: And emeritus.

2 ADVISORY PANEL MEMBER MCKONE: And emeritus.

3 DIRECTOR ZEISE: And emeritus -- professor
4 emeritus of UC Berkeley.

5 ADVISORY PANEL MEMBER MCKONE: Yeah.

6 DIRECTOR ZEISE: Okay. Welcome.

7 And our Chair, John Balmes, from UCSF and UC
8 Berkeley. And Sandy Eckel from USC. Debbie Bennett from
9 UC Davis, and Linda Sheldon, who's retired from U.S. EPA.
10 Great. So welcome, everyone.

11 I'd like to introduce the OEHHA staff starting at
12 the Panel's far left Sam Delson, our Deputy Director for
13 Communications; Carl DeNigris, our Acting Chief Counsel;
14 Allan Hirsch, our Chief Deputy Director; David Ting,
15 Branch Chief of the Pesticide and Environmental Toxicology
16 Branch; Patty Wong, known to us all as the leader in OEHHA
17 of this study, the synthetic turf study; Jocelyn Claude
18 working in that section. And so that's the OEHHA staff.

19 All right.

20 CHAIRPERSON BALMES: Could I just ask, I think
21 our court's reporter mic just be on, because we're hearing
22 it.

23 THE COURT REPORTER: (Shakes head.)

24 CHAIRPERSON BALMES: No. Anyway, you're timing
25 was -- it's not you.

1 DIRECTOR ZEISE: Well, all right. So I'm going
2 to sit very still and see if the sound changes.

3 CHAIRPERSON BALMES: No, it was typing.

4 DIRECTOR ZEISE: It was typing.

5 And then from the labs, we have Dr. Randy
6 Maddalena from the Lawrence Berkeley National Lab, and
7 Hugo Destailats also from the National Lab.

8 So just some housekeeping. The drinking
9 fountains and the restrooms are located out the back door
10 and to the left down the hall on the right side.

11 In the event of a fire or any other reason to
12 evacuate the room, just please leave out through the exit
13 signs at the back, go down the stairs, and we'll find
14 ourselves across -- walk across the street. And we'll be
15 taking lunch -- a lunch break, a little break this morning
16 and in the afternoon.

17 If members of the public have digital media they
18 want to show during their 3-minute comment period, if you
19 could please bring the external devices to one of the
20 OEHHA staff persons to upload the files before the lunch
21 break, that would be great.

22 And the meeting is being recorded, transcribed,
23 and broadcast via the web. So please identify yourselves
24 and speak clearly into the microphones.

25 And so now, I'll turn the meeting over to Dr.

1 Balmes.

2 CHAIRPERSON BALMES: Thank you, Lauren. And I
3 want to thank your whole team for preparing the document
4 that we had the opportunity to review in advance of the
5 meeting. I was glad that I had a long flight across the
6 country yesterday, because I was able to get through most
7 of it. So it's a lot to digest, and I look forward to the
8 presentations that relate to the sections of the document.

9 SO is this our fourth or fifth meeting?

10 DIRECTOR ZEISE: It's our fourth meeting

11 CHAIRPERSON BALMES: Fourth meeting. I think
12 each one has been very helpful, both hopefully to the
13 OEHHA team that's working on this project, but also to the
14 public to understand what the OEHHA team is doing. I also
15 appreciate my colleagues' comments in the past and for
16 being here today, and I look forward to a rich discussion
17 with -- after each presentation.

18 So there will be an opportunity for the public to
19 comment in the afternoon. Each commenter may speak for a
20 maximum of three minutes. That's standard in this
21 auditorium. I'm the physician member of the California
22 Air Resources Board. And unless we are overwhelmed with
23 people that want to testify, we limit to a maximum of
24 three minutes. Blue cards are available on the back
25 table. Please fill one out, if you'd like to speak, and

1 turn it into Miguel Macias.

2 Internet participants may send comments via email
3 to syntheticturf@oehha.ca.gov, and staff will read aloud
4 about the comments up to three minutes each as time
5 allows. So we do encourage those of you who are
6 participating remotely to participate in that way.

7 So I think with that set of opening comments, I'd
8 like to turn the mic over to Patty -- Patty Wong.

9 (Thereupon an overhead presentation was
10 presented as follows.)

11 DR. WONG: Good Morning. Thank you, Dr. Balmes.

12 So my name is Patty Wong. I work for OEHHA on
13 the Synthetic Turf Study. So I will start today's
14 discussion by providing an overview of our study.

15 --o0o--

16 DR. WONG: The OEHHA study consists of multiple
17 study tasks. Here is a brief outline of each task. And
18 you can see this is the timeline of the study. And we
19 have been ongoing since 2015. And we have four -- today
20 is the fourth meeting of the scientific Advisory Panel.

21 And let's look at the tasks.

22 Task 1 involves consultation with expert and the
23 public. The Panel has been meeting annually since 2016,
24 and today is the fourth meeting. In the initial stage of
25 the project, OEHHA has held a series of workshops to meet

1 with local community stakeholders.

2 In the past few years, OEHHA has been in
3 communication with federal agencies and international
4 bodies involving the crumb rubber study, the tire crumb
5 rubber studies. And in the last year, OEHHA has met with
6 U.S. EPA, U.S. Environmental Protection Agency; the ATSDR,
7 Agency for Toxic Substances and Disease Registry; NTP,
8 National Toxicology Program; Health Canada; and National
9 Institute for Public Health and Environment, RIVM of the
10 Netherland -- of the Netherlands to share information on
11 the tire studies.

12 We also met with researchers from UC Davis to
13 consult our non-targeted analysis protocol.

14 So details of the progress of our workflow
15 between each task will be discussed in the next slide.
16 Here is just a brief overview.

17 The Task 2 involves focus on identification of
18 chemical of concern and hazard for synthetic turf field
19 and playground constructed with recycled tire crumb
20 rubber.

21 The Task 3 involved in this developed a exposure
22 scenario, which involved identifying the field user
23 categories and their activity or behavior on these fields
24 or playgrounds. And then we use it to assess their
25 exposure.

1 Task 4 involve characterization of chemical
2 exposure on synthetic turf fields and playgrounds, which
3 include collecting and characterizing the composition of
4 samples from fields and playgrounds.

5 Task 5 is to develop Biomonitoring and Personal
6 Monitoring Protocol. Data on the chemical and exposure
7 obtained from Task 3 and Task 4 will provide knowledge,
8 including scientific literature search will guide the
9 development of this protocol.

10 Task 6 is the assessment of human health risk
11 from exposure on synthetic turf fields and playgrounds.
12 So we are progressing in Task 2 to Task 6. And we are
13 working on chemical analysis. Identifying hazards for
14 chemical and also working on the exposure assessment
15 tasks.

16 Combining the knowledge and the data, OEHHA will
17 assess the potential human health risk and hazard from
18 exposure to chemicals released from synthetic turf fields
19 and playgrounds. At the conclusion of this study, OEHHA
20 will complete a report documenting the risk assessment.

21 So today, we'll focus on discussing Task 2 and
22 Task 4 for chemical characterization of crumb rubber, and
23 also Task 3 for Exposure Scenario Development in the
24 morning.

25 --o0o--

1 DR. WONG: So two years ago, Dr. Kyle suggested
2 we should roadmap about how the whole study relate to each
3 other in terms of the tasks. So last year, we presented a
4 roadmap and we updated for this year.

5 So before we go into the roadmap, just
6 housekeeping, the colors on the legend, the yellow
7 represent the items that we have discussed in 2016 and
8 2017. The green -- the middle kind of gray-green is the
9 items that we discussed in 2018. And the brown box is the
10 one that we're discussing today.

11 So this is kind of a complex flowchart.

12 Okay. Okay. Let's look at the work tasks and
13 how they relate to each other, how each task interact with
14 each other. So other than the Task 1, which I described
15 about consultation with expert and public, this flowchart
16 cover Task 2 to Task 6.

17 So Task 2 is hazard identification. This task
18 involved Identifying chemical of concern for our study and
19 research their potential -- potency criteria and health
20 endpoint and hazards. And we have discussed this
21 information in 2016-17, and we'll continue today.

22 OEHHA conducted a thorough literature search on
23 synthetic turf, crumb rubber, and tire-related studies.
24 We constructed a tire-related chemical database, the
25 little barrel down there we have discussed in the past,

1 and we will continue today.

2 In the database, we identify chemicals related to
3 tire, but we also add chemical that has been ongoing in
4 the federal study, their chemical that they find.

5 Using this chemical list as a guide, OEHHA staff
6 has been collecting from existing database on toxicity and
7 potential data for chemical potency data for chemical that
8 has been detected in field sample.

9 And today, we'll discuss the use of this chemical
10 database in our targeted and non-targeted chemical
11 analysis of the crumb rubber samples, which is a crucial
12 part for the field characterization study, Task 4.

13 So next is Task 4. It involve in characterizing
14 the field. OEHHA received input from the Panel in the '16
15 and '17 meeting, and we modified our protocol for field
16 sampling accordingly, and we implement the protocol. We
17 finished sampling the fields and playgrounds in the summer
18 last year. And we have completed all the sampling on the
19 fields and playgrounds.

20 So we have collected environmental data,
21 including temperature, particle counts, ozone, relative
22 humidity, solar insolation on and around the fields and
23 playgrounds. In addition, we also collected air and
24 particulate matter and crumb rubber samples from each
25 field.

1 In the last meeting, we discussed the preliminary
2 date for inorganic analysis of crumb rubber, and also some
3 of the environmental data collected on and surrounding the
4 field, and we presented it to our Panel and the public.

5 Currently, we are working on identifying the
6 chemical constituents in crumb rubber. The understanding
7 of the chemical composition of crumb rubber will help
8 guide our bioaccessibility measurement of these field
9 samples. The bioaccessibility measurement can be used to
10 derive the level and the nature of the chemical people
11 might expose while using the field and playground.

12 We are currently working on targeted and
13 non-targeted chemical analysis. And today, we are seeking
14 input from our Panel on our approach on the non-targeted
15 chemical analysis.

16 The -- so the non-targeted and the target
17 chemical analysis will provide data for chemical
18 concentration, which we are -- since we haven't fully
19 identified a chemical, so it won't be in our discussion
20 today. That's why it's kind of blue on the barrel.

21 The next task is the exposure scenario
22 development. Last year, OEHHA received the Panel's input
23 on protocol and preliminary data of our time activity
24 behavior study of soccer players on synthetic turf fields
25 in California. The time activity study has been completed

1 in the summer last year and we have analyzed the data.

2 So currently, OEHHA staff is evaluating the
3 potential pathways of human exposure on the turf fields
4 and researching the exposure parameter that can be used.

5 So combining the results of the time activity
6 study and the literature research, the chemical identity,
7 the concentration data from Task 4, we are developing
8 model and exposure equation to estimate the multi-route
9 exposure dose for player on field and playground.

10 In today's meeting, we will summarize the
11 exposure pathway along with the exposure equation and the
12 parameter. And we are looking forward to input from the
13 Panel and the public.

14 Sorry.

15 The equation and exposure risk and -- sorry. The
16 exposure and the risks will then be summarized in the
17 human health risk assessment report, which is our next
18 task here, the Task 6. And the chemical and exposure data
19 will also be used in the development of the biomonitoring
20 and personal monitoring protocol, which is Task 5 here.
21 So this is the summary of our roadmap for the turf study.

22 CHAIRPERSON BALMES: So thank you, Patty. And I
23 want to thank you for walking through the study roadmap.
24 At first glance, it appears very complex, but you walked
25 us through it very well. And it also shows how much work

1 has been done so far. I just want to congratulate you and
2 your staff on all the -- and collaborators at all the work
3 that's been done so far.

4 So I turn to my fellow Panel members, any
5 comments about the overview of the study at this point?

6 Okay. Well, thank you. Oh, go ahead.

7 Just push the button there.

8 ADVISORY PANEL MEMBER SHELDON: This is Linda
9 Sheldon. Just clarify for me at the end, Task 5, it says
10 apply knowledge on exposure and chemical data, evaluate
11 feasibility of monitoring processes. That means that you
12 are not going to do monitoring for that. You're just sort
13 of going to make recommendations as to what would be
14 feasible, is that right? Am I understanding that
15 correctly.

16 DR. WONG: We are going to develop the protocol
17 is -- for the scope of this study at this point, we are
18 covering the development of the protocol. Yeah, but we
19 are not doing the actual measure in the study.

20 ADVISORY PANEL MEMBER SHELDON: Okay. That's why
21 -- I just wanted to make sure I understood.

22 Thank you.

23 CHAIRPERSON BALMES: So Jocelyn, are you next up
24 to present?

25 DR. WONG: So in the next section we'll discuss

1 the synthetic turf field exposure model. Let me introduce
2 our staff toxicology of OEHHA, Dr. Jocelyn Claude.

3 (Thereupon an overhead presentation was
4 Presented as follows.)

5 DR. CLAUDE: Okay. Thank you. Waiting for the
6 slides the open up.

7 Okay. Good morning. So in this section, we'll
8 talk about the synthetic turf field exposure model. So
9 I'll briefly review the exposure pathways that we will
10 consider in our assessment, and give a brief summary of
11 the time activity study Patty mentioned that was
12 conducted. Then I'll move on to discuss the equations
13 that we'll use to estimate exposure dose, the parameters
14 that will go into there, and the data that we'll use to
15 derive their values.

16 --o0o--

17 DR. CLAUDE: So here shows a timeline of the
18 development of the exposure scenario development. This
19 little line shows where we are today. So we're here at
20 this meeting. We're going to discuss how the data we
21 gathered will be used.

22 A little background on the study. OEHHA
23 collaborated with UC Berkeley and the University of
24 Arizona to the conduct study with IRB approved study
25 protocols and designs. Data was collected from soccer

1 players via a survey and videotaping in late 2017 to early
2 2018.

3 The reports from those studies can be found in
4 the meeting materials appendix, where they have more
5 information the protocols that were used and more
6 information about the data itself.

7 --o0o--

8 DR. CLAUDE: So this slide just provides a quick
9 summary of what was collected. So we had 1,069
10 participants complete our online survey and in-person
11 questionnaire. We had nearly equal numbers of males and
12 females, ages 4 to 71 years old, and from multiple
13 ethnicities. We received responses from athletes who play
14 in each of the four main soccer positions, which are
15 forward, defender, midfielder and goalkeeper.

16 The questions captured information on how often
17 they play or practice; and on-field activities, such as
18 how often they dive, slide, or fall; also, information on
19 their warm-up activities and exertion levels during
20 activity, which is how much time they spend resting versus
21 running around on the field. We also collected
22 information about their history, including like when they
23 started to play.

24 Forty of those participants also participated in
25 the video study. The age of these participants was from 7

1 to 22 years old, half were male, half were female, almost
2 equally distributed amongst the four soccer positions.
3 And we had video from an equal number of practices and
4 games.

5 So participants were videotaped through the
6 course of an entire practice or game, and the video data
7 were analyzed to gather information on their contact
8 frequency and duration, with the field and other objects,
9 such as water bottles or hand-to-mouth activity. Analysis
10 also noted how often they fall, slide, or dive. And data
11 in the video also -- were also analyzed for exertion
12 levels.

13 So these data are used to derive the parameters
14 for the inhalation, ingestion, and dermal pathways, as you
15 can see shown here.

16 --o0o--

17 DR. CLAUDE: So this slide shows the conceptual
18 site map of the exposures that may occur on the fields.
19 Last year at our meeting, we went into more detail about
20 each pathway and the field user categories that are
21 considered for each pathway. More meeting -- more details
22 can be found in the meeting materials. But briefly, I'll
23 just summarize what we're looking at here.

24 So the synthetic turf field components, including
25 the crumb rubber, the backing, and the grass blade are

1 considered as the sources of exposure. And through
2 various media and environmental activities, exposure can
3 occur through inhalation, dermal, or ingestion pathways.

4 The inhalation exposure is shown here in yellow.
5 This occurs when chemical vapors or airborne particulates
6 from the field are breathed in. Dermal exposure is shown
7 in blue. And this occurs when chemicals are transferred
8 from the crumb rubber onto the skin and are absorbed.
9 This can be a direct mechanism through -- with direct skin
10 tox -- skin contact with the crumb rubber or indirectly,
11 where chemicals or particles get transferred onto the skin
12 from another object.

13 Ingestion is shown in green. And this occurs
14 when crumb rubber particles get into the mouth and are
15 ingested. It can be an accident -- it can be a direct
16 pathway where ingestion is accidental or intentional. And
17 it may also be indirect where chemicals or particles get
18 transferred into the mouth through a carrier such as a
19 hand or an object.

20 --o0o--

21 DR. CLAUDE: So now, we'll move on to how
22 exposure dose will be estimated and how we'll use the
23 exposure data to do that.

24 So an exposure dose is the estimated amount of
25 chemical that is experienced by a field user as a result

1 of activity. Shown here at the top is the general
2 skeleton of the dose equation. The dose is equal to a
3 concentration in media times the intake rate times the
4 time spent on field.

5 So chemical concentrations in air and crumb
6 rubber, including bioaccessibility measurements will be
7 measured in the field study and will be used for the
8 concentration parameter values. Different media will be
9 covered in different pathways. Air concentrations will be
10 used for inhalation, and crumb rubber chemical
11 concentrations will be used for ingestion and dermal
12 pathways.

13 The intake rates are derived from the available
14 data in the literature and the time activity study.
15 Different pathways will have different factors for this
16 parameter, so you'll have breathing rate for ingest -- for
17 inhalation, ingestion rate for ingestion, and then dermal
18 loading for dermal exposures.

19 Considerations will be made for parameters that
20 may be affected by age, gender, or the field user
21 category. Exposure times are derived from the data
22 gathered in the survey. This is the time spent on field
23 by the field users. Considerations will also be made for
24 age, gender, and field user type in the development of
25 this parameter.

1 Once calculated, the exposure dose will be used
2 to estimate the non-cancer hazard and cancer risk for a
3 chemical. I will briefly go over how those calculations
4 will be made and how the dose will be used, but the main
5 focus of our discussion will be on the specific dose
6 equations for the pathways that we will consider and
7 development of the parameters.

8 --o0o--

9 DR. CLAUDE: So shown here is the general
10 skeleton of the hazard quotient equation. The hazard
11 quotient of a chemical is the ratio of the non-cancer dose
12 to a chronic reference level, or REL as it's shown here.

13 The cancer dose corresponds to a daily exposure
14 of a chemical. And the chronic reference exposure level
15 is a daily intake amount at or below which no adverse
16 non-cancer health effects are anticipated to occur. This
17 level is designed to be protective for continuous
18 long-term exposures.

19 --o0o--

20 DR. CLAUDE: Shown here is the general skeleton
21 for the cancer risk equation. The cancer risk for a
22 chemical is an estimated probability of adverse human
23 health effects occurring from exposure to a chemical. The
24 risk is equal to the non-cancer dose, times a potency
25 factor, times an age sensitivity factor, times an exposure

1 duration over an averaging time.

2 The cancer dose represents a lifetime exposure
3 dose of a chemical. The cancer potency factor is used to
4 estimate the increased risk of a chemical in an exposed
5 population from a lifetime exposure to that chemical.

6 Age sensitivity factors are weighted factors that
7 consider the increased sensitivity to carcinogens during
8 prenatal and early postnatal life stages, as compared with
9 adult life stages.

10 The exposure duration is the years of exposure.
11 And the averaging time is the period over which that
12 exposure duration is averaged

13 --o0o--

14 DR. CLAUDE: So now we'll get into each specific
15 pathways equations. So we'll start with the inhalation
16 pathway. So the non-cancer exposure concentration for
17 inhalation is shown here. This is a special scenario that
18 applies for this pathway. As you can see, this equation
19 does not follow the general format that we just discussed.

20 Typically, concentration values for long-term
21 near continuous exposures, such as with a residential
22 scenario, are considered for the chronic inhalation
23 non-cancer assessment. This, however, is not the case
24 with synthetic turf field users. They're only on or near
25 the field for a few hours a day for a few days per week.

1 So for this reason and adjusted concentration of a
2 chemical is used to estimate exposure for the partial
3 period of the day that they are on the field.

4 This parameter is derived by multiplying the
5 concentration of a chemical in air that was measured in
6 the field study by the exposure time. And the values for
7 exposure time are derived from the survey data that I
8 previously discussed.

9 --o0o--

10 DR. CLAUDE: Shown here are the values for
11 athletes that we received in the survey data. Differences
12 are found between gender and age. And the data are
13 separated based on the season and for practices versus
14 games.

15 Presented here are the media 95th percentiles
16 only. But the full range of the data distribution can be
17 found in the meeting materials. So limited data was
18 collected on the younger age group from 2 to 6, but you
19 can see that central tendency for other players is to
20 spend about 1 to 2 hours per day on a field for either
21 practices or games, and higher estimates range from about
22 2 to 6 hours per day.

23 --o0o--

24 DR. CLAUDE: These are the exposure times for
25 coaches, referees, and bystanders. No data was collected

1 on these groups from the survey data, but OEHHA has made
2 assumptions about how they're anticipated to behave. And
3 then the data for athletes what used to derive these
4 values.

5 So coaches are assumed to be on the field anytime
6 the players are on the field for both practices and games.
7 And referees are assumed to be on the field during games
8 anytime the athletes are. So for these two groups the
9 responses for all survey participants were analyzed to
10 estimate the exposure times.

11 Child bystanders are assumed to be present at the
12 fields during practices and games of older siblings. Data
13 for survey participants ages 4 to 16 were used to derive
14 their exposure times. The adult bystanders are assumed to
15 be present at the practices of games -- at the practices
16 of children ages 4 to 16 and at all games. So data for
17 participant -- survey participants ages 4 to 16 was used
18 to derive exposure time for practices, and then data for
19 all the participants is used to derive the exposure time
20 for games.

21 --o0o--

22 DR. CLAUDE: So this equation here shows the
23 estimation of cancer exposure dose for inhalation. You
24 can see this equation follows the general format that we
25 talked about. You have a concentration, an intake rate,

1 and an exposure time. We just discussed the air
2 concentration.

3 So next the inhalation absorption fraction, this
4 represents the fraction of the dose that is absorbed in
5 the absence of chemical-specific data. OEHHA will assume
6 a value of 1 according to our guidelines. The values for
7 the inhalation rate normalized to body weight are adopted
8 from OEHHA guidelines.

9 --o0o--

10 DR. CLAUDE: Those values are presented here as
11 they are found in the guidelines. These rates are
12 calculated in consideration that different levels of
13 activity will require different levels of energy
14 expenditure and will thus affect the inhalation rate. We
15 recognize that field users may engage in various levels of
16 activity. Athletes may engage in activities that involve
17 resting or standing, light activity such as walking,
18 moderate activity such as jogging, and high activity such
19 as running.

20 Coaches and referees are anticipated to engage in
21 resting, light, and moderate activities, while bystanders
22 are anticipated to engage in resting and light activities.
23 And just a note, the age groups presented here are unique
24 to this pathway, based on the availability of the
25 inhalation data. The age groups for the inhalation and

1 dermal will be -- we will use our traditional OEHHA age
2 groups.

3 --o0o--

4 DR. CLAUDE: So back to our main equation. The
5 exertion level here represents the percentage of time on
6 the field that a user spends performing activity at a
7 specific intensity level. Data from this survey will be
8 used to derive this parameter value. We already talked
9 about the exposure time.

10 So next the exposure frequency. This represents
11 the days per week spent on the field by field users.
12 Survey data is also used to estimate this parameter value.

13 --o0o--

14 DR. CLAUDE: So shown here is the data collected
15 on exertion level in the survey from the athletes. Once
16 again, the median and 95th are presented here. The full
17 range can be found in the meeting materials. Differences
18 were found between gender and age. And again, the data
19 are separate by activity intensity and for practices
20 versus games. Limited data was collected on the youngest
21 age groups once again. And you can see the range of the
22 data for each of the groupings vary within the group.

23 --o0o--

24 DR. CLAUDE: So exertion values for coaches,
25 referees, and bystanders. Not data was collected for

1 these groups. So once again, OEHHA has made assumptions
2 about how they're anticipated to behave. Coaches are
3 assumed to spend practices walking around and jogging on
4 the field, while they are anticipated to be standing,
5 walking, and jogging on the sidelines during games.

6 Referees are not assumed to be present during the
7 practices, but are assumed to spend time during games
8 standing, walking, and jogging. For both practices and
9 games, child bystanders are assumed to be sitting or
10 walking around on the field sidelines, while adult
11 bystanders are assumed to be sitting watching the field
12 activities.

13 --o0o--

14 DR. CLAUDE: So this table here shows the
15 exposure frequency data that was collected in the survey.
16 Once again, differences between gender and age and the
17 date are separated by season and for practices versus
18 games. Players tend to spend 1 to 2 days per week on the
19 field for practices and games each. Higher estimates
20 range from 2 to 6 days.

21 --o0o--

22 DR. CLAUDE: So here shows the exposure frequency
23 values for coaches, referees, and bystanders. No data
24 were collected for these groups, but OEHHA made the same
25 assumptions as we made for exposure times to derive these

1 values.

2 --o0o--

3 DR. CLAUDE: And so now we're going to pause.
4 We'll have a short discussion and ask the Panel if they
5 have any questions or input for the background and
6 inhalation we just discussed. So I'll turn it back over
7 to Dr. Balmes.

8 CHAIRPERSON BALMES: So thank you, Jocelyn.
9 Any comments or questions from the Panel at this
10 point?

11 I'll turn to my left first. Dr. Bennett.

12 ADVISORY PANEL MEMBER BENNETT: I just had a
13 clarifying question. Are we talking about the toxicity
14 values and how those are being selected at a later point
15 today? Because they're sort of in there, assuming we have
16 them for all of the chemicals, and I didn't know if that
17 was a point of discussion for later.

18 DR. CLAUDE: No, we won't talk about any toxicity
19 values at this meeting.

20 DR. WONG: Okay. As we are still developing the
21 chemical list, we -- the toxicity criteria and the value
22 we'll be discussing in late -- in the future meeting.

23 ADVISORY PANEL MEMBER BENNETT: Okay. And so at
24 that point, we'll also talk about how we'll sum up against
25 across multiple chemicals and so forth?

1 DR. WONG: Yeah.

2 ADVISORY PANEL MEMBER BENNETT: Okay. Great.

3 And then I just had a question on some of the
4 extreme values in the pamphlet that you gave us. I mean,
5 they had people reporting 24 hours on the field.

6 (Laughter.)

7 ADVISORY PANEL MEMBER BENNETT: So it's just like
8 one person that didn't get the survey or --

9 DR. CLAUDE: Yeah. That's one of our questions
10 for discussion that we'd like your input on. So we did
11 receive, you know, like 7 days per week or 24 hours per
12 day. So it's kind of like how do we handle those values.
13 We don't know if it's --

14 ADVISORY PANEL MEMBER BENNETT: Seven days a week
15 seems realistic.

16 DR. CLAUDE: -- question yeah -- so how do we put
17 a limit on what's reasonable, you know, to consider?

18 ADVISORY PANEL MEMBER BENNETT: Okay.

19 DR. CLAUDE: So, yeah, we recognize that we do
20 have some extreme values, and how do we particularly
21 handle them.

22 ADVISORY PANEL MEMBER BENNETT: And then I had a
23 question on the slide for the third trimester, you showed
24 the moderate breathing rate. I'm assuming that's a
25 pregnant bystander?

1 DR. CLAUDE: Um-hmm. Yeah. That's --

2 ADVISORY PANEL MEMBER BENNETT: And why wouldn't
3 they be light?

4 DR. CLAUDE: So the breathing rate during
5 pregnancy it's derived from the moderate activities of
6 someone 16 to 30 years old.

7 ADVISORY PANEL MEMBER BENNETT: Okay.

8 DR. CLAUDE: It's based on physiological
9 differences that occur during pregnancy that you're
10 breathing rate would kind of -- yeah.

11 ADVISORY PANEL MEMBER BENNETT: And then my final
12 question is on -- is there any consideration that a lot of
13 times the referees are also players, so it might be like a
14 referee that's --

15 DR. CLAUDE: Yeah, so when we do the --

16 ADVISORY PANEL MEMBER BENNETT: -- a player and a
17 referee.

18 DR. CLAUDE: -- as you mentioned like when we
19 talk about we can do multiple chemicals when we get to
20 doing the risk assessment, if they participate in more
21 than one user category at the same -- you know, at the
22 same, or previously, and earlier in life, we'll take that
23 into consideration as well.

24 ADVISORY PANEL MEMBER BENNETT: Okay. Great.

25 DR. CLAUDE: Yeah.

1 CHAIRPERSON BALMES: Go ahead.

2 ADVISORY PANEL MEMBER SHELDON: Hi. This is
3 Linda Sheldon.

4 I may be asking questions that were answered in
5 one of the previous SAPs, but I would like some
6 clarification. So first of all, what is the goal of this
7 exposure assessment? Because whether it's to do a risk
8 assessment for all populations that are near crumb tires
9 is different than do we want to distinguish differential
10 exposures between soccer players -- you know, soccer
11 players in an epi study.

12 And, you know, in an epi study I think -- and
13 this is out of my area of expertise, but I think you want
14 to be able to understand differential exposures, and
15 therefore adults, and kids, and the players might not be
16 on the field at the same time.

17 If it's merely for a risk assessment, it might be
18 different. And so, again, I think what we do depends upon
19 what the goals are. The other thing is, is that as you
20 look at your task of assessing feasibility for biomarker
21 studies, et cetera, I think that you need to say, you
22 know, what and how -- how am I seeing it for groups
23 differently also. So I think that that's really an
24 important thing.

25 I also think that -- and again, I don't know if

1 this is appropriate or not, but you are focusing
2 exclusively on the soccer field. And I think that at some
3 point, you need to estimate exposures that are non-soccer
4 related relative to the rest of the population, because
5 you may find that these exposures are not any greater than
6 some of the other exposures.

7 You know, in our experience, diet is always a
8 very important exposure. And again, it doesn't mean that
9 it's -- that you need to do it here, for looking for turf
10 related. But I think what you need to understand is again
11 what risk is coming from that. Again, I don't want -- I
12 don't want, at the end of the day, for you to see
13 exposures for soccer players and not have it normalized to
14 something else. And so that's really the point.

15 The last thing is, is that on the dose, depending
16 upon how the -- you know, what route and pathway it is,
17 not only will it have a different dose, but it may be
18 hitting a different target organ. I think PAHs are a
19 really good example of this. Inhalation exposure for PAHs
20 can lead to cancer and lung cancer, but dietary ingestion,
21 which is often much higher, you know, goes immediately to
22 the gut and is transformed.

23 So I think that as you look at dose, you need to
24 understand what the target is going to be and do the
25 different routes make any difference?

1 That's pretty much what I have.

2 Thanks.

3 CHAIRPERSON BALMES: Ed. Oh and hi. Before I
4 turn over the floor to you, Ed, I would like to have Dr.
5 Amy Kyle introduce herself.

6 ADVISORY PANEL MEMBER KYLE: Hello. Speak.

7 I'm Dr. Amy Kyle. I'm sorry I was late. Glad to
8 be here.

9 CHAIRPERSON BALMES: And Dr. Kyle was my
10 colleague for many years at the University of California,
11 Berkeley.

12 So Mr. Avol.

13 ADVISORY PANEL MEMBER AVOL: Thank you, John.

14 I have a couple questions just about the layout
15 and the planning on this. And I apologize if these were
16 questions that were addressed in earlier sessions, but it
17 seems like they're going to be germane as you move on.

18 So going back -- rolling back to -- even to
19 Figure 4.1, here are athletes, and, you know, sort of what
20 were in the pathways and what were considered unimportant,
21 et cetera. The -- on the athlete, the first table on
22 the -- oops, we're spinning back there.

23 Oops. Sorry for -- okay. So the first column an
24 athlete, these were -- were these validated or based on
25 any video data yet or were these just sort of, you know,

1 sat down and sort of conjecture before you went out --
2 sort of proposed before you went out into the field and
3 actually visualized this, because I'm -- I guess I'm -- my
4 question has to do with the -- sort of the Xs for the
5 athletes, and, you know the -- particularly, two of the
6 Xs.

7 DR. CLAUDE: So last year when we presented it,
8 and it was kind of this is what we think will happen. But
9 based on that and the video data that we received in the
10 exposure study, we did update it. So pathways that we
11 didn't see occurring the check or X may have changed.

12 ADVISORY PANEL MEMBER AVOL: So this is validated
13 based on what you actually captured in the field?

14 DR. CLAUDE: Yeah, this is based on what we've
15 done after looking at the video and exposure study.

16 ADVISORY PANEL MEMBER AVOL: Okay. Good. Thank
17 you.

18 And then in terms of -- in a similar way, the
19 exposure times that were assumed, the hour or two hours,
20 et cetera, were those also -- those were just collected by
21 survey. But then were those reviewed or sort of validated
22 by some sort of reality check based on what you saw in the
23 videos that you collected?

24 DR. CLAUDE: So the exposure time, we didn't
25 collect in the video data. We didn't collect any

1 information on exposure times. That was just in the
2 survey, like Debbie mentioned from the extreme values we
3 have. So the data presented is just analyzed what we
4 have. Like, some -- I think the question it was actually
5 you type in the number. So if people accidentally maybe
6 put more than 7 or more than 24, those data values were
7 definitely kind of ruled out as kind of might be -- those
8 are incorrect can't have more than 24 hours.

9 ADVISORY PANEL MEMBER AVOL: Right. I mean, I
10 guess I --

11 DR. CLAUDE: So, yes.

12 ADVISORY PANEL MEMBER AVOL: I mean, I certainly
13 agree with Debbie that people unlikely spend 24 hours on
14 the field.

15 DR. CLAUDE: Yes.

16 (Laughter.)

17 ADVISORY PANEL MEMBER AVOL: But not withstanding
18 that outlier, it seemed to me that based on the experience
19 that I've seen, and my children, et cetera, playing
20 soccer, that the -- some of the values, particularly for
21 the values of, you know, teens and young adults in terms
22 of competitive sports, or club soccer, or whatever, some
23 of those hours seem low.

24 And so I was just curious if there -- you know,
25 what it was based on, whether people were underreporting,

1 or if, you know, some review of that was made? Because it
2 seemed like one can easily envision a scenario in which
3 teenagers or young adults are -- well, actually, not even
4 teenagers, but anybody playing in the club sports system,
5 for example. So we talk about 10 year olds on up, through
6 high school, into college on weekly matches, weekly
7 competitions, there might be two or three matches in the
8 course of a day as they work their way through a
9 competition. So they would spend for each of those
10 matches an hour or two on the field at a time. So one
11 would expect that there might six or seven hours, you
12 know, sort of showing up another than the two or three
13 that were reported.

14 DR. CLAUDE: And that could be, maybe, possibly
15 what we have. We do have those six-hour values what
16 people are reporting. Maybe they do have multiple games
17 in a particular date. The survey was asking them in the
18 past year. So if that occurred in the past year, they may
19 have. That may be why it's recorded as six hours per day,
20 but we don't know.

21 ADVISORY PANEL MEMBER AVOL: Okay.

22 CHAIRPERSON BALMES: Could I just interrupt for a
23 second? I think in addition to raising important issues
24 like we're doing, it would be helpful if we could provide
25 some advice about how to deal with these difficult issues

1 too.

2 ADVISORY PANEL MEMBER AVOL: Okay. Fair enough.
3 Thank you.

4 CHAIRPERSON BALMES: That's to all the Panel, not
5 just you Ed.

6 (Laughter.)

7 ADVISORY PANEL MEMBER AVOL: Point well taken.
8 Yeah, point taken. I guess the -- in terms of
9 recommendation, it seemed like the obvious one would be to
10 sort of maybe validate that by the actual video that you
11 have. If it's already been done by the University of
12 Arizona, they may provide feedback. But otherwise, I'm
13 not sure how you go back and correct it. Again, there's
14 obviously going to be some editing with regard to the
15 24-hour/7-day a week sort of aspect. But the ones that
16 are sort of within the range of feasibility, it's much
17 harder to know how to treat those.

18 Let's see, I guess just the other observation, I
19 was a little surprised by the male-to-female differences
20 that, I guess, were reported. I have both boys and girls,
21 daughters and sons, that have played and -- you know, in
22 the club system. There really wasn't much differentiation
23 sort of how much time they were on the field. And yet,
24 what you see reported is different, so I thought it was an
25 interesting observation. I don't have a recommendation

1 for that. It just -- I was surprised that it seemed like
2 women were -- had less time sort of being reported.

3 But I think those are all my comments with the --
4 with one other comment that in terms of this report being
5 released and accessible and it Obviously reflects a lot of
6 information just to make sure that there are units
7 provided for the tables and data that, you know, in all
8 the appropriate places to help the reader to follow along.

9 Thank you.

10 CHAIRPERSON BALMES: Thanks, Ed.

11 Dr. McKone.

12 ADVISORY PANEL MEMBER MCKONE: Yes, I want to
13 pick up on -- and I think Dr. Sheldon has made some key
14 points - I'm sort of picking up on these - in terms of the
15 pass-off of information. So I think the exposure
16 assessment is pretty focused, and probably correctly so,
17 on what goes into somebody. And actually, the exposure
18 dose is a rate during some exposure time, which we're
19 learning is some activity. I think where I -- I think
20 there's a need to be very careful, and maybe carry more
21 information is in the pass-off to the risk assessment.

22 And again, we're not reviewing that, but the way
23 you presented it is you just go from the exposure dose,
24 which is the, you know, milligrams per kilogram or
25 milligrams per day that's passed off to a comparison to

1 either a REL, reference exposure limit, for non-cancer or
2 a cancer potency. And I think there are some questions
3 that will come up. You know, these are not -- but we're
4 not really looking at lifetime. We're looking at fairly
5 short periods of time, so -- and also the pathway or the
6 route of intake is certainly ingestion for many chemicals
7 is much different than inhalation. And I think dermal
8 tends to be more like inhalation, because it's going in
9 to the bloodstream without going through the liver and
10 being transformed rather quickly into byproduct chemicals.
11 You have this first pass.

12 So I mean that's the reason you want to probably
13 sort of don't aggregate all the routes together. So I
14 don't think you can go from exposure dose to dose without
15 saying this is a dermal exposure dose is the inhalation.

16 But then the other question is, is it might be
17 useful to also talk about the intake, how many milligrams
18 are taken in over a certain time period. Because I think
19 when we start getting into health effects, you may want to
20 have that information available. It's there. It's just
21 that if it gets suppressed, if everything gets aggregated
22 into some rate, and that rate is averaged out, you're
23 swapping out, where that's a rate over a season maybe -- a
24 soccer season. I don't know how long they go. Or it's
25 over much less than a lifetime period. And I think there

1 are some methods that will come up in risk assessment for
2 sure looking at it.

3 So it -- so the recommendation, if I want to be
4 concrete, is don't just sort everything and aggregate
5 everything as an exposure dose without recognizing there
6 is a time period associated with that exposure dose. I'm
7 not talking about the exposure time, the amount of time on
8 the field, but the ex -- the lifetime period, or the
9 annual period, or some time factor, which really isn't
10 here, that might be relevant to the health effects or
11 could be, if we have better data for some of these
12 chemicals.

13 So it's just a matter of storing. You know, when
14 you pass it off, store not just the exposure dose, but the
15 route by which it goes in the route of intake and some
16 information about how long that individual was exposed at
17 that rate.

18 CHAIRPERSON BALMES: Dr. Eckel.

19 ADVISORY PANEL MEMBER ECKEL: Great. Thank you.
20 Dr. Sandy Eckel from USC.

21 So I had a couple of comments again coming from a
22 statistical viewpoint. So thinking about the issues of
23 the online survey and some of these more outlying values,
24 a couple of my comments and suggestions are, if there's
25 only a handful of outlying values, I think, you know, it's

1 pretty clearly some of those are an issue, and we can be
2 probably more safely exclude those.

3 But if there are larger numbers, you know, one
4 idea is to potentially look across responses on the same
5 survey, if -- you know, if someone responded that they did
6 24 hours of activity, you know, if they also have sort of
7 unusual responses for other the other questions, that
8 might help you make decisions about whether to use their
9 data or not.

10 And I also noticed that it seems like these
11 unusual outliers were related to age, and that kind of
12 teenage respondents were kind of responding these more
13 unusual values. So it would be harder to ascertain values
14 for that population than other populations. So that might
15 be something to think about.

16 CHAIRPERSON BALMES: The teenagers don't sleep
17 either.

18 (Laughter.)

19 ADVISORY PANEL MEMBER ECKEL: And as Dr. Avol
20 talked about, there was these interesting differences by
21 sex. You know, part of me wonders if there was some
22 response by us or maybe the representativeness was
23 different by sex. And, you know, you could potentially
24 thinking about weighting responses to try to get samples
25 that are more representative of the population overall.

1 Just some possible ideas.

2 And then I also had a question. I can't quite
3 recall. I remember seeing, you know, at a previous
4 meeting discussions about how the air was sampled at the
5 fields. But I just wondering, if you could remind me,
6 because this is an important input for the dose
7 calculation for inhalation, was it sort of a time period
8 average exposure -- concentration of air or was it -- like
9 I just want to make sure that you're kind of accounting
10 for, you know, heavy activity on the field and potentially
11 kind of plumes of dust that might be coming up during
12 activity?

13 So that was a question I guess.

14 DR. WONG: So in terms of sampling the air, we
15 sample the air an hour before any activity. And then we
16 put it -- actually, there's four different air stations,
17 and out the field -- on the field behind the goal box, and
18 before -- one hour before activity, and then we have three
19 hours of activity to potentially collect the plume.

20 And we always try to put it downwind from the
21 goal box.

22 ADVISORY PANEL MEMBER ECKEL: Okay.

23 DR. WONG: And then another hour after all the
24 activity. So we try our best to collect the sample that
25 potentially represent the breathing. And we also collect

1 the air sample at different heights. I'm looking Dr.
2 Maddalena, because he's our field person as well. So we
3 try to cover the horizontal, the temporal, and then the
4 vertical distribution of the air as well.

5 ADVISORY PANEL MEMBER ECKEL: So that raises a
6 question for me. Do you account for, you know, smaller
7 children, you know, being lower to the ground and not in
8 these air concentration?

9 DR. WONG: Yeah, that's why we collect the
10 multiple levels, so we collect the different breathing
11 zones for different age.

12 ADVISORY PANEL MEMBER ECKEL: Okay. And then the
13 concentration in the air that's input into these equations
14 will be sort of a three- or four-hour period average, is
15 that what's used then, or...

16 DR. WONG: Every half an hour -- half an hour per
17 one hour -- one hour per sample.

18 ADVISORY PANEL MEMBER ECKEL: Okay.

19 DR. WONG: So are we going to talk about it later
20 today the air samples?

21 Yeah, we have another section about sampling the
22 air and analysis of the VOC, volatile organic chemicals,
23 later today.

24 ADVISORY PANEL MEMBER ECKEL: Okay. Thank you.

25 CHAIRPERSON BALMES: Dr. Bennett.

1 ADVISORY PANEL MEMBER BENNETT: I was just
2 thinking based on what Dr. Avol was saying, I mean when I
3 looked at the medians and the 95th percentiles, I was kind
4 of looking at them like, okay, those medians look like a
5 rec. player. And then I was looking, okay, the 95th
6 percentiles look like my friends kids, because my friend's
7 kids all play competitive.

8 And it made me really realize that, you know,
9 it's almost like you couldn't really do a probabilistic
10 analysis with this. You would have to use straight up
11 95th percentile values, because you're really looking at
12 two populations. And so those same ones that are spending
13 more time on the field are also probably those ones that
14 said they were -- had a greater proportion of their time
15 in high activity, because they're obviously the ones that
16 are pushing more.

17 And so, in a way, I kind of feel like you've got
18 two populations in the same distribution. And I don't
19 know if you have information as to whether they were
20 recreational players or competitive players?

21 DR. CLAUDE: We do. We have information on
22 whether they were recreation or competitive, and we --
23 these all also -- we have all positions here as well, too.

24 ADVISORY PANEL MEMBER BENNETT: Because it might
25 make sense to analyze the competitive players separately,

1 because they are going to have consistently higher values.
2 And I bet that's probably explained in the difference
3 between the males and the females is just the -- simply
4 the percent that were competitive versus rec., not really
5 that they're different.

6 CHAIRPERSON BALMES: Go ahead, Ed.

7 ADVISORY PANEL MEMBER AVOL: So I just have a
8 process question. Is this the time frame in which we
9 should be addressing the -- these other sections that
10 support these tables or are we going to step through the
11 different sections of this report throughout the day?

12 DR. CLAUDE: We're going to talk about ingestion
13 next and then dermal -- the dermal pathway.

14 ADVISORY PANEL MEMBER AVOL: But we're not ong to
15 step through the, for example, section 4 or section -- you
16 know, I mean step through the sections of it?

17 CHAIRPERSON BALMES: This is section 4.

18 ADVISORY PANEL MEMBER AVOL: This is essentially
19 the Section 4 presentation?

20 CHAIRPERSON BALMES: We've just done the first
21 part -- the first pathway, is inhalation.

22 DR. CLAUDE: This is the part. Yeah, we're going
23 to have just a little discussion --

24 ADVISORY PANEL MEMBER AVOL: Okay. So if I have
25 questions about inhalation from section 4, I should ask

1 them now?

2 CHAIRPERSON BALMES: If you're -- yes, about
3 inhalation probably now.

4 ADVISORY PANEL MEMBER AVOL: Okay. So I'm sorry.
5 So may I ask one more question?

6 CHAIRPERSON BALMES: Of course, Ed.

7 ADVISORY PANEL MEMBER AVOL: Okay. Thank you.

8 So I have a question for you on Table 4-17, which
9 gets at sensitivity factors for ages. And it refers to --
10 it's on page 4-27 of the document. So the age groups I
11 assume are supported by previous published work, it looks
12 like, that you've done. But I was -- because the age
13 sensitivity factor sort of drop off from 16 on up, I mean,
14 the mid-teen years are an interesting year in terms of
15 lung development, because you're sort of capturing girl's
16 maturation in lungs development in the late teens, but you
17 haven't quite caught up with the boys, who are still
18 growing.

19 And so in terms of sensitivity factors, I was
20 curious as to, you know -- because you've sort of
21 downscaled how important the boys are, because you chopped
22 it at 16 as opposed to going to somewhere conventional
23 like, you know, into the early 20s or to 21 or something
24 like that.

25 CHAIRPERSON BALMES: As a board certified

1 pulmonologist, I can support what Ed says. You know, like
2 everything else, girls mature faster than boys in terms of
3 lung function. So it actually does continue. Actually,
4 it continues in girls past 16 too, but especially boys.

5 ADVISORY PANEL MEMBER AVOL: But I guess my
6 question is sort of the -- 16 sort of chops it right in
7 the middle of when they're still developing.

8 CHAIRPERSON BALMES: I would agree that I'd be
9 more comfortable with, you know, actually early 20s
10 cutoff.

11 DIRECTOR ZEISE: Maybe I can interject here. So
12 these age sensitivity factors are applied to the cancer
13 estimate. So they're not applied to non-cancer outcomes.
14 And they're to address the increased sensitivity to
15 cancer, and they were reviewed by the Scientific Review
16 Panel for the Air Resources Board and OEHHA.

17 But we can look at those issues further. We will
18 look at those issues further. But I just wanted to
19 emphasize that actually it's for the cancer endpoint. And
20 in deriving the reference levels for the non-cancer
21 endpoints, enhanced susceptibility at different life
22 stages is also considered, but there isn't a separate
23 factor like this for it. It's in the assumption of the
24 variability factors that you assume in that reference
25 exposure level calculation.

1 Does that help?

2 ADVISORY PANEL MEMBER AVOL: That helps. Thank
3 you.

4 CHAIRPERSON BALMES: Thank you, Lauren.

5 Any other questions?

6 Oh, Dr. Kyle.

7 ADVISORY PANEL MEMBER KYLE: I have a couple
8 comments that I hope fit at this time, and if not, please
9 cut me off. But one is about this issue of how to
10 appropriately portray the susceptibility or sensitivity,
11 you know, at younger ages. You know, I didn't
12 particularly come to that in this table. But overall,
13 that cutting that at 16 and applying it only in some
14 cases, I understand it comes from some guidelines that
15 were reviewed at a certain time and in a certain context.
16 But in this time and context, that seems insufficient to
17 me.

18 So I don't know that this is the time to get into
19 that detail but, I -- since we're talking about it, I'm
20 going to say I have that concern also more broadly. I
21 bumped into it in a different table on a different page,
22 but I think it's the same issue.

23 The second thing I wanted to say is related also,
24 I think, to some of these comments. And that is in
25 thinking about this as a public science document, and a

1 public science process, I think that the issues about how
2 it's too much aggregated really need to be addressed,
3 because I -- I think that there -- that a lot of this
4 stuff people could understand, if it was broken out in
5 pieces. But nobody can -- nobody understands rates
6 adjust -- values adjusted buy body weights and rates.

7 You know, it's just -- it's understandable to
8 someone who -- without quantitative training generally.
9 And so -- and I also think that it would be good if people
10 could plug in their own numbers, in terms of how much time
11 they spend on the field, you know, that we not embed that
12 so deeply in here that it's based on your study.

13 It would be better if -- if I'm a soccer player,
14 which I was for many years, I would want to look at --
15 take my numbers and plug them in and say so what would
16 this mean for me?

17 And then we don't have to -- did someone say this
18 already?

19 Yeah. Okay. She's nodding like I've already
20 heard this, Amy. Hurry up.

21 (Laughter.)

22 ADVISORY PANEL MEMBER KYLE: So -- and then you
23 don't have to worry as much about whether your time
24 activity study is correct, right? Because people can do
25 the -- figure it out -- look at it from what they do.

1 So -- and maybe you need to plug in your weight too. You
2 know, maybe there's some other things like that.

3 But I do think this is going to be -- the way
4 it's presented is too aggregated in terms of the ways that
5 have been mentioned but also conceptually. And there's no
6 reason not to break it out into one step at a time and
7 make a friendly picture of it, so that people can
8 understand it. And then after that, you can combine it
9 all up into rates, if you must.

10 I had one other thing that I was going to bring
11 up. Oh, yeah. And that is, did you -- and maybe I missed
12 this. I didn't see it, but I have to admit, I haven't
13 read every single one of these pages fully. Did any of
14 the take-home exposure issues end up in here, or was that
15 excluded, either through stuff that ends up accumulating
16 in cars or in, you know, in washers, or at home?

17 I didn't see it in here, so I'm just wondering.

18 DR. WONG: So multiple questions. One question
19 at a time. For -- in terms of like plugging your own
20 weight, plugging your time, how will I be in terms of the
21 overall exposure? We have a lot of discussion within
22 OEHHA how we should present the risks and the exposures at
23 the end for the report, so the public can understand. And
24 also for the individual who are interested in how am I in
25 terms of relative to the others, if I play two years

1 versus my whole life? We're aware soccer can be a whole
2 life scenario.

3 So we are in active discussion on how we should
4 present the risks. And we definitely want to bring the
5 Panel back in the next time to fully addressing how we
6 should present the risks and how we should do the risk
7 assessment in the terms of the scientific world we
8 understand, and also the public can have better understand
9 at how we communicate to the individual.

10 So we are looking at different ways of presenting
11 it and how we can be interactive. Now, is the stage of
12 people all going on the Internet and want to do -- can do
13 things on their personal. So we are thinking about the
14 approach to address your suggestion. We take it very
15 seriously.

16 And the second about the take-home exposure. In
17 our survey, we do have questions about how much you have
18 see in your car, where did you see in bathroom, how much
19 you estimate, how long do you -- before you go do your
20 shower?

21 So we have those questions. We did not put it in
22 this presentation. It's something we are considering
23 thinking how we can address this pathway. It's a much
24 more complex pathway than just playing on the field. So
25 we'll look into it and see what we can do. We do have the

1 survey data for it, yeah.

2 CHAIRPERSON BALMES: So, thank you, Patty.

3 So we're scheduled for a short break now. Is
4 that something we still want to do?

5 Does the court reporter need a break?

6 THE COURT REPORTER: (Shakes head.)

7 CHAIRPERSON BALMES: Well, if we don't need a
8 break, then, Jocelyn, maybe you want to move forward.

9 --o0o--

10 CHAIRPERSON BALMES: So now we're going to do the
11 ingestion pathway.

12 DR. CLAUDE: Yes. Okay. So. Now, we'll move on
13 to the ingestion pathway. So the non-cancer exposure dose
14 and cancer exposure dose equations are shown here in the
15 table. You can see these equations once again follow the
16 general format we discussed. They're concentrations,
17 intake rates, and exposure times.

18 So the bioaccessible concentration of a chemical
19 from the crumb rubber is a value that's measured in
20 artificial biofluids to mimic stomach conditions from the
21 samples collected in the field study. This concentration
22 represents the amount of a chemical that is available to
23 be absorbed into the body.

24 The gastrointestinal relative absorption factor
25 is a fraction that represents the amount of a chemical

1 that is absorbed by the GI tract compared to the amount
2 that's available for absorption. Unless chemical-specific
3 data are available, OEHHA will assume this value is equal
4 to one. And that 100 percent of the bioaccessible
5 chemical will be absorbed by the GI tract.

6 The ingestion rate is derived from literature and
7 information from the exposure study. This parameter
8 represents the amount of crumb rubber that is ingested per
9 day normalized to body weight.

10 --o0o--

11 DR. CLAUDE: It is the sum of the ingestion rates
12 for the direct and indirect pathways. We'll talk about
13 the direct ingestion rate first, which represents crumb
14 rubber that is incidentally or intentionally ingested.
15 These values are derived from literature and anecdotal
16 evidence.

17 --o0o--

18 DR. CLAUDE: So it's equal to the ingestion
19 amount derived from various recent crumb rubber studies in
20 the literature and anecdotal evidence from soccer players
21 divided by the body weight.

22 And so ingestion amounts vary from point 0.01 to
23 10.4 grams of crumb rubber. The last two columns 3.55 and
24 10.4 grams represent the weight of one teaspoon one
25 tablespoon of crumb rubber respectively, which players

1 have reported to be amounts that they may possibly ingest.
2 The check marks here indicate which values will be
3 considered for which field user category.

4 And OEHHA body weight values, as presented in
5 guidelines, are adopted for the body weight parameter,
6 unless athletes provided one in the survey.

7 --o0o--

8 DR. CLAUDE: So next is the ingestion rate for
9 hand-to-mouth activity. So this represents crumb rubber
10 particles that are ingested after the hand comes in
11 contact with the field and then touches the mouth. These
12 values are derived from the literature and data -- and
13 video data from the exposure study.

14 --o0o--

15 DR. CLAUDE: So here shows the equation to
16 calculate the hand-to-mouth ingestion rate. This
17 parameter is a function of the adherence of crumb rubber
18 to the hand, and then the amount of particles that are
19 able to be transferred from the hand.

20 So the adherence factor describes the amount of
21 crumb rubber that will adhere to the skin per unit of
22 surface area for the hand. These values are adopted from
23 a literature study that measured particle loading onto
24 various body parts of soccer players who played on a field
25 with crumb rubber infill.

1 The part of the hand that is assumed to be in
2 direct contact with the mouth is assumed to be four
3 fingers. This represents about four -- this represents
4 about 10 percent of the total surface area of both hands.
5 Data on the surface area was taken from the EPA exposure
6 factor handbook to derive this parameter.

7 --o0o--

8 DR. CLAUDE: The hand-to-mouth transfer factor
9 describes the fraction of crumb rubber that will be
10 transferred from the hand into the mouth. For this study,
11 OEHHA will adopt a value of 0.5, as seen in OEHHA
12 guidelines. This means that 50 percent of the crumb
13 rubber on the hand would be assumed to be transferred into
14 the mouth. The number of hand-to-mouth contacts was
15 derived from the time activity study, the video data.

16 --o0o--

17 DR. CLAUDE: Shown here is the adherence factor
18 of the hand as taken from that literature study I
19 mentioned, Kissel et al.

20 Here shows the calculated surface area of the
21 four fingers that are assumed to be in direct contact with
22 the mouth. These values were calculated by multiplying
23 the surface area of both hands by 10 percent.

24 And here shows the hand-to-mouth contacts per
25 hour for field user category. For athletes and young

1 bystanders, the values were derived from video data of
2 soccer players and archived video footage of young
3 children playing outdoors on natural turf. It is
4 anticipated that playful behaviors on natural turf would
5 be similar to those on synthetic turf, so these values
6 would reasonably represent the exposure that children may
7 have.

8 Data on the number of hand-to-mouth activity --
9 hand-to-mouth contacts for adults is very limited, since
10 this type of behavior is considered more important for
11 children. These parameter values were adopted from a
12 recent observational study of adults that determine the
13 hand-to-mouth contact frequency of workers performing desk
14 work or paperwork throughout a one-hour period of the day.
15 While engaged in dust work, the assumption is that one's
16 hand would be engaged and thus unavailable for
17 hand-to-mouth contact.

18 Conversely, while in between such tasks, which
19 was also measured in the study, one's hands are
20 anticipated to be free and available for contact, similar
21 to a bystander, coach, or referee scenario. So values for
22 this parameter were adopted from this study for
23 bystanders, coaches, and referees.

24 --o0o--

25 DR. CLAUDE: Next, the object-to-mouth ingestion

1 rate. This rate represents crumb rubber -- this
2 represents crumb rubber particles that may be ingested
3 after an object has been in direct contact with the field
4 and then touches the mouth. Video data and literature
5 data were used to derive this parameter.

6 --o0o--

7 DR. CLAUDE: So here's the equation to calculate
8 the object-to-mouth ingestion rate. It's a function of
9 adherence of crumb to the object, and then the amount of
10 particles that may be transferred from the object into the
11 mouth.

12 So the adherence factor for the object describes
13 the amount of crumb rubber that will adhere to the object,
14 after contacting the field. OEHHA did not measure any
15 adherence factor for objects in our field study, but toys
16 and pacifiers are anticipated to be the most likely types
17 of objects in such an activity, since video data did not
18 show players engaging of many activities of this type.

19 Toys and pacifiers can often be made of
20 materials, such as plastics and silicone, which may act in
21 a manner similar to the skin. So we propose to use the
22 adherence factor for the hand as a surrogate in this case.

23 The part of the object that contacts the mouth is
24 assumed to be limited by the area of the mouth. The mouth
25 area is assumed to be 1/9th of the surface area of the

1 head. And data of -- for the surface area of the head was
2 taken from the exposure factor handbook.

3 --o0o--

4 DR. CLAUDE: The object-to-mouth transfer factor
5 describes the amount of crumb rubber that's transferred
6 from the object into the mouth. For this study, OEHHA
7 will assume 100 percent of crumb rubber on an object will
8 be transferred into the mouth.

9 So for young child bystanders, archived video
10 footage is used to estimate the object-to-mouth contacts
11 that may occur on the sidelines. It's anticipated once
12 again that these behaviors would be similar to those that
13 would occur on synthetic turf fields and would be
14 reasonable to represent their exposure on turf fields.

15 --o0o--

16 DR. CLAUDE: So this table shows the calculated
17 surface area of the object that would reach the mouth.
18 These values are derived by multiplying the surface area
19 of the head taken from exposure factors handbook
20 multiplied by 1/9th.

21 And then this table shows the object-to-mouth
22 contacts per hour for child bystanders. No differences
23 were found due to age or gender for the data. And
24 athletes, coaches, and referees, and adult bystanders are
25 expected to have negligible exposure through this pathway,

1 which is why they're not included here in the table.

2 --o0o--

3 DR. CLAUDE: So lastly, the
4 hand-to-object-to-mouth ingestion rate represents crumb
5 rubber particles that may be ingested after the hand
6 touches the field, then an object which ultimately go into
7 the mouth. These parameter values are derived from
8 literature and video data.

9 --o0o--

10 DR. CLAUDE: So this is the equation for the
11 hand-to-object-to-mouth ingestion rate. It's a function
12 of adherence of crumb rubber to the hand, and then the
13 amount that may be transferred from the hand to the
14 object.

15 The part of the hand in direct contact with an
16 object may vary based on the type of contact. Video data
17 shows that objects involved in this type of activity are
18 dietary objects such as water bottles or food. OEHHA will
19 use the assumption that one hand will be used when eating
20 or drinking on the field. Young children are also assumed
21 to touch objects such as toys or pacifiers after their
22 hands have contacted the field. So one hand will also be
23 assumed for this kind of activity.

24 --o0o--

25 DR. CLAUDE: The fraction of the amount of crumb

1 rubber lost from the hand prior to transfer on an object
2 describes the amount that is lost from the hand after
3 activities such as hand washing or wiping hands on
4 clothing before handling an object.

5 Following OEHHA guidelines, a value of 0.25 is
6 adopted for this parameter. OEHHA will also consider
7 using a value equal to 0, since opportunities for hand
8 washing may not be readily available at the field.

9 Additionally, athletes or bystanders may wipe
10 their hands on clothing or towels that have been in
11 contact with the field surface and may be saturated with
12 crumb rubber.

13 The number of hand-to-object-to-mouth contacts
14 per hour for athletes and young bystanders is derived from
15 the video data.

16 --o0o--

17 DR. CLAUDE: So shown here is the calculated area
18 of the hand in contact with an object. One hand is
19 assumed, which is equal to 25 percent of the total surface
20 area of both hands. So these values were derived by
21 multiplying the total surface area by 25 percent.

22 This table shows the derived hand-to-mouth
23 contacts per hour for athletes and child bystanders from
24 the video data and archived video data. Coaches,
25 referees, and adult bystanders are expected to have

1 negligible exposure through this pathway.

2 --o0o--

3 DR. CLAUDE: So all of those ingestion rates that
4 we just talked about, they're all summed together, and
5 then that value is plugged back into our main equation
6 here.

7 --o0o--

8 DR. CLAUDE: So then moving on. The exposure
9 duration represents the years of exposure. Values are
10 shown here for the age groups.

11 --o0o--

12 DR. CLAUDE: The averaging time as mentioned
13 earlier is the time over which the exposure duration is
14 averaged. This value is equal to 70 years by default.

15 And then the exposure time and exposure
16 frequency, we talked about these earlier. They represent
17 the hours per day and days per week that field users spend
18 on the field. The data presented before were in different
19 age groups, so now we have the data with the four OEHHA
20 age groups, as you can see here.

21 --o0o--

22 DR. CLAUDE: So this table shows the data of the
23 exposure times based on these age groups, differences
24 between gender and age. And again, the data are separated
25 based on season and for practices versus games. So the

1 central tendency is about one to two hours a day for
2 practices or games, while higher estimates range from two
3 to six hours. For coaches, referees, and bystanders, the
4 values are the same as those presented in the inhalation
5 pathway.

6 --o0o--

7 DR. CLAUDE: So here is the exposure frequency.
8 Differences between gender and age, data separated by
9 season and for practice versus game. Players tend to
10 spend one to two hours per week each for practices and
11 games, while higher estimates range from two to six. And
12 again, the exposure frequencies for coaches, referees, and
13 adult bystanders will be the same as those previously
14 presented in the inhalation pathway.

15 --o0o--

16 CHAIRPERSON BALMES: So thanks, Jocelyn. So now
17 the ingestion pathway discussion is open for comments.

18 Dr. Kyle.

19 ADVISORY PANEL MEMBER KYLE: Thank you for going
20 through that. That's very helpful actually to go through
21 these. So this might be my same point made a different
22 way, but why do you -- why -- I don't see why you embed
23 the body weight throughout this. It just makes it harder
24 to understand. You know, what's a rate per body weight?
25 I mean, when people think about their ingestion, it's not

1 dependent on their body weight.

2 DR. WONG: Yes. We do have the ingestion amount
3 in the equation. And the whole equation at the end is the
4 dose per body weight.

5 ADVISORY PANEL MEMBER KYLE: I know. But why
6 don't you put it in at the end? That's sort of my
7 questions. Because I think as you put it in here
8 throughout and describe it as a rate, it's just
9 mind-bogglingly unintuitive, because you don't usually
10 have a -- the ingestion is not related to your body
11 weight, right?

12 DR. WONG: Yeah.

13 ADVISORY PANEL MEMBER KYLE: So it sort of goes
14 back to, if you put things together that make it
15 impossible to understand, then it doesn't serve the --
16 kind of the public purpose. So I just can't even think of
17 a reason to put the body weight in at the beginning. So
18 that's my question, I guess.

19 CHAIRPERSON BALMES: I think this might have been
20 based on a recommendation of Dr. McKone's in the past,
21 but...

22 (Laughter.)

23 DIRECTOR ZEISE: From about -- may from about 20
24 years ago too, and it's still today.

25 ADVISORY PANEL MEMBER KYLE: So it may be for a

1 difference audience.

2 ADVISORY PANEL MEMBER MCKONE: So, no, this is --
3 this is a really good point. And it's -- it gets us --
4 so, you know, risk assessment is entirely based on rate
5 per body weight. But I think you raise a good point about
6 what's public facing is should it be something that people
7 could understand.

8 Maybe I'll give you a story. I was involved in a
9 risk assessment at the National Academy for communities
10 that had been exposed for a short time, like a couple of
11 weeks, to fairly high levels of zinc cadmium sulfide. We
12 don't know anything about it. We tried to do a risk
13 assessment for cadmium. We found out the communities
14 didn't really understand what we were doing, right?

15 I mean, we did a nice risk assessment. We did
16 cumulative -- or we did cumulative intake over the period,
17 didn't the lifetime equivalent, and calculated the risk.
18 And, you know, it doesn't -- and I have to say it doesn't
19 make sense when you come up with a number like 1 in
20 100,000.

21 So what we ended up doing was for the public
22 document, we calculated their cumulative intake over the
23 event, compared it to their cumulative intake of cadmium,
24 which is everywhere in the environment, right -- it's in
25 your food. It's in -- and explain that. And then that --

1 they didn't know how we did risk, but they said, oh, well,
2 we took in only like 1/100th of what we would take in in a
3 year, and much less in a lifetime, and we took it, so you
4 can show them that their intake went up for one month --
5 that month they were high, but on an annual basis.

6 And then we said your risk is entirely based on
7 your cumulative intake over the year, because this is not
8 a chronic effect -- or it's not an acute effect. It's
9 chronic.

10 I mean, they kind of understood that. And so I
11 think this -- it gets to this point about what's public
12 facing versus what's needed for a risk assessment. And I
13 think we have to document -- I mean, OEHHA has to document
14 this. But it might be useful to -- and I think it's what
15 I was trying to get at earlier is carry along the
16 cumulative -- you know, just tell people, all right, we
17 did all these calculations, and this is how many
18 milligrams of whatever you took in, phthalates and -- and
19 if you weigh more, then this is more important. And maybe
20 just give them a tool so they can figure out all the
21 variations. Otherwise, nobody is going to go through all
22 this.

23 So if you give them their cumulative intake, then
24 they can understand different routes, even though we have
25 to say, you know, ingestion doesn't equal inhalation, but

1 they can look at a kind of a number that we say this is --
2 and then they can say things like, oh, phthalates, okay,
3 what's that number? I don't know. OEHHA should do this,
4 but somebody else could say, well, if you're an average
5 person and you use this shampoo, or you use this makeup,
6 right, this is your annual intake of phthalates, right?

7 So you can go, oh, you know, my two hours a day
8 on the field is this, my use of brand X of shampoo is
9 this, or, you know, you can start getting a sense. And to
10 me, it gets to what's the public understands in terms of
11 risk. And I -- my experience is they have a really hard
12 time when you say 100,000. But they understand more when
13 you say, okay, this is something you're exposed to, and
14 this is what you get from this activity, and this is what
15 you get from that activity. And even though from a risk
16 perspective, the cumulative intake over a year or two
17 years may not be what we can use, we do use it someday.
18 We just average it out.

19 So anyway, I don't know if that's useful, but I
20 think --

21 DIRECTOR ZEISE: I think it is a --

22 ADVISORY PANEL MEMBER MCKONE: I mean, I think
23 it's a point we're getting to that this is -- I mean, from
24 my -- I look through all these tables and go, yeah, that's
25 the way I do it, and, you know, we need all these things.

1 But I am concerned that this is really hard to track. And
2 people want to know is what am I getting, and how bad is
3 it, and did you account for what I do? Like, did you
4 account for my time. I stick my hand in my mouth all the
5 time. I don't take a shower. You know, make sure that is
6 conveyed as -- in a clear way.

7 DIRECTOR ZEISE: And I think we're getting a
8 number of really helpful suggestions in terms of
9 communicating and how we need to break this down more in
10 communicating with the public when we're talking about
11 risk. I just want to speak a little bit to the science
12 side of the body weight issue.

13 So, you know, we're looking at soccer players
14 that are -- I think we even have a survey from one that's
15 between two and six. We teenagers. We have adults. And
16 they're of different ages. And so some of the intakes,
17 even some of the ingestion rates, by small people,
18 children, the dose really is dose per body weight. That's
19 how we calculate it. So we're taking this little person
20 and putting maybe even a greater dose. They're getting a
21 greater intake. So we want to normalize the intake in
22 order to calculate dose. And then in terms of breathing
23 rates also, there's greater breathing rate per body
24 weight. So it's a -- the younger -- the smaller you are
25 oftentimes. So it's our -- also our way of adjusting for

1 age differences, so --

2 ADVISORY PANEL MEMBER KYLE: But your just -- I
3 mean you're incorporating age as the way to look at those
4 different rates, not weight.

5 DIRECTOR ZEISE: Well --

6 ADVISORY PANEL MEMBER KYLE: So I --

7 DIRECTOR ZEISE: -- maybe if we laid this out a
8 little bit more, you'd see why that was there. So that
9 age adjustment factor is for cancer, that's independent of
10 the body weight issue. And deriving that factor --

11 ADVISORY PANEL MEMBER KYLE: Yeah, I understood
12 that.

13 DIRECTOR ZEISE: -- you know, amount per body
14 weight was Addressed. And yet, we still saw, even after
15 addressing exposure differences of, you know, young
16 animals versus -- or young versus older, we did still see
17 increased susceptibility to cancer. So there this is this
18 body weight issue we need to probably explain better,
19 but --

20 ADVISORY PANEL MEMBER KYLE: Yeah. No, I'm not
21 disputing that. I understand why you have to adjust for
22 body weight. All I'm saying is when you're discussing the
23 ingestion rate, to present that by body weight is very
24 counterintuitive. And it's not actually a rate based on
25 body weight. It's a rate based on time or something like

1 that.

2 So -- okay. So I have a second point. This is
3 simpler. And that is I would really recommend we not use
4 Greek letters in here. You know, because a lot of people
5 look at that Greek letter, they don't know what that is or
6 even how to say it. And so why put in that barrier to
7 anybody being able to even read this equation? So that's
8 a minor thing, but I would recommend that.

9 CHAIRPERSON BALMES: Any other questions or
10 comments from the Panel?

11 Dr. Bennett.

12 ADVISORY PANEL MEMBER BENNETT: Both Linda and I
13 are over here discussing our concern and questions
14 regarding the table on slide 22, the ingestion, direct
15 ingestion rates. We have questions on this one, too.

16 Okay. Because I -- we've got the exposure
17 factors handbook pulled up, and, for example, the -- for,
18 you know, kids two to -- one to two, sort of the
19 central -- or two to six, the central tendency is 60
20 milligrams, which would be just over that 0.05 grams per
21 day. So the 0.01 seems really low. And we're wondering
22 where that came from, right? Because that would be 10
23 milligrams, which is 1/6th of the amount in the exposure
24 factor handbook.

25 DR. CLAUDE: Yeah. So these values they came

1 from the two studies, the RIVM and the ECHA. They're
2 previously published recently 20 -- both 2017, yeah.
3 These are the values that they've used in their
4 assessments. The child bystander -- they're typically
5 used for athletes, the coaches, referees. The child
6 bystanders that -- I don't know if that's suppose -- the
7 check may not -- that may be for the adult bystanders. It
8 may be an incorrect place. Because the lower ones are for
9 the -- more for the adults than the children.

10 ADVISORY PANEL MEMBER BENNETT: Okay. And, for
11 example, the soil-pica estimate in the exposure factors
12 handbook is 1,000 milligrams which is -- oh, wait a
13 second. Never mind. I was doing that wrong. I was
14 thinking that was the same as the 10 grams, but I guess
15 that's actually fine. So that would be 1 gram. And for
16 the child bystander, you do have a value that exceeds that
17 with the 3 grams. Okay.

18 ADVISORY PANEL MEMBER MCKONE: Just, can I make a
19 comment on that?

20 ADVISORY PANEL MEMBER BENNETT: Yeah.

21 ADVISORY PANEL MEMBER MCKONE: So these are --
22 these are not -- I mean, so the exposure factors handbook
23 intends to capture the daily intake of soil, right, for a
24 child. And I think this is intended to capture just the
25 amount of intake during an event.

1 Now, again, my -- given what's in the exposure
2 factors handbook, you could question whether it's
3 reasonable that it would not be as high as the daily rate,
4 but if it's -- if there's documentation.

5 But, you know, if the intent is this is not total
6 soil ingestion on a daily basis, this is the added
7 ingestion -- or the amount of that ingestion that would
8 take place at an athletic event or a site.

9 ADVISORY PANEL MEMBER BENNETT: Well, then that
10 gets to the other question on 37, I'm a little bit
11 confused by the equations on slides 36 and 37. Are they
12 the same?

13 Okay. So, first -- well, okay, let's look at the
14 cancer one. So this has got the exposure time. So are
15 you then multiplying -- it doesn't seem to be divided or
16 normalized to anything. And the ingestion is per day, and
17 the exposure time is expressed in terms of hours per day.
18 So how does that work? Are you then somehow dividing by
19 24 hours to get the fraction of the day? I mean, that
20 makes sense for the mouthing if you're doing rates by
21 hour. But the ingestion rates are per day, in that table
22 conversion factor.

23 DR. CLAUDE: Yeah, that conversion factor there I
24 have, it just says conversion factor. So some -- that
25 conversion factor is the -- it's the conversion to get

1 that into -- to match.

2 ADVISORY PANEL MEMBER BENNETT: Yeah, but then
3 why if -- but then what you're effectively doing is that
4 you're saying that these child -- you know, all of these
5 direct ingestion rates, you're then effectively dividing
6 those by 10, because if you're taking the exposure time
7 and dividing by 24 hours, and those are per day. So for
8 the direct ingestion pathway, you wouldn't want to
9 normalize by the exposure time, right? Because these
10 aren't hourly, these are given in their table as grams per
11 day. So it doesn't make sense to then normalize them by
12 the exposure time.

13 That totally makes sense when you've got contacts
14 per hour and dah, dah, dah, you want to deal with how long
15 you're there. But if you're starting with a gram per day
16 measure, you don't want to then reduce that by the
17 exposure time over the 24 hours. So that doesn't make
18 sense.

19 DR. WONG: It does.

20 ADVISORY PANEL MEMBER BENNETT: It does?

21 DR. WONG: It does. That's why we are showing
22 the panel, because the ingestion -- like the soccer player
23 that report is per event how much they eat during event,
24 like a table tablespoon. I agree.

25 ADVISORY PANEL MEMBER BENNETT: Right. Right.

1 You're saying these RIVM one. The was based on something
2 where it was per event at the field, right?

3 DR. WONG: Sorry?

4 ADVISORY PANEL MEMBER BENNETT: So like this 0.01
5 grams per day, that's if the child spent the en -- 24
6 hours on the soccer field or is that per time that the
7 child is at practice?

8 DR. WONG: That's the assumption that made by
9 RIVM per day.

10 ADVISORY PANEL MEMBER BENNETT: Right.

11 DR. WONG: Yes.

12 ADVISORY PANEL MEMBER BENNETT: So if that's an
13 assumption per day, why are you then multiplying it by
14 exposure time over 24 hours?

15 DR. WONG: Yeah, that's why I say we agree with
16 your --

17 ADVISORY PANEL MEMBER BENNETT: Oh, oh, oh. You
18 agree.

19 DR. WONG: We agree, yes. We agree.

20 ADVISORY PANEL MEMBER BENNETT: Okay. I'm sorry.
21 You were telling me that -- I thought -- I misunderstood.
22 I thought you were defending it. I'm like, no.

23 DR. WONG: Yes, we agree.

24 ADVISORY COMMITTEE MEMBER BENNETT: Okay. Okay.

25 CHAIRPERSON BALMES: I'm glad we had a meeting of

1 the minds here.

2 DR. WONG: Yes.

3 ADVISORY PANEL MEMBER BENNETT: And then I had
4 another question on the non-cancer exposure dose. I
5 always thought for the non-cancer you didn't multiply it
6 by the exposure duration over the averaging time, because
7 you're worried about the exposure over the course of a
8 year to get a non-cancer health effect, I thought.

9 DR. WONG: It's a chronic exposure, but the doses
10 represented is the daily dose.

11 ADVISORY PANEL MEMBER BENNETT: Right. So why
12 are you multiplying it BY the exposure duration over the
13 averaging time? Because if the child is 10 and you're
14 then dividing -- you know, they've been exposed for 10
15 years, and you're dividing that by 70 years, you're
16 basically saying, on average, they're getting 1/7th of
17 that. And for non-cancer chronic health effects, I always
18 thought the convention was you were worried about their
19 typical exposure and didn't then -- during the time that
20 they were exposed, because that's -- you know, these
21 non-cancer is how much can your body take and process
22 without having the non-cancer, because it's compared
23 against, you know, a particular value. It's not -- and so
24 if you're being exposed to that amount every day over the
25 life that you've had so far, it doesn't make sense to

1 multiply it by the exposure duration over the averaging
2 time, because you're exposed to a lot more than that per
3 day during the life you've had.

4 DR. WONG: Yeah, the averaging time here is
5 this -- not the 70-year normally use for cancer. It
6 depends on the life stage used to adjust that if you have,
7 for example, the third trimester, you have an ED of a
8 quarter a year, the exposure -- the averaging time is
9 actually averaged for that period of time.

10 ADVISORY PANEL MEMBER BENNETT: So where you have
11 your age group and you have exposure duration year, that's
12 also the same thing as you're averaging time? Your
13 exposure duration equals your averaging time for all
14 periods?

15 DR. WONG: In general, yes, for non-cancer. The
16 U.S. EPA put it in. We adopt the equation -- the general
17 equation here. In residential scenario, they account for
18 people who live there and they take vacations. So that's
19 why the averaging time is not as exactly the exposure
20 time. They consider 365 days for the averaging time, but
21 for 350 day for the exposure duration, because people take
22 vacations. But in this scenario is different, because we
23 assume that people in the field, whenever they're on the
24 field, what's the daily exposure. So they will correspond
25 to same age, the life stage.

1 ADVISORY PANEL MEMBER BENNETT: Well, it's just
2 really confusing to have it --

3 DIRECTOR ZEISE: Yeah.

4 ADVISORY PANEL MEMBER BENNETT: -- the way it's
5 presented, since then on the next side you say default
6 value 70 years for the averaging time.

7 DIRECTOR ZEISE: Yeah. I think that we -- you
8 know, when we --

9 ADVISORY PANEL MEMBER BENNETT: Yeah, it just
10 looks like it needs cleaning up.

11 DIRECTOR ZEISE: -- write it up in the report, I
12 think we need to -- we've received a number of comments
13 about how hard it is to follow. So I think -- what I'm
14 hearing is a recommendation that we really carefully step
15 people through the calculation and make sure that if we're
16 switching averaging times in the middle of an explanation,
17 that can be pretty confusing, so we'll take a look at
18 that.

19 Thanks.

20 ADVISORY PANEL MEMBER MCKONE: Can I -- this is
21 where I go back to the point where, you know, there's what
22 you need to do a risk assessment. And I agree you have to
23 follow the -- if you start playing around with the
24 terminology in the protocol of a risk assessment, you'll
25 get the wrath of the entire risk assessment community.

1 But this idea that may be to help make sense of
2 this have another column, so you can report the dose here,
3 had is actually kind of a dose rate. But then something
4 that people could grasp, like the cumulative intake in a
5 season or a year. And again, this is not what you're
6 basing -- it is what you're basing the risk assessment on,
7 but it's a number that people could grasp. And I would
8 probably put an either milligrams per kilogram, which
9 again people are going to have a hard time with. But
10 that's really the relevant number, because of the age
11 sensitivity and age differential, but maybe put both
12 numbers, and then say, well, they're so different because
13 body weights change so much.

14 But, I mean, somewhere there's going to be a
15 table with these non-cancer exposure dose, and the cancer
16 exposure dose. And I don't know how hard it is to have
17 one more column just to say for clarity, or to help you
18 understand, this would be the typical intake in. And I
19 don't know if the right number is a season, or a year, or
20 something -- something that would be relevant to a soccer
21 player, that's like, oh, this is what I would. In here,
22 it's so many milligrams.

23 And it's not going to be useful to do a risk
24 assessment, unless you know how to translate that
25 backwards. But it would -- and it's actually a neat way,

1 I think, to -- even for us, I mean, when I look at all
2 these different rates and -- per body weight, it's hard to
3 audit. It's much easier to audit an integral quantity
4 than it is a rate or make sense of a rate.

5 I mean, rates are hard to get -- I mean, for most
6 people, not for engineers.

7 DIRECTOR ZEISE: Their minds around, yes.

8 ADVISORY PANEL MEMBER MCKONE: And it might
9 address some of the concerns that we have here without --
10 again, I would never suggest altering the way you present
11 the risk calculation, because it opens you up to really
12 significant attacks about like reinvesting risk
13 assessment.

14 CHAIRPERSON BALMES: Linda, did you want to say
15 something?

16 ADVISORY PANEL MEMBER SHELDON: Yeah. First of
17 all, to address what Tom said, you know, I think it's the
18 way all of us think of different things. Being an
19 exposure person, I look at the amount that goes in during
20 a period like you do. I would, first, give that, and then
21 say, you know, this is what you get over a season, but now
22 let's translate this into what is a health risk, where we
23 have to go exposure to dose.

24 So it sort of gives what people are talking
25 about, in terms of what you're exposed to or what you

1 bring into your body, but now we're taking the step
2 further,

3 Now, my other comments, and they're a little --
4 at a little higher level. Let's go back to page 27, the
5 one that shows the checks and what the risk amounts are.
6 You did get those -- that data from two different
7 references. And I guess my question is, is how did they
8 develop that data, you know, the 0.01 grams per day, the
9 0.05. Again, was it taking other data?

10 I always have a lot of trouble with ingestion,
11 the indirect, the hand to mouth, all of this, because
12 there are so many assumptions that go into it. And
13 there's -- you know, you just don't know what to do with
14 it.

15 In my former life before I was retired, I was,
16 you know, the Science Director of one our labs. Our labs
17 did a lot of modeling. They did PBPK models. They did
18 exposure models. And what I was always told is not only
19 do you develop the model, but how do you evaluate that
20 model to give some confidence in what those levels are?

21 This is an extremely difficult thing to evaluate,
22 but I think some thought needs to be given to how do you
23 evaluate it? And also -- you know, so just when you take
24 all of your assumptions, and look, and say what is the
25 ingestion that's brought -- that you get the crumb rubber

1 in, how many, you know, milligrams a day are you
2 estimating for each of these groups? You know, does it
3 agree? Does it not agree?

4 And then I would also say given the different
5 exposure pathways, what is the relative magnitude of each
6 of these pathways? So if this is 1/100th of inhalation,
7 then maybe it's not so bad that you have all these
8 assumptions. If it's 100 times what the inject -- what
9 the inhalation rate is, then, you know, you need to make
10 sure that you have it right.

11 And so I just -- you know, it's -- it is the
12 perpetual issue with exposure modeling. It's not new to
13 you. But is there anything that you can do for this study
14 to give yourself more confidence in what you've done?

15 And then the other thing is just sort of really
16 trivial. Some place reading through it, they talked about
17 mouthpieces. And I remember when my kids were playing
18 soccer, they'd dangle these mouth pieces in, out, every
19 place, wipe it on their arm, wipe it on the ground, put it
20 back in their mouth. Some people -- I mean, this is the
21 way to look cool, right?

22 (Laughter.)

23 ADVISORY PANEL MEMBER SHELDON: And there's
24 nothing in here that looks at these mouthpieces. And I
25 don't know if it's important or not, but you did -- there

1 was a sentence on it. Then I said, oh, yeah, mouthpieces.
2 And then that was the last I heard of mouthpieces.

3 So, you know, just think about those again or
4 take that sentence out, so nobody else thinks about it.

5 (Laughter.)

6 ADVISORY PANEL MEMBER SHELDON: Thank you.

7 CHAIRPERSON BALMES: Dr. Kyle.

8 ADVISORY PANEL MEMBER KYLE: I think this goes
9 back to three or four points ago. But I'm still hung up a
10 little bit on this averaging time of 54 years, is that
11 right? Or exposure time of 54 years and averaging time of
12 70 years.

13 Is that what we're applying for non-cancer
14 effects? I'm just -- I'm asking, because I don't really
15 understand. It doesn't -- it doesn't seem quite clear in
16 here anywhere that I can see. Maybe I won't put you on
17 the spot to answer that. But, I mean, are there concerns
18 we would have for a 16-year old girl based on a 54-year
19 exposure and 70-year averaging time? It doesn't seem to
20 make sense, so...

21 DR. WONG: I'll try to answer it. Based on the
22 non-cancer, we -- I think we do have an error -- a typo
23 here. The averaging time is the average for the period of
24 exposure. So if you have a 54-year, which is 16 to 70
25 years old, your exposure duration is 54 years, and you're

1 averaging for the 54 years of the exposure.

2 And then we can actually look at for the whole 70
3 years for the exposure, and for different life stage by
4 segment.

5 ADVISORY PANEL MEMBER KYLE: Well, that doesn't
6 make sense to me, because the health effects you would
7 have -- you would be most concerned about for non-cancer
8 effects for a 16-year old girl would be shorter than that,
9 shorter duration.

10 DR. WONG: You mean -- sorry, I --

11 ADVISORY PANEL MEMBER KYLE: Well, suppose you
12 were worried about reproductive effects.

13 DR. WONG: Okay. So, like I said, we are looking
14 at the daily exposure dose. So we'll be -- what we are
15 doing with according to guideline is you have age group of
16 16 to 17. So we look at the activity, and we look at the
17 body weight, and we take a daily base for the 54 years,
18 and then we average it out for the 54 years of the
19 exposure.

20 ADVISORY PANEL MEMBER KYLE: And I think this
21 comes back to my question before, is this really an
22 appropriate method to use for young girls?

23 DR. WONG: We are listening. So we are looking
24 for input on how we should address it for -- this is a
25 very special study, not like normal residential exposure.

1 So we would love to have your input on how should
2 we handle this -- this kind of estimation that's a
3 traditional way, and how can we more appropriately present
4 the risk to the public to the point that it looks
5 reasonable and also scientifically reasonable.

6 So we are listening and we hope that to get input
7 from you, everybody.

8 ADVISORY PANEL MEMBER MCKONE: Yeah. Can I offer
9 some -- so, I mean, there are the protocols for risk
10 assessment, which are constrained by the fact that we
11 really for risk assessment we use lifetime cancer risk,
12 because we don't know how to do less than lifetime. But
13 we know how to make some adjustments for more sensitive
14 age groups.

15 So I think where the confusion is is how you make
16 that adjustment, whether you adjust it by altering the
17 averaging time to much less than lifetime or the exposure
18 duration. I mean, either way. So you have this factor at
19 the end of the equation, which is for cancer. For
20 non-cancer, they're just comparing the exposure rate to an
21 acceptable rate. The REL is a rate of exposure, milligram
22 per kilogram day that is below any -- you know, has a
23 sufficient margin of safety with respect to harmful
24 effects.

25 So on the non-cancer side, it's comparing a rate

1 to a rate, right? A rate that's okay versus the rate they
2 get. And hopefully, they're -- the rate they get isn't
3 way up over what's the reference exposure limit.

4 On the cancer side, it gets difficult, because
5 we're trying to look at a slope factor that's based on
6 lifetime equivalent dose. And the -- so the ED over AT,
7 the exposure duration over averaging time, is sort of this
8 mechanism for adjusting that. And so you could either use
9 some defaults for those and then say I'm -- we're getting
10 into the risk assessment, but it's where you make the
11 adjustment to account for higher sensitivity. And I think
12 that's where the question is, is -- and how to make that
13 very clear, if that's what's being done.

14 And again, I think a little bit of this is a
15 consequence of the way risk assessment protocols were
16 developed. You have to -- that's the standard equation.
17 You know, it's your exposure turned into a lifetime
18 equivalent, but I think for a couple of reasons. One,
19 it's just perception. It's difficult to tell people that,
20 oh, you were exposed to these chemicals and we're going to
21 average it out over your lifetime, because, you know --
22 but if they get cancer, they're probably not going to --
23 they may get it in 10 years, right?

24 I mean, there's these perception issues about,
25 well, I don't want you to average it out over the next 50

1 years or whatever is left of my life. I want you to tell
2 me what the risk is now. And again, the sensitivity is
3 the key to get at that, is to say, yeah, you know,
4 normally in cancer we average everything out to a
5 lifetime. We have to because of cancer potency factor.

6 I'm thinking -- and again, this is getting
7 technical. I mean, one way to get around this is to -- I
8 don't know if you can follow the protocol of going to a
9 margin of exposure with a benchmark, in which case, you
10 get rid of the -- you might be able to get rid of the --
11 you could report the rate versus a rate that is a point of
12 departure for cancer risk assessment.

13 It might be a little more straightforward as a
14 way to communicate this. I'm sorry, I'm getting into
15 things that are kind of technical within the risk
16 assessment.

17 But going away from a can -- well, I mean, you
18 could still use a cancer potency, because it really comes
19 out of a benchmark. But, I mean, California has a
20 protocol for using a point of departure in a cancer dose
21 response function. And that -- I mean, again, then the
22 two would look more similar. It would be comparing a dose
23 rate to a dose rate that we know over a lifetime leads to
24 cancer, and then you can make some adjustments for that.

25 We're getting into things that really are risk

1 assessment related, but they do feed back into the
2 exposure.

3 DIRECTOR ZEISE: Yeah, I think we're going to
4 have to be much more careful in terms of how we march
5 through and show the calculation. We could potentially
6 look at the issue of margin of exposure, and, you know,
7 see how that helps.

8 I'm also hearing though that there is this issue
9 of this shorter duration exposure and concern about
10 reproductive effects. And I think that, you know, we're
11 talking about 16 year olds, teenagers, and so forth. And
12 I do think that, you know, we do have approaches that can
13 look at these less averaged exposures. In fact, under
14 some of our programs, we look even for at a single day,
15 depending on what the chemical is.

16 So I do think we have some more work to do
17 looking at those shorter duration issues, in terms of peak
18 exposure over short periods of time. So we'll follow up
19 on that, and I'm sure have more discussions about how do
20 we better express what we're finding.

21 CHAIRPERSON BALMES: Any other questions or
22 comments before we move on to dermal exposure?

23 Oh.

24 ADVISORY PANEL MEMBER BENNETT: I just wanted to
25 clarify. So there is a realization that including the

1 exposure duration over the averaging time for the
2 non-cancer, that's just not part of the -- even though you
3 guys were going to reduce the times, that's just not part
4 of the standard convention for risk assessment for
5 non-cancer is what Tom was saying as well, because you
6 compare it to the reference dose. So that will be
7 removed, right?

8 Even -- I mean, I think it's just confusing to
9 have it in there, even if you adjust the time, because
10 it's not -- as far as I'm aware, it's not standard to put
11 the exposure duration and averaging time in the
12 non-cancer. That's a thing only for the cancer.

13 ADVISORY PANEL MEMBER KYLE: There's no REL for
14 crumb rubber, right?

15 DIRECTOR ZEISE: Well, we do have chronic
16 reference exposure levels that are over longer duration
17 that are sort of averaged concentrations and averaged
18 dose.

19 ADVISORY PANEL MEMBER BENNETT: Right.

20 DIRECTOR ZEISE: But I think we need to lay this
21 out better, and probab -- and I think potentially giving
22 some case examples of how it plays out for the different
23 kind of exposure reference level, so we do have short-term
24 reference levels. We have acute. We have chronic. And
25 so I think we're -- we might be -- there might be some --

1 it might not laid out as clearly as it could be in this
2 document. And I -- what I'm hearing is we need to sort of
3 step through and lay these out before giving entire
4 equations on how the calculation works, so that people can
5 follow how, for any particular kind of time duration
6 reference dose, we're making the calculation.

7 Is that -- does that help?

8 ADVISORY PANEL MEMBER MCKONE: I'm just -- are
9 these equations actually correct on slide 37? I mean, the
10 first one looks like a cancer one. The second one looks
11 like a non-cancer one. I think they got switched. That's
12 why everybody is confused.

13 Go to slide 37. I mean, the first one looks to
14 me like a cancer --

15 ADVISORY PANEL MEMBER BENNETT: Yeah, that's what
16 I was trying to say.

17 ADVISORY PANEL MEMBER MCKONE: -- expose dose.
18 And the second one is what you would do for a non-cancer
19 exposure dose.

20 ADVISORY PANEL MEMBER BENNETT: I'm still worried
21 about the exposure time for that...

22 DR. WONG: Yeah, hear your input and we
23 definitely -- there's some potential error here that we
24 will go back and definitely look at the equation in depth.

25 ADVISORY PANEL MEMBER KYLE: I wonder also

1 whether I -- you might consider adding an age group
2 between 16 and 70. When you look at -- this is on
3 slide -- I can't read the number -- 36, I think, your
4 little age group table with the exposure duration. It
5 goes from 14 to 54. I mean, it's kind of -- it's kind of
6 hard to explain. You know, when get to be -- go from 15
7 to 16, you know -- or actually 16 is in both groups. So I
8 guess for 16 you can pick either number.

9 But, you know, I mean, that wouldn't seem to be a
10 tipping point from going from 14 to 54 right then. So
11 maybe a thought about another group here would help you
12 think through some of these issues about what's different,
13 and -- because I really -- I think people are worried.
14 Most worried about young women, you know, in this study.
15 I'm most worried about young women. And so that -- maybe
16 that would help.

17 Thank you.

18 CHAIRPERSON BALMES: So maybe we should move on
19 to the dermal route of exposure.

20 --o0o--

21 DR. CLAUDE: Okay. So the final pathway. So
22 dermal. So once again here are the equations. They have
23 the general -- better?

24 Okay. So we have concentration, intake, and
25 exposure time.

1 So now we have the dermal exposure dose will
2 depend on a bioaccessible concentration of the chemical in
3 crumb rubber, particle loading onto the skin, and the area
4 of exposed skin that will come into contact with the field
5 and the exposure time.

6 So we already discussed the duration, averaging
7 time, exposure time, and frequency. So the bioaccessible
8 concentration of the chemical represents the amount that's
9 available for absorption into the body. It will be
10 measured in crumb rubber samples collected in the field
11 study using artificial sweat, and sebum biofluid extracts.

12 The absorption fraction parameter describes the
13 amount that is absorbed across the skin. In the absence
14 of chemical-specific data, OEHHA will assume a value of 1
15 and that 100 percent of the dermally bioaccessible
16 concentration will be absorbed.

17 The dermal load is a measure of the amount of
18 particles that will adhere to the skin.

19 --o0o--

20 DR. CLAUDE: It's derived by multiplying a
21 weighted adherence factor times the exposed skin area
22 times the event frequency.

23 The event frequency describes the number of field
24 events a field user may participate in in a day. OEHHA
25 will assume a value equal to 1. This assumes that users

1 do not reenter the field or enter another field at a later
2 time of the day once particles have been washed off of the
3 skin.

4 The exposed skin surface area is normalized to
5 body weight is the amount of skin that's available for
6 contact with crumb rubber particles.

7 --o0o--

8 DR. CLAUDE: So this parameter is the sum of the
9 fraction of body area for each exposed body part
10 multiplied by the total body surface area over the body
11 weight.

12 So the fraction of total body surface area will
13 vary with each body part. Fractions may change throughout
14 childhood for -- through growth and into young adulthood.
15 And they may vary based on age and gender. Values for
16 this parameter were adopted from the EPA exposure factors
17 handbook.

18 And although the exposed body parts may vary
19 based on season and the type of uniform field users wear,
20 such as shorts versus long pants, or short versus long
21 sleeves, OEHHA will assume that the total body surface
22 area is available for exposure for athletes and young
23 bystanders. This is based on anecdotal evidence from
24 players that crumb rubber can get underneath the clothing.
25 For coaches, referees, and adult bystanders, only the legs

1 and arms, including the hands, are assumed to be exposed.

2 --o0o--

3 DR. CLAUDE: So shown here is the data table for
4 the percent of the total body surface area for various
5 body parts. We have the head, trunk, arms, hands, legs,
6 and feet.

7 And this table shown here represents the total
8 body surface skin areas available in the U.S. EPA exposure
9 factors handbook. For both of these parameters, values
10 are gender and age specific.

11 --o0o--

12 DR. CLAUDE: So back to this main equation. The
13 final parameter is the weighted adherence factor, which
14 describes the amount of crumb rubber adhered to the
15 exposed skin.

16 --o0o--

17 DR. CLAUDE: It's -- this factor is a weighted
18 sum that's based on the surface area of each exposed body
19 part and the adherence factor for that specific body part.

20 So due to physiological differences of the skin
21 for certain body part areas, there may be differences in
22 the adherence for different parts. Values for this
23 parameter were taken from the Kissel et al. study that we
24 discussed earlier. They measured particle loading onto
25 skin for athletes on fields with crumb rubber infill.

1 --o0o--

2 DR. CLAUDE: Shown here are the factors for the
3 body parts that they measured, the hands, arms, legs,
4 face, and feet.

5 This table here shows the calculated weighted
6 adherence factors that are based on the area of exposed
7 skin. So for athletes and child bystanders, they're
8 derived assuming the whole body is available. And for
9 athletes -- for adult bystanders, coaches and referees,
10 the arms and legs are assumed to be exposed. Values are
11 age and gender specific.

12 --o0o--

13 DR. CLAUDE: So this slide is just to quickly
14 wrap up our discussion. So we just spent the morning, we
15 discussed the exposure dose equations for the three main
16 pathways. So we talked about inhalation and the non- --
17 the non-cancer exposure concentration, and the cancer
18 exposure dose. We also talked about the ingestion and
19 dermal pathways and their non-cancer and cancer dose
20 equation.

21 --o0o--

22 DR. CLAUDE: So now we would like to get input on
23 the to Panel on what we just heard. We have some
24 questions here to help facilitate discussion. Many of
25 them have come up already, so I'll turn the discussion

1 back over to Dr. Balmes to facilitate.

2 CHAIRPERSON BALMES: Did you want to comment
3 about that?

4 Dr. Zeise and Dr. McKone had a little discussion
5 about the -- what slide is that?

6 ADVISORY PANEL MEMBER MCKONE: So I'm looking at
7 slide 41.

8 DIRECTOR ZEISE: Well, so he's look -- Tom is
9 looking at the dermal, but I think in the ingestion some
10 of the confusion is that we didn't show the sigma in the
11 summing up of doses over different age intervals. Maybe
12 that was adding to the confusion in the dose calculation
13 for chronic exposure. But maybe Debbie and I can talk
14 offline and kind of try to resolve it with staff.

15 ADVISORY PANEL MEMBER BENNETT: Yeah. I think
16 what Tom was pointing at it looked like they just had the
17 equations backwards. That was what I was trying to --

18 ADVISORY PANEL MEMBER MCKONE: Well, I'm -- I'm
19 still trying to get through -- so if you go to slide 41.
20 So a cancer exposure dose is typically a lifetime
21 equivalent dose, because that's the cancer potency. It
22 has to be multiplied by a cancer potency. So it would be
23 milligram per kilogram body weight over a lifetime. So we
24 have the crumb rubber -- so I'm worried about is, let's
25 see, the DL is dermal loading, milligram per kilogram body

1 weight day.

2 But if you multiply that by the exposure time,
3 hours per day and the frequency days per week, you get
4 hours per week, right? I mean, I guess -- I'm still
5 having trouble following how that leads to a -- what we
6 would want for a cancer potency calculation.

7 The same one -- the same problem with the
8 ingestion one.

9 DR. CLAUDE: So I think some -- so there are
10 conversion factors in the equations. I didn't go through
11 each of them what they are, because they're not all the
12 same.

13 ADVISORY PANEL MEMBER MCKONE: Oh, okay. So the
14 conversion factors take care of getting us --

15 DR. CLAUDE: Yeah. So some of those conversation
16 factors take into account going from this --

17 ADVISORY PANEL MEMBER MCKONE: Get us to a
18 Lifetime equivalent for those.

19 DR. CLAUDE: Yeah.

20 ADVISORY PANEL MEMBER MCKONE: Because the units
21 aren't going to come out, unless the conversion factor
22 is -- right? Because we have, let's see, hours per
23 day times --

24 DIRECTOR ZEISE: And again with the dose, what
25 you want to be doing is you want to be waiting for the

1 cancer side the particular dose during that age period by
2 the sensitivity factor.

3 ADVISORY PANEL MEMBER MCKONE: Okay.

4 DIRECTOR ZEISE: And then you take each of the
5 doses during the different age intervals and you sum them
6 up after they've been weighted appropriately. So it's a
7 little confusing to try to wrap your mind all around.
8 We're just showing one age segment really.

9 ADVISORY PANEL MEMBER MCKONE: Yeah, I got it.

10 CHAIRPERSON BALMES: Dr. Bennett.

11 ADVISORY PANEL MEMBER BENNETT: I have another
12 question on the dermal. So it looks like on slide 45
13 we're calculating the dermal load. Are we -- where do we
14 look to see what's absorbed? Do we have things absorbed
15 through the skin. And then I was confused by the event
16 frequency events per day. Are we sort -- I'm kind of
17 assuming they have one practice.

18 DR. WONG: So here's the daily --

19 ADVISORY PANEL MEMBER BENNETT: Oh, oh, oh, there
20 it is. Okay.

21 DR. WONG: So it has another step to go further.
22 And then we will have the bioaccessibility concentration
23 to plug in before we get to the absorption.

24 ADVISORY PANEL MEMBER BENNETT: And then how are
25 we getting the event frequency, events per day? Wouldn't

1 that just be one?

2 DR. WONG: We assume to be one, because we assume
3 that even they may have multiple games. They are not
4 going to wash their hand, and they come back to the next
5 game.

6 ADVISORY PANEL MEMBER BENNETT: Okay.

7 DR. WONG: So that's all our practice is more
8 like a continuous, so we assume a event per day, not
9 necessarily a game per day.

10 CHAIRPERSON BALMES: Dr. Sheldon.

11 ADVISORY PANEL MEMBER SHELDON: I've got a
12 question. So all of this assumes that the crumb -- the
13 tire crumb rubber is the vehicle for transmission. You
14 don't have any -- you know, so things have volatilized off
15 the field, they've gone onto your skin, and there is a
16 constant absorption through the skin. You have not
17 included that. And there's always the vehicle of the
18 crumb rubber, is that right?

19 DR. WONG: We assume the relative contribution
20 for the transmission through the vapor is lower than the
21 actual adhesion.

22 ADVISORY PANEL MEMBER SHELDON: Okay.

23 DR. WONG: We considered the vapor pathway is
24 lower, less predominant.

25 ADVISORY PANEL MEMBER SHELDON: Yeah. You might

1 do a little back-of-the-envelope calculation --

2 DR. WONG: Yes.

3 ADVISORY PANEL MEMBER SHELDON: -- just to make
4 sure the assumption is correct.

5 So on this, you know, concerns or other
6 parameters needed, I guess to me the question is, is, you
7 know, kids that do play soccer do slide, do other things
8 and they abrade their skin. Is there anything to take
9 into account that? And the other thing is is that given
10 the popularity of sunscreen now, does that do anything to
11 adhesion factors? I mean those are sort of -- and I'm
12 sure you're smiling like, of course, we've thought of this
13 before. Yeah.

14 (Laughter.)

15 DR. WONG: Yeah. The -- we're not sure the
16 Kissel study was actually where the dose participant wear
17 sun block or not. We are aware of that if you have sun
18 block or lotion or the skin, you do have more adhesion.

19 That's why we're pulling off, it's like help us
20 out. The model is -- that was very complicated. We --
21 we're not sure at this point how we can model it. I'm
22 sure the literature search can help and listening to input
23 from the Panel. That's why we're here.

24 And some -- the abrasion. We heard a lot about
25 the abrasion. We're aware of that. It's just serum is

1 another very complex matrix. And we -- we can look at how
2 we can measure the bioaccessibility of the this rubber.
3 And when we talk to player, we go to videotape, we see
4 people abrasion. When we -- it's not a comfort, but most
5 of the player told us, if you've got a cut, you've got an
6 abrasion, you're supposed to clean it up, and you're
7 supposed to get off the field, according to requirement of
8 coach. And we've seen player pay for the game with
9 bleeding knee.

10 So that's something we're aware. We're looking
11 for how we can model it. We are looking for how we can
12 deal with this pathway.

13 ADVISORY PANEL MEMBER SHELDON: So over the last
14 15, 20 years of my career, we were always trying to do
15 measurements of exposure, and then related to
16 biomonitoring data, and see if we could get closure on
17 anything.

18 And, you know, the biomonitoring data always
19 showed higher levels of exposure for almost all chemicals.
20 And I was always trying to figure out what was the
21 exposure pathway I missed, what was the thing that I left
22 out?

23 And that's why I'm asking these questions. You
24 know, if you finally get back to trying to close the loop,
25 then the question is is what might be the major

1 contributors. And, you know, I think right now, you just
2 put a list of other major contributors, you know, if we
3 ever go back to verifying. But it's always been this
4 conundrum.

5 DR. WONG: We agree.

6 CHAIRPERSON BALMES: I think Dr. McKone agrees
7 too from previous work he did in terms of pesticide
8 exposure.

9 Mr. Avol -- oh, okay. Go ahead.

10 ADVISORY PANEL MEMBER MCKONE: I just --

11 CHAIRPERSON BALMES: You've got to push it back
12 on.

13 ADVISORY PANEL MEMBER MCKONE: I mean, so I think
14 the confusion I had, so maybe others will have it too, is
15 that in the inhalation -- I mean, the ingestion and the
16 dermal exposure for the non-cancer, you have ED, exposure
17 duration, over averaging time. And early on when we
18 talked about your protocol for doing cancer risk
19 assessment, you also used ED and AT, but those are cancer
20 related.

21 And I think you can get around a lot of confusion
22 by just maybe putting a subscript or superscript that in
23 these later equations, this is the exposure duration you
24 used for non-cancer, right, and the AT. That's what was
25 hard for me, because I see ED and AT, I'm always thinking

1 cancer, because that's the way you introduce it. Those
2 two terms were first used way back on slide something, 21,
3 to introduce the protocol for doing cancer risk
4 assessment.

5 Yeah. So here, that's the general equation for
6 cancer risk assessment. In a way, these EDs and ATs have
7 a different meaning. In cancer what you're trying to do
8 is -- is do a cumulative intake. So the top part of this
9 equation is the cumulative intake over an exposure
10 duration, which you then normalize by the averaging time
11 typically lifetime.

12 When you use it later on, you're looking at a
13 non-cancer effect where you're trying to figure out what's
14 the appropriate exposure duration and averaging time for
15 another kind of effect. I think you would be better
16 served to use slightly different or just even
17 superscripts, right, C and NC, because you're using
18 different assumptions and actually technically different
19 EDs and ATs in both cases. That would help me a lot.

20 Maybe I just get confused easily.

21 DR. WONG: We agree totally.

22 CHAIRPERSON BALMES: Oh, sorry. I think Mr. Avol
23 is next.

24 ADVISORY PANEL MEMBER AVOL: SO I have a question
25 and a comment actually. And this sort of reintroduces

1 some comments that have been made earlier in a different
2 vein. And that is this assumption on slide 42 about one
3 event per day. And I think that's probably appropriate
4 for recreational sport players. But for any child playing
5 on a club sport, any high school athlete, other athletes
6 that are involved in tournaments, et cetera, they
7 typically have multiple matches per day.

8 And so I think one event per day undersells what
9 the potential exposure is. Moreover, I think your
10 assumption that after the match they wash off the material
11 and it sort of resets to zero is not quite correct,
12 because they may -- they may or may not wash off the
13 material. But in the course of participating in the match
14 when they have multiple matches in a day, they may abrade
15 the skin. And so now they've broken the initial external
16 barrier of the epidermis, and so they now have a more
17 potentially viable pathway for exposure.

18 So resetting it to zero isn't quite I think what
19 you want to do, because they've already now surpassed the
20 initial threshold. Now, they go out and play again, they
21 already have a raw opening. The only reason they get
22 pulled off the field, which you mentioned before, is if
23 they're outright bleeding, and then they'll be pulled off
24 the field.

25 But if it's just sort of torn up, they will play,

1 and they will play with that scrape on. And I think --
2 and then they're being -- they're at a higher level of
3 exposure. And I don't think you're capturing that in this
4 multiple events.

5 CHAIRPERSON BALMES: But Ed, do you have a
6 suggestion about how they could do that?

7 ADVISORY PANEL MEMBER AVOL: Well, I think
8 that -- again, I think this tends to underpredict what the
9 exposure might be. So I think you may want to either
10 separate out recreational sports from competitive sports
11 and calculate a value for either, for the two separately.

12 And with regard to the competitive sports, I
13 think this is going to be a multiplicative factor of that,
14 whether -- if you cannot, based on either the video or,
15 you know, some other means get some idea of how often this
16 is like to occur, to ascribe some frequency to it, I think
17 you're going to have to put in some sort of safety factor,
18 a multiplicative factor just to account for it and
19 acknowledge that it exists, because it does, in fact,
20 exist. And if you ignore it, you're -- you're avoiding
21 what the true exposure is.

22 DR. WONG: Yeah. We -- like we said, when we go
23 through the videotaping, we saw people bleeding and they
24 still played the whole game. So, yeah, we're trying to
25 capture and learn when we go to the field and put it back

1 to this equation.

2 I totally agree with you that that sport --
3 especially club sports, they play multiple games per day.
4 And we are not assuming that they play one game a day
5 here. What we're assuming is they don't wash their hand
6 between games, so they would have the continuous loading.

7 And, of course, we need to learn more about how
8 long they play, how many games they play per day for the
9 club. I think that can help us to have better gauge on
10 their exposure.

11 ADVISORY PANEL MEMBER AVOL: But again, once -- I
12 mean, once they play -- participate in a match, and
13 they've abraded the skin -- we're not talking about hands
14 now, but maybe the forearms, the shins, et cetera, once
15 they've abraded now, that material may stay on or may be
16 new material introduced. But now it's a different sort
17 milieu, because now the first level of skin is sort of
18 missing. So now they don't have to -- you don't have to
19 worry about that impervious barrier. Now, you're already
20 open and things are -- and I think you're doing -- in a
21 different dimension now.

22 CHAIRPERSON BALMES: It probably is the kid that
23 was playing 24 hours that's also playing bleeding.

24 (Laughter.)

25 CHAIRPERSON BALMES: Dr. Kyle is next, and then

1 Dr. Bennett.

2 ADVISORY PANEL MEMBER KYLE: I may have to ask
3 your permission to bring up the subject of underwear.

4 (Laughter.)

5 ADVISORY PANEL MEMBER KYLE: But I don't know if
6 this is in the exposure factor handbook. I bet not,
7 but...

8 (Laughter.)

9 ADVISORY PANEL MEMBER KYLE: You know, in my
10 experience and other women I know, a lot of the exposure
11 is in your underwear, and not your exposed skin, because
12 the stuff gets in your clothes, and it's held there. And
13 so this whole idea of exposed skin versus not, I -- I
14 think it's going to underpredict. Maybe less for men,
15 because they have less constrictive underwear way. I
16 don't know, but -- and I don't want to get too far into
17 this in this forum. But I do think it's significant, or
18 it could be significant.

19 And we -- I don't know if anybody has done
20 anything on it. I know it's come up before. We have
21 discussed this briefly at a past event. So I wish I had
22 an exact suggestion, but I don't. But I think maybe more
23 of the skin potentially is impacted by this material than
24 what you're estimating from what you're calling exposed
25 skin.

1 DR. WONG: Yeah. We talked to players and they
2 do -- with your permission, to talk about underwear.

3 (Laughter.)

4 DR. WONG: They do --

5 CHAIRPERSON BALMES: You have my permission.

6 (Laughter.)

7 DR. WONG: Thank you. They do tell us that it
8 get into my underwear. It get into socks, into my shoes.
9 That's why in our assumption here for dermal uptake, we
10 assumed the full body. Even though, they're wearing long
11 sleeve we are looking, we assume, the full body. These
12 particles goes through the skin. I was on the field. It
13 went into my underwear too.

14 So I -- we are aware of that and we try to be on
15 the protective side to assume the full body available to
16 contact with these crumb rubber particles.

17 ADVISORY PANEL MEMBER KYLE: Thank you for that
18 clarification.

19 ADVISORY PANEL MEMBER MCKONE: Comment on that.
20 There is a recent paper looking at the effect of
21 clothing. I'm blanking on the name, but --

22 ADVISORY PANEL MEMBER BENNETT: Glenn Morrison.

23 ADVISORY PANEL MEMBER MCKONE: Morrison -- Glenn
24 Morrison did a study on the -- so, I mean, you could make
25 you assumption and then show what he found about the

1 relative attenuation by clothing. I don't think you
2 should use -- you know, say, oh, clothing is going to be
3 protective, but say this is what we assumed. And just to
4 show that that is not way off base, here's what Glenn
5 Morrison found in his study on the effects of clothing.

6 CHAIRPERSON BALMES: Dr. Bennett.

7 ADVISORY PANEL MEMBER BENNETT: So, I mean, I
8 feel like a big part of the problem -- well, I feel like
9 some of what Dr. Avol was talking about, in terms of the
10 scratches and so forth, I feel like some of that's almost
11 accounted for, because they assume the fraction of
12 absorbed across the skin is 1, which seems really high to
13 me. And so that's assuming that all the chemical that
14 gets on the skin is going in. And so that seems like that
15 would apply to abraded skin. But then on the flip side,
16 the adherence factors of the crumb rubber to the skin seem
17 super, super low, because we know that there is a whole
18 bunch of literature back from the nineties looking at
19 moist skin versus dry skin, and seeing so much more
20 adhering to moist skin.

21 And then also, now suddenly it's under the sock.
22 Well, it's going to adhere to the skin. It's got a sock
23 pushing it up against the skin. I'm going to use the sock
24 example.

25 And also, I'm just even puzzled even on the

1 Kissel thing, the arm is an order of magnitude lower than
2 any other body part in terms of the adherence factor. So
3 I think that adherence factor has a big problem.

4 And then I'm a little bit confused, because
5 actually I don't see any references in terms of time. I
6 mean, part of the reason I was kind of okay with the event
7 frequency being event per day is it seems like there's
8 just some amount of crumb rubber getting to the skin. And
9 it doesn't seem to have anything to do with how long
10 you're out there or anything. I'm not even seeing how
11 this dermal exposure is increased if you're there for
12 several hours or just one hour.

13 So I guess there's just -- I have some just
14 general confusion on the dermal and some specific concern
15 about the adherence factors.

16 ADVISORY PANEL MEMBER SHELDON: So I may have a
17 really bad thought. This is Linda Sheldon. So let me --
18 I don't want anybody else to have this bad thought.

19 And the reason I think it might, so we talked
20 about the fact that there may be things that we are really
21 underestimating exposure on. And, you know, granted, you
22 have to go with, you know, the best you can do with the
23 kinds of exposure assessments and risk assessments that
24 people do.

25 You know, you have spent so much time and effort,

1 especially on the analytical portion and bringing
2 state-of-the art analytical techniques in doing all of
3 that in there. And then we've got this area where it's
4 like, well, you know, we're just doing what we've always
5 done, because that's what we have to do.

6 And, you know, it might be useful to look at what
7 might be, you know, even a separate section or separate
8 part that says so here are some other potentially high
9 exposure scenarios that, you know, we have not considered.
10 And this might be what -- you know, this could be
11 estimated exposures.

12 To me, the good thing about it would be it would
13 take into consideration all the thoughts and all the
14 things that you have, you know, sort of put together in
15 your mind, but it might give a quantification to it.

16 The other thing is is that for a study that is
17 this large and you have spent this much time and effort to
18 it, I think it's important not just to address what is the
19 study question, but to be building upon the science. And,
20 you know, that section could say, so here are the things
21 we couldn't address. Here's, what we've estimated. This
22 might help other researches build upon the science. So
23 those things would be good.

24 The bad part about it may be is that you may be
25 doing too much mea culpa, too much uncertainty, and it may

1 negate what are the findings you have. But, you know,
2 it's a thought. It may be a bad thought, but it might
3 bring into consideration some of these things we've been
4 talking about.

5 CHAIRPERSON BALMES: Any other comments,
6 questions?

7 Well, thank you, Patty and Jocelyn. I think
8 we're scheduled to take a lunch break now. And should we
9 be back at --

10 DIRECTOR ZEISE: Back at 1:00

11 CHAIRPERSON BALMES: -- 1:00 o'clock.

12 So for those online, we'll be taking a break for
13 the next hour and eight minutes, but we'll start promptly
14 at 1:00. Thank you, all.

15 (Off record: 11:52 a.m.)

16 (Thereupon a lunch break was taken.)

17

18

19

20

21

22

23

24

25

1 A F T E R N O O N S E S S I O N

2 (On record: 1:15 p.m.)

3 CHAIRPERSON BALMES: So we're going to start
4 again our afternoon session. I apologize for the Panel
5 starting 15 minutes late. We all went to lunch together,
6 and, you know, we were waiting for the check, what can I
7 say.

8 (Laughter.)

9 CHAIRPERSON BALMES: So I guess our next
10 presentation is from Patty on non-targeted chemical
11 analysis.

12 (Thereupon an overhead presentation was
13 presented as follows.)

14 CHAIRPERSON BALMES: Go ahead Patty.

15 DR. WONG: I'm waiting for the projector to warm
16 up. So good afternoon. Thank you for continue with the
17 meeting with us.

18 The next section we're going to go through is the
19 non-targeted chemical analysis, which is a crucial part
20 for the field characterization study for synthetic turf
21 field and for playground. And in this section, Dr. Randy
22 Maddalena from the Lawrence Berkeley National Lab and I,
23 Patty Wong, will be presenting.

24 --o0o--

25 DR. WONG: So a section of introduction. The

1 field characterization study here is the timeline. Again,
2 we start in 2015 and now we have our fourth meeting in
3 2019 in May. And we start our sample collection in fields
4 and playgrounds. And we -- under the guidelines --
5 guidance from the Panel, we have developed a protocol,
6 modified accordingly, and we went in and sampled 35 fields
7 and four playgrounds. We finished in summer 2018 and we
8 have discussed the data last year.

9 Using the manufacturer's sample from multiple
10 recycled facilities, scientists from Lawrence Berkeley
11 National Lab developed targeted and non-targeted analysis
12 of VOC. And we are currently working on other classes of
13 chemicals. The VOC analysis of the field air sample and
14 inorganic analysis of the field crumb rubber samples has
15 been completed. And now we are working on the
16 non-targeted chemical analysis of the crumb rubber
17 extracts.

18 The non-targeted analysis is used to expand our
19 knowledge on the chemical composition of field samples.
20 The list of chemical targets that we identified in this
21 process will be selected for -- based on priority and then
22 confirmed using reference standard.

23 And the concentration quantified by using the
24 standard can be used to derive the exposure. And also,
25 the chemical identity will be used to assess exposure of

1 chemicals on fields and playgrounds.

2 Okay. The chemical identified in non-targeted
3 analysis will be prioritized. I already say it.

4 I think that's it. So the target chemical will
5 be used to guide our bioaccessibility measurement, as well
6 as for the analysis of our SVOC sample, the particle
7 sample, and the crumb rubber sample, and used to quantify
8 the concentration for potential exposure calculation.

9 --o0o--

10 DR. WONG: So before we go on, I would like to go
11 a little bit about our -- the OEHHA tire-related chemical
12 database, because it's one of the fundamental lists we're
13 using for this non-targeted analysis.

14 As I mentioned in the overview, OEHHA compiled
15 tire-related chemical list to assist our targeted and
16 non-targeted chemical analysis of field samples. The list
17 contains chemicals that are from -- reported from
18 literature reports from government, literature papers from
19 scientists, and on the tire-related study, on turf study.
20 And I want you to notice that not all the studies actually
21 confirmed the chemicals. Some chemical suspect they do
22 through the database match, some are confirmed.

23 We also communicate with the federal agencies
24 that are working on the crumb rubber study, and we
25 obtained information from them to expand our list.

1 We also have info -- obtained information from
2 the Tire Manufacturer Association and the carb --
3 International Carbon Black Association on the ingredients
4 going into tire manufacturing process. We search the
5 internet to look for chemicals that are advertised as used
6 for manufacturing tires. And we put these chemicals into
7 our chemical -- tire-related chemical database.

8 And as an ongoing process, the result coming from
9 the non-targeted analysis, the chemicals will be entered
10 into this database to expand our knowledge on the
11 tire-related chemicals. And it will be used to guide our
12 field sample analysis.

13 --o0o--

14 DR. WONG: So as I said, both the targeted and
15 non-targeted analysis are ongoing. The goal is to
16 identify chemicals that will be analyzed in all the field
17 samples. And we have conducted targeted chemical analysis
18 in these class of chemicals, including polycyclic aromatic
19 hydrocarbons using gas chromatography/mass spectrometry,
20 GC/MS in selective ion monitoring mode, the SIM mode.

21 We also conducted non-targeted analysis on the
22 VOC in air, and we selected a chemical for our targeted
23 analysis of the air sample obtained from field. We have
24 done the inorganic analysis on the crumb rubber sample
25 from fields.

1 So for non-targeted analysis, the classes of
2 chemicals we are looking at is the volatile organic
3 chemicals, semi-volatile organic chemicals, continue with
4 the polycyclic aromatic hydrocarbons. These are the
5 chemicals potentially in the emission from crumb rubber,
6 as well as from the solvent extracts of the crumb rubber.
7 So we are analyzing it based on different settings of the
8 GC that can achieve a different class of chemical.

9 There's also another class of chemicals, which is
10 the polar organic chemicals don't usual behave well in the
11 GC/MS analysis. And we are using LC/MS, liquid
12 chromatography/mass spectrometry analysis to look at these
13 polar solvent extract of the crumb rubber.

14 --o0o--

15 DR. WONG: So I would like to show -- have
16 overview on the workflow for the overall non-targeted
17 analysis we are processing. Each step will be discussed
18 in depth in the following discussion.

19 So crumb rubber, we obtained it from the
20 manufacturer, as well as from the field. We create
21 composite sample from the field. So they contain a
22 diverse -- chemicals of diverse properties from very water
23 soluble organics, which can be charged - These chemicals
24 usually are less volatile - to the more non-polar, less
25 polar chemical or non-polar organic chemical. They can be

1 of various level of volatility. So VOC, SVOC, PAHs, they
2 have various volatility.

3 So we subjected the crumb sample to different
4 experimental set-up to collect emission of volatile
5 organic chemicals from these samples. And then the vapor
6 was analyzed by using GC/MS for non-targeted chemical
7 analysis.

8 And using organic solvent extraction, we analyzed
9 the non-volatile, semi-volatile, and volatile chemical
10 using GC/MS under different settings, also for the
11 non-targeted chemical analysis.

12 The results of these analysis subject through
13 suspect screening through database. They through the NIST
14 database search for potential tentatively identified
15 chemicals, and we compile a list of these chemicals. And
16 details of these chemicals is in the binder material for
17 today's meeting.

18 We also extract the crumb rubber using polar
19 solvent. Now, we are looking at more aqueous with a 10
20 percent methanol in water, try to extract out the polar
21 constituent in the rubber. And like I said, these
22 chemicals is being analyzed or has been analyzed using
23 LC/MS.

24 Unlike the GC/MS, which has a very well
25 established protocol and database for doing non-targeted

1 analysis, LC, the liquid chromatography/mass spectrometry
2 for non-targeted analysis is kind of in an emerging field.
3 And it requires protocol development for -- to fit our
4 study. And in this section we'll go through how we
5 analyzed these chemicals.

6 And then at the end, we've consolidated these
7 chemicals into a tentatively identified chemicals list.
8 And by going through prioritization, buying the reference
9 standard, we confirm some of the chemicals, and the
10 process still ongoing.

11 --o0o--

12 DR. WONG: Here is a summary table on the various
13 matrix or samples that we have prepared. We have prepared
14 polar extract, non-polar extract. And also from the
15 non-polar extract, as well as from some of the emission,
16 we look at the PAHs. So -- and then we have
17 aldehyde/ketones and then VOCs that we look at in the
18 field air. And each of these matrix of chemical classes,
19 we use different instrumental analysis to consider to
20 analyze and come up with the chemical potentially or
21 confirm being in the matrix.

22 --o0o--

23 DR. WONG: So this is the introduction.

24 CHAIRPERSON BALMES: Yeah. Turn on your mic
25 though.

1 ADVISORY PANEL MEMBER KYLE: When you say
2 confirmed versus unconfirmed, what exactly does that mean
3 in this context? And let me guess, and then see if I'm
4 right. Does that mean that from the various spectra and
5 so on, you can identify what the peak is? Is that what
6 confirmed means or not?

7 DR. WONG: We'll go for more detail, yeah, but
8 there's a little bit difference between confirm and not
9 confirm. When you first have the LC/MS, you go through --
10 you come up with a spectrum with all the peaks. And then
11 you can go for a database to try to identify what is that
12 chemical.

13 And without putting in a real chemical reference
14 standard into the same equipment is called as a
15 tentatively identify, so it's unconfirmed. That can be
16 potentially some chemical with similar pattern, because
17 they are analog of each other. They have different --
18 just a little bit difference in structure, but it's not
19 actually the chemical.

20 They can give out similar pattern on the mass
21 spectrometry. So that's why we call those unconfirmed.
22 But once we check it through the analysis, we match the
23 spectrum, it looks correct on the retention time, which we
24 go in more detail, and the spectral data, then we call it
25 a confirmed chemical.

1 ADVISORY PANEL MEMBER KYLE: Okay. Thank you.

2 DR. WONG: Sure.

3 CHAIRPERSON BALMES: Dr. Bennett.

4 ADVISORY PANEL MEMBER BENNETT: I had a question.
5 So it looks like you're doing a sample of new tire crumb
6 rubber and then a sample that's composite to do the
7 non-target identification, and then develop a target list.
8 After the target list and the standards have been bought,
9 are you planning on analyzing multiple use samples to look
10 at variability in levels or are you only ever analyzing
11 the composite?

12 DR. WONG: So the composite -- let's roll back a
13 few years ago when we first start the meeting, we heard it
14 loudly from the -- it's a very, very good advice that we
15 should look at what is in the rubber before we move on, so
16 we start the solvent extraction. And this is -- the
17 manufacturing sample is before it goes into the field.
18 And then we create two composite samples from four
19 different fields each. So it's eight fields. It's to
20 help us identify the target.

21 And then once we got the target list, we buy the
22 reference, and then we will analyze each individual
23 field --

24 ADVISORY PANEL MEMBER BENNETT: Okay.

25 DR. WONG: -- with some samples last year, with

1 different age, different location.

2 ADVISORY PANEL MEMBER BENNETT: Great.

3 DR. WONG: We try to look for pattern, yeah.

4 ADVISORY PANEL MEMBER BENNETT: Okay. Great.

5 That's what I thought. I just wanted to make sure.

6 DR. WONG: Yeah.

7 DR. MADDALENA: Okay. So it's my turn.

8 (Thereupon an overhead presentation was
9 presented as follows.)

10 CHAIRPERSON BALMES: Dr. Maddalena, yes, go
11 ahead.

12 DR. MADDALENA: Thanks for kind of getting us
13 started. It's good to be able to be back in front of you
14 guys today. We always learn a lot from this end of the
15 table from your perspective.

16 Today, at lunch I asked Patty -- I'd suggested
17 that if I was sitting in your seat, the first thing I'd
18 want to see is so show me the numbers. Let's stop talking
19 methods and show me the numbers. But for this particular
20 study, that comes at the end. And you're going to get the
21 numbers, but that's going to come at the end.

22 And so the point of these presentations, although
23 they seem to be very method centric, they're really
24 designed to try and make sure we fully vet our approach.
25 When those numbers do come out, then we've built them on a

1 very solid foundation. So that's kind of my intro.

2 I'm going to talk and go into a little more depth
3 about what Patty has suggested is our roadmap, our plan.
4 And you'll see increasing levels of sort of complexity as
5 we cycle through this. The VOC analysis was probably a
6 fairly simple analysis to be done. And I'll talk more
7 about that. But it was in a controlled environment before
8 we even went to the field.

9 The -- what we're calling the SVOC analysis, it
10 gets much more complicated very quickly. And so I wanted
11 to kind of build up slowly into the process for
12 non-targeted analysis, identifying what's there when you
13 don't know what's there, the process we are taking. So I
14 wanted to build up slowly. So I will be switching back
15 and forth periodically between the -- some of the results
16 from the targeted analysis as a comparison just to give --
17 to make a few points along the way.

18 --o0o--

19 DR. MADDALENA: So here we go. Here's an
20 overview of a -- of the presentation.

21 I remember you said talk straight into the mic,
22 so I'll stop looking at you, and I'll look at the mic.

23 Sample collection methods. I'm going to go over
24 that. Again, we've done this before, and you guys --
25 you've all seen this before. But it's important I think

1 to package up what we're going to talk about in the
2 non-targeted analysis with where these samples come from,
3 because ultimately that's what's important. Our numbers
4 at the end of the day, are they really representative and
5 relevant to the problem at hand?

6 So we did some laboratory-based tests, including
7 chamber -- controlled chamber emissions studies, some
8 direct thermal desorption, the stir-bar extraction is like
9 the small step towards our availability studies. So the
10 stir-bar extraction was done. And we did some aging as
11 well in that laboratory-based study.

12 And then went to the field and spent a fair
13 amount of time in the field covering a lot of different
14 locations. So the samples collected from the field are
15 both from the surface and from the air. So you have
16 direct crumb material collected from the fields and you
17 also have air, which would capture volatilization. And
18 I'll talk a little bit about a question this morning of
19 integrating times and spatial variability. So we'll make
20 sure we have a good understanding of that, and then go
21 from there.

22 Now, we've got samples in the cabinet. We go to
23 the analysis and the first step is extraction. And so
24 I'll talk a little bit about those steps before I even
25 come to the date analysis part. And that's where we're

1 going to really focus most of our attention.

2 I'll say it now, and then I'll try and justify it
3 as we move forward, the whole point of this is to create a
4 very strong linkage between the numbers that we find and
5 the chemicals that we identify, and the crumb. And so,
6 you know, the environmental samples it's a little more
7 difficult. But when you actually take the material right
8 out of a manufactured bottle or collect it out of a
9 chamber, where all you have in that chamber is the target
10 material, there's a pretty tight linkage. And so that's
11 kind of the whole point is the sample collection has a lot
12 to do with how relevant the data is.

13 --o0o--

14 DR. MADDALENA: So what's that?

15 I can see. You guys have it in front of you,
16 right?

17 CHAIRPERSON BALMES: Yeah.

18 DR. MADDALENA: Okay. So this is what we tested.
19 Looking at the laboratory-based experiments again, the
20 first thing was the emission's chamber. The only thing
21 that went into that emission chamber was our material of
22 interest. And so we had crumb infill material
23 manufactured fresh from the production lines and then turf
24 blades with the backing included. So it's a very
25 simple -- simple -- as far as complex mixture, but it's a

1 very simple structure.

2 --o0o--

3 DR. MADDALENA: We built these little
4 experimental units in 6-inch squares, the representative
5 depth of a real field using turf and crumb, and added
6 in -- added them in appropriate balance as far as to
7 represent the field --

8 --o0o--

9 DR. MADDALENA: -- and then put them into our
10 emission's chambers, which are highly controlled systems.
11 They're as far away from the real world as you can get.
12 But we control the temperature, the humidity, the airflow
13 through the system, so we can close the mass balance when
14 we measure chemicals. And what we measure in this system
15 is with high confidence from the material we're testing.
16 And that's an important piece of information as we're
17 moving forward.

18 --o0o--

19 DR. MADDALENA: For the samples collection in the
20 laboratory, the second one we did, and we've talked about
21 this before, again making the point of the direct
22 connection, we put the crumb material itself into a cell,
23 or a small straw, if you will, and put that straw right
24 directly into our instrument. So in this case, it's a
25 one-to-one connection between what's in the -- in that

1 straw and what goes into the instrument. So the signal we
2 get out the other side is directly connected or linked to
3 that.

4 --o0o--

5 DR. MADDALENA: The third sort of sample
6 collection method, so I'm kind of working through this
7 fairly fast, because I think we've all seen this before.
8 But I just wanted to make a -- you know, a quick reminder.

9 The third one was a -- the stir-bar extraction,
10 where you have a semi-aqueous phase. It's a mixture of
11 organic and aqueous, but it's a -- it's on the -- we use
12 the word "polar" and "non-polar". Basically, that boils
13 down to whether it likes to be in water or whether it
14 likes to be in oil. And most chemicals sort of fall along
15 this spectrum. So it's really not a very sharp cut line.
16 But for this experiment, we used water with a little bit
17 of methanol in it, and then a stir-bar. And in that
18 stir-bar, that stir-bar sort of acts as a sorbing
19 environment. So as material is extracted from the crumb
20 into the liquid, it's very rapidly taken up into this
21 stir-bar, this artificial surface in the water.

22 And that goes directly into, again, one of these
23 sampling tubes or straws that go right into the
24 instrument. Again, a very tight link between the material
25 we're testing and the chromatograms that come out the

1 other end of that test.

2 --o0o--

3 DR. MADDALENA: So then the -- we moved our
4 sampling to the field. And just as a reminder, the
5 question this morning was what was the integration time?
6 It's always about an hour. So the samples for VOCs were
7 collected for an hour, spread across the day, spread
8 across the field, on field, off field, and at different
9 heights above the field. So we got a lot of spatial,
10 temporal, stratified variability captured in these
11 hour-long samples.

12 The semi-volatile chemicals, because of their
13 nature, they required essentially a 3-hour sample. So at
14 each field, you have some spatial variability because
15 you've got a couple of them spread across the field,
16 you've got one off the field, but it's integrated over a
17 longer period of time, because you needed a larger sample
18 volume in order to see these compounds.

19 --o0o--

20 DR. MADDALENA: So, in summary, I said it up
21 front, the laboratory sample collection method is so
22 tightly linked to the material of interest, that we have a
23 lot of confidence that when we see a peak or when we see a
24 potential chemical that we know where it came from.

25 The field, it gets more complicated. But in the

1 field, we were able to capture things like variability,
2 spatially, temporally, height-wise, so it was important to
3 have those too. And at the end of the day, some of the
4 things that we saw in the chambers, specially for the
5 VOCs, we didn't see them in the field. And there's
6 obviously reasons for that. As things decay, as they
7 volatilize, as they, you know, transform in the field, you
8 may not see. Just because you've identified it in a
9 chamber doesn't mean it follows through the whole process.

10 So at the end of the day, that final list may
11 have things that you've seen before that have fallen off
12 the list, because they're not in the field itself

13 --o0o--

14 DR. MADDALENA: So very quickly, and I don't want
15 to go into a lot of detail here, the sample extraction for
16 the VOCs, in this case, all of them were the same. And it
17 was the thermal desorption extraction. So whether it was
18 collected on a stir-bar, directly put in the sample tube,
19 or collected air in the field, they all went through the
20 same. So there's no variability in the analysis side of
21 this problem.

22 --o0o--

23 DR. MADDALENA: It's all directly linked. So
24 then we get to the data analysis. And this is where, for
25 the most part, we want the Panel to really think hard

1 about whether we're following the right path, particularly
2 for some of these remaining tasks in the SVOCs, the
3 non-target analysis.

4 So as a little background, there are really two
5 approaches. We use the word "targeted" and
6 "non-targeted". Essentially, all that means is if it's a
7 targeted analysis, you're telling me ahead of time what
8 I'm looking for. And then I do my analysis and then I
9 look at a chromatogram. I'm going to give you sort of the
10 anatomy of the chromatogram on the next slide. I look at
11 that chromatogram and try and find out if that sample or
12 that chemical is in there.

13 For the non-targeted analysis, it's the reverse
14 of that. I have a chromatogram and I have a peak that we
15 think is fairly clean, and we want to try and put a name
16 to that peak. And so you're coming at it from two
17 different directions.

18 Ultimately, the strategies sort of converge on
19 what we mentioned before, you want to have a pure standard
20 at some point that you could run through your system and
21 confirm what you've found.

22 --o0o--

23 DR. MADDALENA: And so with that, I want to just
24 kind of work through sort of a first-year analytical
25 chemistry, just to get everybody on the sort of same page

1 on what we can do, and as we - I mean, I guess I could
2 say - get down into the weeds or get down into the turf
3 and how far can we go to really see things before some of
4 the other factors come into play and uncertainties just
5 get too great. At some point, the uncertainties can't be
6 ignored.

7 So when I talk about a chromatogram, this is what
8 I'm talking about. And this is essentially a sample that
9 you put in an instrument and the -- all of this science is
10 already developed. So in a lot of cases, we're just
11 driving the machine. But there are pieces that -- or
12 factors that go into this process that are important and
13 will ultimately dictate how much we can figure out from a
14 sample.

15 The first one is the peak resolution. So when
16 you have a chromatogram, you might have a -- one of these
17 peaks that pop up. And it has a really nice -- you know,
18 the baseline is running along nice and flat. And then you
19 get a peak and it goes right back to baseline and you're
20 like that is a nice peak. And so we know that from a lot
21 of the science that went before, that that's a very pure
22 chemical that creates that peak.

23 And so when your detector provides a finger
24 print, you can be quite sure it's a very clean finger
25 print that would do good in matching.

1 Environmental samples, you rarely get the first.
2 You more often get the second, where you have multiple
3 peaks. Whether you know it or not, this one -- this
4 example you can actually see a shoulder on a fairly nice
5 peak. And that shoulder clearly there are two things
6 there. And there are tools that we have to go in and
7 start separating those. But we don't even always, if the
8 things -- if the chemicals elute very closely together,
9 this is going to affect our ability to identify them.

10 The second thing that comes into play is what's
11 called signal to noise. And so what you have is in any
12 analysis, you have some baseline variability. And that
13 variability has some scale or some size.

14 So when you have a signal that comes out, or in
15 this case a peak, you can figure out sort of how much your
16 signal is relative to your noise. And you get down below
17 somewhere on the order of 10 to 15 and it gets a lot
18 harder to figure out what's what. So you'll see in some
19 of our samples later on in these talks that there are a
20 lot of peaks in these samples. But in a lot of cases,
21 they're very close to that noise level, and it becomes
22 very difficult without additional chemistry to figure out
23 what those peaks are.

24 The next thing is really an instrument-related
25 limitation. And that's, what we call, a dynamic range.

1 It's basically how much can you see in a range of
2 concentrations before either the detector gets swamped out
3 or the lower limit of the detection is reached. So it's
4 everything in between there.

5 We can control that a little bit, by our sample
6 size, by what we put into our instrument. But we can't
7 always control that across the range. And like in the
8 chromatogram that's on this picture, there are a couple of
9 fairly large peaks. And those peaks are clearly related
10 to crumb. The benzothiazole is the second large peak
11 there, and we've talked about that before.

12 Well, I can't change this analysis by -- I can't
13 bring those small peaks up anymore without saturating the
14 detector using -- from the larger peaks. So again,
15 constraints of working with real samples.

16 --o0o--

17 DR. MADDALENA: The next one that we deal with,
18 and the last one that I'll talk about, is just basically
19 complexity. When I showed you that first peak how it was
20 nice, and well resolved, and a good shape, and we have a
21 lot of confidence in that peak being a very pure chemical,
22 and we could feed that into our identification systems.

23 The problem is we're dealing with what we see in
24 this next chromatogram often. We see peaks that aren't
25 well resolved. Not only aren't they well resolved as you

1 get these baseline humps that come out that are sort of
2 unresolved compounds - and we'll talk -- we see a lot of
3 this in the LC analysis, and we've come up with some
4 strategies to go after that.

5 --o0o--

6 DR. MADDALENA: So in summary, the four -- for
7 us, the four main things that limit our ability to get
8 down deeper in the turf and identify more peaks are the
9 resolution of the chemical -- of the chromatogram, and
10 there are limitations on how long you can run your
11 chromatogram. So you don't want to run over like an hour
12 and maybe an hour and some. And you could change columns,
13 but that may get better resolution for per some and not
14 the other. So you just optimize and do the best you can.

15 The second one is signal-to-noise. That's
16 basically worked out. You can't really reduce the noise
17 that much. You could increase the signal, but you're
18 limited by that dynamic range on how much you can increase
19 the signal, until you go into chemistry. And chemistry
20 takes a long time and a lot of money. But you can take
21 these samples and effectively split them up, and take the
22 bigger things in one path and the smaller things in
23 another. So there are techniques, but they're difficult,
24 time-consuming, and sometimes extensive.

25 And then the last one that we just struggle with

1 a lot is the complexity issue. And again, you can handle
2 this to a certain degree with pre-analysis chemistry. You
3 can -- the unresolved peak that we often see in these
4 samples are a mixture of alkanes, which are just normal
5 organic chemicals that don't have a lot of functional
6 groups on them. They don't have double bonds. They don't
7 have halogens or anything extra on them, but they have a
8 lot of ways to put themselves together.

9 So you can have a 12-member alkane that goes in
10 all kinds of different shapes, depending on how you
11 connect those atoms together. And those all move at just
12 slightly different paces through the column, and you end
13 up with this sort of a blob that is hard to distinguish,
14 and you need to actually mathematically separate that from
15 your analysis.

16 So the point is you can improve and address all
17 of these limitations, but they obviously are going to take
18 some extra time.

19 --o0o--

20 DR. MADDALENA: So now with that background, I'll
21 kind of walk you through the process that we use in our --
22 identifying our non-targeted analysis, identifying
23 compounds. And I'm going to start with the VOCs, because
24 that's somewhat of a cleaner system to work in.

25 First example is a fairly dominant peak in this

1 particular analysis. And this in an emission's chamber of
2 a fairly fresh crumb material. And so we've got the peak
3 here that shows up. It's pretty well resolved. It's a
4 good strong peak. And we pull that out of the instrument
5 and create a mass spectra --

6 --o0o--

7 DR. MADDALENA: -- or the mass spectrometer
8 create a mass spectra for us. So when you put this peak
9 into the detector, the molecule always blows up the same.
10 It always breaks apart the same. And there are libraries
11 that you can compare that finger print to, so you can put
12 an identification on it.

13 So we feed this into the database that we have.
14 And we have one of the more current databases. They
15 revise those periodically. And it gives you information
16 mathematically, which I don't want to go into, on how well
17 it fits with everything in that database, and brings to
18 the top the ones that match the best. And so you're
19 actually matching spectrum. Here

20 --o0o--

21 DR. MADDALENA: And in this picture, you see the
22 red lines on top, the blue lines on the bottom. Those are
23 the finger print. Those are the pieces of that molecule
24 that come out at that given retention time. And going
25 back, the likelihood or the match factor here is really

1 high. It's 940 out of 1,000.

2 So, in fact, it's a really, really good match.
3 But again, we're dealing with a very clean spectra. And
4 another thing to look at here is that probability column.
5 That's kind of -- that's mathematically the likelihood
6 that benzothiazole and not the next best choice. And so
7 you do end up with a really good -- yeah.

8 ADVISORY PANEL MEMBER BENNETT: This is Debbie
9 Bennett. What did you go to do to get from the peak to
10 the fingerprint? I missed that.

11 DR. MADDALENA: Oh, that's internal. That's
12 mathematics. So that peak is being recorded continuously
13 by your software on your instrument. So the instrumental
14 analysis is recording that peak. When that peak goes into
15 the mass spectrometer, which is your detector, it's
16 bombarded with elec -- with charge. And so this molecule
17 gets excited, and it just can't handle that charge, and it
18 always breaks apart in the same way.

19 And in this case, it breaks apart with this --
20 this sort of histogram that you see. There's a lot of
21 pieces of it that are 135 units per charge. And so that's
22 how it breaks it apart. And it's this fingerprint of all
23 of these pieces that's recorded in a database by a number
24 of different organizations. We use NIST's database for
25 this work.

1 And so that information is recorded continuously.
2 And then when you go into do your non-targeted analysis,
3 then you use sort of feed that in either automatically or
4 you say give me an answer for this particular peak, and
5 that's where it does the matching.

6 This is the results of the matching. And it
7 shows you again a very nice clean peak. The probabilities
8 were really high, so we've got a lot of confidence. But
9 ultimately, you'd still want to run a standard, in which
10 case we run a standard to confirm it.

11 So this is kind of what the whole package looks
12 like, all those little windows are. Pieces of information
13 on how well you did on your non-targeted analysis.

14 --oOo--

15 DR. MADDALENA: That's easy, right? This is --
16 the problem is most environmental samples are not that
17 clean. And so we end up with situations where we have,
18 like this little shoulder on the peak on the side here.
19 Again, this is an analysis or a sample that was collected
20 from an emissions chamber from crumb rubber, so it's
21 clearly related to crumb. The weak peaks, they don't
22 resolve very well.

23 The problem with that is they -- they're in the
24 chamber together when you break them apart. And so you've
25 got this mixture of ions that come about.

1 There are a lot of options that we could do to
2 kind of improve this separation, this resolution. I
3 talked about them before. But ultimately, the easiest one
4 is just mathematically try and separate those. And we
5 didn't do -- build the software. We just use the
6 software. But it's fairly straightforward as far as it
7 sends all of these ions at any given retention time into a
8 library, and then it statistically matches up traces.

9 --o0o--

10 DR. MADDALENA: So if one mass-to-charge number
11 goes up and another one goes very closely correlated with
12 it, then it matches that as one compound and throws
13 everything else away, right? So it's just a mathematical
14 approach to cleaning up spectra.

15 --o0o--

16 DR. MADDALENA: And at the same way -- you know,
17 the same way we did previously with the raw spectra from
18 the instrument, we can do the same thing with the cleaned
19 up spectra. And that's just a little bit more
20 uncertainty, but it still provides a very nice way to get
21 down to, you know, identifying more and more peaks at
22 lower and lower, and dirtier and dirtier levels, or
23 messier and messier chromatograms.

24 --o0o--

25 DR. MADDALENA: So going through the VOC process

1 this way we ended up back and forth several times. At
2 this stage, there are no more remaining tentative ones on
3 our list. They're all confirmed. And so with the VOCs
4 and I'll throw into the mix the carbonyls as well, mostly
5 that's formaldehyde and acetaldehyde. Some of the more
6 volatile carbonyls. So I'll throw those in as well,
7 because they're also confirmed and we end with 78
8 compounds on our confirmed list now.

9 And I think that list is in the meeting material,
10 so you can refer to that list if you want to get specific
11 names and such.

12 --o0o--

13 DR. MADDALENA: So in summary, I wanted to point
14 out at first that the chemicals that we're measuring just
15 from our approach of sample collection are highly specific
16 to crumb. Specific particularly in the lab work, but also
17 in the field, they're highly specific to that field,
18 whether it's crumb related or not.

19 The targeted analysis that I talked about, it
20 generally reduces down to chemicals that we've -- we have
21 particular interest in or are particularly high in the
22 sample. And we used -- we -- at that point, and to
23 address Debbie's question earlier, we basically train the
24 instrument moving forward. Once we have a chemical
25 identified, we don't have to go through this again. It's

1 got a retention time. It's got a finger print. It's on
2 our instrument at the very same time every time. And we
3 train the instrument to look at that point, and does the
4 work for us. So that's nice.

5 The downside is there's always other things in
6 your analysis. We may do 30 fields and that 31st field
7 has something else there that we didn't expect. And so
8 you always have to continue to circle back through and
9 look at your chromatograms and make sure there's nothing
10 new popping up that needs your attention.

11 So in the non-targeted analysis, I spoke of the
12 things that kind of control how good we can do with that,
13 and the process of getting from a sample to essentially
14 name. And then ultimately it is up to us as analytical
15 chemists to go in and confirm that with pure standards, if
16 available. That approach on the non-targeted analysis
17 especially for these more controllable samples, they'll
18 give you 80 to 90 percent of the, I'll call it, mass, but
19 in reality, it's just the response from the device, from
20 your instrument.

21 So it will cover a good fraction of the response.
22 And the rest of that is so close to the signal-to-noise
23 threshold, that it's really hard to get much better.

24 --o0o--

25 DR. MADDALENA: So that's kind of the overview.

1 Should we stop for questions on the VOCs first, because
2 the -- okay. We could stop there, and clarification, or
3 drill down into questions.

4 CHAIRPERSON BALMES: Thank you, Randy. That was
5 very helpful. For a non-chemist, I think I understood
6 most of what you said.

7 Any questions or comments right now before we
8 move on?

9 Dr. Bennett.

10 ADVISORY PANEL MEMBER SHELDON: Yeah.

11 CHAIRPERSON BALMES: Sorry.

12 ADVISORY PANEL MEMBER SHELDON: On the -- you
13 know, looking at the VOCs and the tire crumb, you were
14 using 25 degrees C in your chambers. Is there a reason
15 you didn't go higher than that? That seems like a sort of
16 gentle approach?

17 DR. MADDALENA: Yes. Yeah. We did go higher
18 than that.

19 ADVISORY PANEL MEMBER SHELDON: Yeah.

20 DR. MADDALENA: We spoke about that I think at
21 the last meeting we had.

22 ADVISORY PANEL MEMBER SHELDON: Oh, okay.

23 DR. MADDALENA: Sorry. No, that's okay. And we
24 also the -- even more difficult -- or the more challenging
25 extraction was where we put the crumb directly in the

1 instrument.

2 ADVISORY PANEL MEMBER SHELDON: I was going to
3 say --

4 DR. MADDALENA: And in that case, we did go to
5 very high temperatures?

6 ADVISORY PANEL MEMBER SHELDON: Okay. What
7 temperature did you use for those what you did?

8 DR. MADDALENA: That ramped up from
9 representative temperatures in the 40 to 50 C range all
10 the way up I believe to 150 C.

11 ADVISORY PANEL MEMBER SHELDON: Okay.

12 DR. MADDALENA: You go much farther, you start
13 getting thermal decomposition and it's really not
14 relevant.

15 ADVISORY PANEL MEMBER SHELDON: Okay. Even at
16 that point did you get thermal decomposition or --

17 DR. MADDALENA: It was approach that. It was
18 definitely approaching that. The things you're starting
19 to see were --

20 ADVISORY PANEL MEMBER SHELDON: Okay.

21 DR. MADDALENA: -- indicative of what you would
22 think of a breakdown product and not necessarily just
23 volatilizing off of the system. So it's -- again, it's a
24 judgment call. But all of those peaks filtered back into
25 our decision process to kind of identify what we wanted to

1 target. And then --

2 ADVISORY PANEL MEMBER SHELDON: So --

3 DR. MADDALENA: Yeah, go ahead.

4 ADVISORY PANEL MEMBER SHELDON: Okay. So the
5 other question is is that you said that was it in these
6 rubber -- you know, crumb rubber samples, were you able to
7 identify 80 to 90 percent of the peaks? I mean, are all
8 of those peaks actually in libraries that you can identify
9 or were there a lot of spectra that it was just like, you
10 know, we don't have that in our database?

11 DR. MADDALENA: In most cases, they are in the
12 database.

13 ADVISORY PANEL MEMBER SHELDON: Oh, good.

14 DR. MADDALENA: Surprisingly, they are. You can
15 identify them using the approach I talked about down to
16 the isomer level, which means a chemical that has --

17 ADVISORY PANEL MEMBER SHELDON: Right.

18 DR. MADDALENA: -- a very similar structure, like
19 the example I showed you with the benzene ring and the
20 three methyl groups.

21 ADVISORY PANEL MEMBER SHELDON: Um-hmm. Um-hmm.

22 DR. MADDALENA: It could have been any number of
23 -- any one of three different structures. And you just
24 can't tell them apart, as you move that around

25 ADVISORY PANEL MEMBER SHELDON: Okay. Yeah.

1 Back in the olden days, they didn't have so many spectra
2 in those databases, but now it --

3 DR. MADDALENA: It's tremendously rich, if you --

4 ADVISORY PANEL MEMBER SHELDON: -- but it's rich.
5 Okay.

6 DR. MADDALENA: If you find it in manufacturing
7 or in the environment, there's a good chance it will be in
8 one of these databases --

9 ADVISORY PANEL MEMBER SHELDON: Okay. That's
10 great.

11 DR. MADDALENA: -- at this stage.

12 CHAIRPERSON BALMES: Dr. Bennett.

13 ADVISORY PANEL MEMBER BENNETT: So am I correct
14 interpreting the -- on slide 20 you were able to get --
15 you found roughly 67 peaks and you were able to buy
16 standard and confirm them all or there's some that were
17 unconfirmed, and so you crossed them off, because you
18 couldn't -- they weren't what they -- you thought they
19 were?

20 DR. MADDALENA: No. In this case, it's the
21 first. It's -- we did. And in fact --

22 ADVISORY PANEL MEMBER BENNETT: Wow.

23 DR. MADDALENA: -- we had a lot of the already in
24 our -- we see a lot of things anyways, and we had
25 standards. We had calibrations. A good fraction of those

1 chemicals that we saw, benzothiazole for example, it's our
2 normal analysis. I mean we run it. And so we saw it. So
3 we shouldn't -- I mean, we don't get a lot of credit for
4 doing anything fancy there. That's -- that was there.

5 There were a lot more that we identified. And
6 for various reasons, we ended up with the 67-ish peaks
7 that moved forward in the analysis that actually --

8 ADVISORY PANEL MEMBER BENNETT: So you were --
9 you were -- you ended up buying like half a dozen or a
10 dozen additional standards and then confirming?

11 DR. MADDALENA: It's probably a couple dozen
12 additional.

13 ADVISORY PANEL MEMBER BENNETT: Couple a dozen.

14 DR. MADDALENA: Yeah, 20 to 30 additional.

15 ADVISORY PANEL MEMBER BENNETT: And then that was
16 pretty much everything. Like, you didn't -- there weren't
17 any big peaks when you were done that you didn't know what
18 they?

19 DR. MADDALENA: Correct.

20 ADVISORY PANEL MEMBER BENNETT: Wow, that's
21 great.

22 DR. MADDALENA: Yeah. And I'm talking now about
23 the controlled samples, the field samples that were a
24 little bit messier, but at the same time they were still
25 quite low concentrations, so that the number of peaks that

1 actually were resolved that we were able to work with were
2 small.

3 ADVISORY PANEL MEMBER BENNETT: So the 67 VOCs
4 included the when you stuffed the stuff in the tube when
5 you did the -- put it in the chamber --

6 DR. MADDALENA: Started there, yeah.

7 ADVISORY PANEL MEMBER BENNETT: -- and when you
8 did the stir-bar.

9 DR. MADDALENA: Right. Right.

10 ADVISORY PANEL MEMBER BENNETT: From the three of
11 those, you had the -- okay.

12 DR. MADDALENA: And we evaluated what we found in
13 the field as well to see if there was anything else
14 standing out that we didn't already have in our list. And
15 for, an example, I mean, if there was a -- if there was an
16 alkane or some branched alkane that clearly showed up,
17 there's an approach we use to throw those off the table
18 and not track them further, because they're not
19 toxicologically relevant. And they don't contribute to
20 any other issues that we're worried about.

21 And so there was some decisions made iteratively
22 back and forth with the various players to wind down to
23 that one list. And, in fact, they're all confirmed. And
24 that's kind of the good news. So the next section you'll
25 see a lot more show up in that column to the right.

1 And so I wanted to start here, so if anybody fell
2 asleep, you would be left with the impression that we
3 did -- yeah. Okay. Let's move on.

4 CHAIRPERSON BALMES: I think Dr. Kyle has a
5 question or comment.

6 ADVISORY PANEL MEMBER KYLE: It's related to
7 this. If some other guy did the same thing, would they be
8 able to estimate this 80 to 90 percent number in the same
9 way? In other words, is there a way -- a common way that
10 you're -- that one you -- a guy like you -- there's no one
11 like you, but, you know, somebody else doing this can
12 quantify what part isn't -- you aren't able to identify?
13 You know, you're --

14 DR. MADDALENA: That's a great question for the
15 next section. I think we did really good in this section.
16 But as far as the VOCs, the answer is, yes, there --

17 ADVISORY PANEL MEMBER KYLE: Yeah. Well, I'm
18 asking is there a method for that, because --

19 DR. MADDALENA: Exactly. That is not --

20 ADVISORY PANEL MEMBER KYLE: -- my point --

21 DR. MADDALENA: What I showed you was
22 over-the-counter methods definitely. Any contract lab
23 could do that.

24 ADVISORY PANEL MEMBER KYLE: Including
25 quantifying how much you couldn't find?

1 DR. MADDALENA: They would come up with a similar
2 number, yes?

3 ADVISORY PANEL MEMBER KYLE: They would come up
4 with a similar number?

5 DR. MADDALENA: Yeah.

6 ADVISORY PANEL MEMBER KYLE: Because I think this
7 is actually part of what needs to be written up is results
8 of this.

9 DR. MADDALENA: That's exactly. And that's what,
10 at lunch today, I -- can we -- and it's too soon for
11 actually showing numbers, okay. So we --

12 ADVISORY PANEL MEMBER KYLE: You mean, you're not
13 going to get to the numbers today? When you said the end,
14 it's not today?

15 DR. MADDALENA: The end of the numbers comes with
16 the report.

17 ADVISORY PANEL MEMBER KYLE: Oh. Okay.

18 DR. MADDALENA: The numbers come with the report.

19 CHAIRPERSON BALMES: They want to bring us back
20 for another round.

21 (Laughter.)

22 DR. MADDALENA: And I'm a contractor, so that's
23 just my understanding of it. I might have misinterpreted
24 it. But my understanding is that the numbers actually --
25 we want to vet this method very well now. And we're

1 looking at chemicals and names. We're working on names of
2 compounds. Those are the important things at this stage.
3 And then the numbers will be in the report itself. And
4 then we'll -- yeah, I think we'll probably be here again
5 one more time. And that will be the funnest meeting,
6 because that will have all of the numbers, all the
7 information.

8 DR. WONG: I want to respond back to Randy say
9 he's a contractor.

10 DR. MADDALENA: Thank you.

11 DR. WONG: He's a collaborator and he's the
12 leader of the lab. So he has been the instrumental master
13 mind on this chemical analysis.

14 DR. MADDALENA: Thank you.

15 CHAIRPERSON BALMES: But when the decisions are
16 made, he's a contractor, right?

17 (Laughter.)

18 DR. MADDALENA: Yeah.

19 ADVISORY PANEL MEMBER SHELDON: And this is just
20 a quick question. I mean, you know, we had pages of names
21 of chemicals that you gave us, so they have been named.

22 (Laughter.)

23 ADVISORY PANEL MEMBER SHELDON: Were there any --
24 so based on what you started out and people said was in
25 the crumb rubber, did it pretty well match what you found?

1 Were there any surprises? Were there any disappointments?
2 Just for those of us who want to hear a little data.

3 CHAIRPERSON BALMES: Without numbers.

4 DR. MADDALENA: Oh, yeah, yeah. Actually, it
5 should not have been a surprise, but the dilution in the
6 real world is such that if you can measure it in a
7 chamber, the chromatogram I demonstrated -- or illustrated
8 earlier on is somewhat exciting. I mean, there's a lot --
9 there's a fair amount of stuff there. But when you go to
10 the field and try and track those, it's really just the
11 major two or three peaks that continue to survive to where
12 you can -- where we see -- again, this is qualitative, you
13 see it trend from low to high, to where you can really
14 link it to this source.

15 The question about the crumb itself, now that's a
16 little more tricky. And that's what we're going to spend
17 the next couple hours or hour talking about. Yeah.
18 That's a little trickier. And I think there are things
19 that are of interest that we can continue to chase. And I
20 don't want to go into too much detail, but certainly
21 you're opinion is --

22 CHAIRPERSON BALMES: Chemists always want to
23 continue to trace something or other.

24 DR. MADDALENA: Right. Right. Give me a number,
25 and I'll see if I can get below it.

1 (Laughter.)

2 DR. MADDALENA: The Europeans, I don't know if
3 Patty shared the background, but they just settled on
4 let's just look at PAHs, because clearly you see PAHs,
5 polycyclic aromatic hydrocarbons, in crumb material. And
6 we do, too, right? We see it. And so they just focused
7 on that and took the easy path and said let's regulate
8 that. And if the crumb is above a number for the PAHs,
9 then we'll track that as our threshold. And so there are
10 different ways from different approaches to deal with
11 that.

12 And, you know, that's certainly one we're seeing.
13 And one of the questions I'll ask later is should we look
14 closer and see more, because -- so anyways, yeah, we'll
15 circle back to that. Did that somewhat answer your
16 question, your curiosity part?

17 CHAIRPERSON BALMES: So are we ready to move on
18 to non-polar constituents?

19 No, no. It's quite already, Linda.

20 --o0o--

21 DR. MADDALENA: Yeah. It's a good discussion.
22 Thank you.

23 So the non-polar chemicals, again, in reality,
24 it's a continuum, right? We use non-polar to represent
25 those things that we could see on a gas chromatograph, a

1 GC. And so I'll talk a little bit about the approach we
2 used for non-targeted analysis of the chromatograms from
3 the GC of the crumb -- crumb material.

4 And let's see, so again, I'll follow a similar
5 pattern as I did last time. I want to convince you that
6 the Samples we're looking at are relevant. And then I
7 want to kind of sort of fill you in on the extraction
8 approach that we used, in order to make sense of the data,
9 because that ultimately will tell you how the numbers we
10 see relate back to the crumb. And then I'll talk it in
11 reverse order this time. I have it listed as targeted and
12 then non-targeted.

13 I'm going to flip that around and just talk about
14 the non-targeted first. And I'll finish with the
15 targeted. Because the only targeted, at this stage, is
16 the polycyclic aromatic hydrocarbons, because it was a --
17 it came down a different path. It was, you know, thou
18 shalt look for those, because those are important. Let's
19 look for those.

20 And that actually for a chemist, that's always
21 even easier. That's easier to go out it -- at it that
22 way.

23 --o0o--

24 DR. MADDALENA: So sample collection. In this
25 case, we're just looking at the crumb itself. The crumb

1 was either collected from the manufacturer right out of
2 the bulk products at the manufacturer and brought it to
3 the lab where it was extracted and analyzed as we received
4 it. There was no sieving, or washing, or treatment in
5 advance.

6 What we call the installed crumb rubber was
7 harvested from the fields and we've talked about that at
8 previous meetings, as far as how representative the number
9 of fields were geographically and then spatially across
10 the fields, and then moving on and off the fields. So we
11 talked a lot about that. But the crumb itself was
12 harvested from the field itself, and again analyzed as
13 received. So if it had -- take that back. If there were
14 big pieces of things in there that were clearly not
15 related to our project, then those things were removed.

16 In general, they didn't make it in there, because
17 the -- we were harvesting that material ourselves. But in
18 some cases, there were pieces of paper or other things
19 that just weren't relevant to the study, so those were
20 physically removed. But other than that, everything was
21 analyzed as is. So if it had sand in it, or cork, or
22 other soil material or pieces of blade, we would analyze
23 it as received.

24 --o0o--

25 DR. MADDALENA: The analysis -- extraction and

1 analysis approach followed this pathways that's shown on
2 the screen now, where we started with a known amount of
3 material from the field or from the manufacturer. And we
4 loaded in these little cells that seal up, and they're
5 designed to go to very high temperatures and high
6 pressures. We don't run it at that rigorous of a
7 conditions in -- for these particular experiments. But
8 the cells once they're loaded or fed into this
9 instrument -- and there was some -- this circle at the top
10 left of the picture of the instrument is there to
11 represent the fact that there was some iterative process
12 here, because we didn't want to rely on a lot of cleanup,
13 or fractionation or enrichment steps.

14 We wanted to make this as closely linked from
15 crumb to sample as we could. And so we had the liberty to
16 adjust the amount of material we extracted. So we
17 would -- we extracted different amounts of material and
18 ran it through our system until we got that dynamic range
19 sort of fit as best -- as much as we could, and then moved
20 forward with that volume of material in the cell -- the
21 extraction cell.

22 The extraction itself, again everything went
23 through this one type of an instrument. And it's designed
24 to just -- if you've been around for a while, I mean, we
25 used to use a soxhlet extraction, which is a lot of really

1 fancy glassware and boiling. And it's like, you know, a
2 distillation type approach.

3 This is somewhat of an automated version of that
4 process. It's faster and cleaner. So we had two
5 different pathways coming out of this instrument. The
6 first one was the organic solvents, what we're calling the
7 non-polar, but it essentially is just what came out in
8 organic extract.

9 And the second one that Patty will talk about is
10 the semi-aqueous phase extraction, which would go to the
11 liquid chromatography analysis. The instrument we used is
12 listed there. The main point I wanted to show is that,
13 number one, we didn't change the material at all. We
14 didn't do any cleanup, or separation, or adjustments in
15 the material itself, or fractionation of the extract for
16 that, so that the extract went right from the instrument
17 right into the analysis.

18 And one important thing about the method that I'm
19 talking about now is the detection limits for this method
20 are on the order of three orders of magnitude lower than
21 the detection limit for the volatile organic chemicals.
22 And there's a lot of reasons for that. I don't need to go
23 into too much detail. But you can actually see more in
24 these samples than you can in the volatile organic
25 chemicals for a number of reasons.

1 --o0o--

2 DR. MADDALENA: So the non-targeted analysis --
3 so basically, the process we follow for non-targeted
4 analysis is very similar to what I just went through and
5 described to you. The differences are that the molecules
6 are often more complicated, because they're bigger. They
7 have more functional groups on them. They're at much
8 lower concentrations, because they're not volatile or, in
9 this case, they're being extracted from something, so we
10 have control over that.

11 But it allows -- but in order to get really good
12 detection limits, you really almost have to look at or
13 look for specific compounds. So we really want to do our
14 best to take things from this targeted to the -- from the
15 non-targeted to the targeted column, because once we get
16 there, then we can drill down really deep, and actually
17 get good confident numbers. So that's one of the driving
18 factors to push us down this path to try and identify as
19 much as we can.

20 But the real challenge in this SVOC, whether it's
21 on the LC side or the GC side is the complexity of the
22 chromatograms. And the three-dimensional plot sort of
23 shows you all that's going on in this GC mass spec
24 analysis with retention time running along one axis, the
25 height and color of the columns. And you'll see these

1 three-dimensional plots again on another access. And then
2 the mass-to-charge ratio going in the last direction
3 there. So you've got all these things happening at once
4 that make it difficult to analyze and identify things.

5 --o0o--

6 DR. MADDALENA: And you really deal with this
7 complexity thing as well. And the complexity changes with
8 whether it's from the manufacturer or whether it's been in
9 the field for a while.

10 Yeah.

11 ADVISORY PANEL MEMBER BENNETT: Is this like a
12 time-of-flight instrument or no?

13 DR. MADDALENA: No. That's just software that
14 actually shows you the three dimensions. So, you're
15 right, you could do this -- in fact, what we're going to
16 talk about with the LC/MS is a two-dimensional mass spec,
17 where the --

18 ADVISORY PANEL MEMBER BENNETT: The
19 time-of-flight one?

20 DR. MADDALENA: -- the first dimension is the
21 non-ionized or non-fragmented version of the molecule.
22 And then it goes into a second dimension, similar to
23 time-of-flight, but this one -- this instrument is even
24 more sensitive than the time-of-flight. I don't think
25 that instrument is a time-of-flight, is it?

1 DR. WONG: It is --

2 DR. MADDALENA: It's an ion chamber or ion trap.

3 DR. WONG: It is an ion trap. I don't want to
4 say it wrong. What's the name. Orbitrap.

5 DR. MADDALENA: Yeah, so the instrument, we're
6 not using a time-of-flight, but I understand your
7 question. And this picture is just the complexity of a
8 standard chromatogram with fragmentation. So you have
9 fragmentation going in the direction. I had to make it
10 smaller, so you don't see the axis. I'm sorry. I'm sorry
11 about that.

12 But, for example, at just past the 12 there on
13 the retention time, you see a line of peaks going into the
14 screen, that's a mixture and fragmentation taking place
15 all at the same time. So that makes sense.

16 ADVISORY PANEL MEMBER BENNETT: (Nods head.)

17 DR. MADDALENA: Okay. So circling back. In the
18 case of the SVOCs, often the extracts are tremendously
19 complex. And in an analytical chemistry lab, you don't
20 always see this complexity, because there are often steps
21 taken before it -- the instrument is run. So there are
22 chemistry steps where you separate things out, you remove
23 things you're not interested in, and then just look for
24 specifics.

25 But for the discovery phase of this, and the

1 targeted and non-targeted -- for the non-targeted, in
2 particular, we did not want to take anything out ahead of
3 time. And so you get very complicated chromatograms. The
4 field chromatograms tend to be more complicated than the
5 ones from the manufacturer for obvious reasons. You have
6 things settling on the field. You have aging. You have
7 sunlight, things taking place in these samples. So
8 it's -- creates a lot more variability in what's in the
9 sample, and so you get these unresolved peaks.

10 And as shown on that mass spectra -- or the 3-D
11 spectra previously, they are just complicated, and you
12 have to just work through that complicated mix.

13 --o0o--

14 DR. MADDALENA: Sometimes you get lucky. You
15 still get fairly cleanly resolved peaks as shown in here
16 that you can send through the process in a similar way.
17 But when I put this up here, my first glance was, yeah,
18 it's a great peak. But, in fact, there's something right
19 there where the circle is as well. And so, again,
20 mathematically you could send that through the system.
21 And this is commercially available software.

22 In fact, this one is -- I believe it doesn't even
23 cost, if you buy the other database software. This is
24 developed as well. But what this software does, as I
25 talked about with the VOC analysis -- I don't -- no, I

1 didn't do this with the VOC. So this is a new piece.

2 What this software does -- anyways, I think I did
3 talk about it -- it de-convolutes the spectra, right? And
4 so it find things that elute together and rebuilds a
5 spectra, even in a very messy system and allows you to
6 send that spectra through a library and match the cleaned
7 up spectra.

8 --o0o--

9 DR. MADDALENA: Often, in -- almost always, it's
10 not as good as a clean VOC same, but it still gets you
11 close.

12 So I'm not going to go through that whole process
13 again, but that's the main tool we use. The next tool
14 that's available is chemistry, and we're trying to, you
15 know -- at least in this stage of it, we're trying to
16 avoid that to a certain degree.

17 So I'm going to talk a little bit about the
18 targeted analysis and then we can go into questions.

19 In the targeted analysis, the reason we can do so
20 good, our labs can do so good is -- and knowing what
21 you're looking for, a good example is the PAHs, the
22 polycyclic aromatic hydrocarbons. The method has been
23 around for a long time. There's an isotopically labeled
24 standard for a large number of these compounds. So you
25 actually put an internal standard in that's closely linked

1 to the chemical that you're looking for, so your targeted
2 compound.

3 In this case, you -- we ran that liquid injection
4 without cleanup and looked for 18 or 19 specific
5 polycyclic aromatic hydrocarbons. There's hundreds of
6 them, right? There's hundreds of them. These 18 or 19
7 have been used by the EPA for 20 years. They're the
8 poster child for PAHs and that's what we continue to go
9 back to.

10 But the point is there's a lot more. Whether
11 there's tox data to go with those is another question.
12 But there are a lot more and this is just an indication of
13 the PAHs in the system.

14 Patty indicated -- or mentioned SIM analysis
15 earlier. And so when we're doing these SVOC analysis and
16 we have a target that we're after, we can train the
17 instrument to just look for that target and ignore
18 everything else. And so you end up with a chromatogram
19 that actually looks fairly clean, because you're only
20 looking for a dozen mass ions and ignoring everything
21 else.

22 And so you can -- you can really do a nice job
23 getting down to super low levels, and, you know, femtogram
24 levels -- high femtogram, low picogram levels on the
25 column, which is -- even when I was in school working for

1 Tom, that was absurd. You couldn't. And so this is --
2 this is good stuff, and it really allows you to go well
3 below what you would need for a risk assessment with high
4 confidence.

5 CHAIRPERSON BALMES: Could I ask you this one
6 question, so I don't forget it later. You had mentioned
7 Europeans are focusing on PAHs.

8 DR. MADDALENA: Yes.

9 CHAIRPERSON BALMES: Are they focusing on a
10 battery, or a like 18 like this, or are they focusing on
11 the many hundreds of PAHs, or do you know?

12 DR. MADDALENA: They lean towards a smaller set,
13 yeah.

14 CHAIRPERSON BALMES: Yeah.

15 DR. WONG: Yeah. They were look -- either I
16 don't remember correct -- I remember correctly.

17 CHAIRPERSON BALMES: Ballpark, yeah.

18 DR. WONG: We have a meeting with them. They --
19 I remember probably it's around eight chemicals they were
20 -- eight they were looking at.

21 CHAIRPERSON BALMES: Okay.

22 DR. WONG: And they actually say now they had to
23 go back and revisit the issue.

24 CHAIRPERSON BALMES: Thanks.

25 DR. MADDALENA: Okay. So continuing the targeted

1 analysis. This particular analysis, another point to sort
2 of take home in your decision make -- or in your critique
3 of the methods is that in this particular analysis,
4 because we're just looking for this class of compounds, we
5 miss everything else. And so if I wanted to do
6 phthalates, for example, I would have to do another run or
7 I would do another run and focus on phthalates, and then
8 another run. And so the instrument time is greatly
9 increased if you're targeting specific classes of
10 compounds.

11 The bonuses or the high -- the good side of that
12 is that once you get a targeted analysis, a lot of this is
13 automated. And so that helps kind of balance out the more
14 instrument time, sometimes lead to less analyst time, if
15 that makes sense.

16 --o0o--

17 DR. MADDALENA: So at the end of the day with the
18 GC/MS side, what we're wear calling the non-polar extracts
19 and the targeted compounds that we started with, it bumps
20 us up to 130 confirmed compounds. There is a handful in
21 there that are not confirmed, quite a nice handful. 182
22 peaks that we've got tentative identification through the
23 following -- through the process that I described here
24 that have not been confirmed.

25 And we'll talk in a few minutes on how to

1 prioritize how much we confirm and how much we don't. So,
2 for example, if one of those is an alkane, or I noticed on
3 the peak on the table earlier that argon somehow got
4 through that list of unconfirmed compounds, and I don't
5 know how argon got through the list. It came out of the
6 instrument. It was seen by the instrument, and it --
7 unfortunately, I didn't catch it in time. So, yeah, there
8 are 182 there. I would say 181, if you remove argon,
9 because I could probably do that right now. But the
10 question is how do you prioritize quantifying those or
11 targeting those to put them into the other column, and if
12 you do or not?

13 --o0o--

14 DR. MADDALENA: So, in summary, targeting
15 chemicals sort of helps us get better vision. It helps us
16 look closer, look at lower concentrations, and with a lot
17 more confidence, if it's a targeted compound. The
18 tradeoff is when you're targeting things, sometimes you
19 don't see other things. You get under the street lamp,
20 the cartoon earlier sort of shows.

21 The sample enrichment is an option for bringing
22 low level concentrations up. But we were hoping not to
23 need that, and we've been successful thus far in not
24 having to -- enrich is a simple way of saying concentrate
25 things. So I evaporate the solvent off and try not to

1 lose the chemicals I'm interested in. And that gives me a
2 higher response. But we -- we're essentially running
3 these compound as extracted without a lot of those steps.

4 The other one that's fairly easy to implement,
5 but it takes some time is our concern about that
6 unresolved hump. That would probably be greatly
7 diminished if we did just one cleanup step of the sample,
8 but we would essentially lose all of the alkanes in that
9 one cleanup step.

10 So in this stage of the search and discovery
11 phase, the non-targeted analysis we chose not to do any
12 cleanup. And the picture you see on the side, I --
13 unfortunately, the scales went away. But for the full
14 scan, you kind of need big peaks to see in the full scan.
15 The selected ion monitoring does some cleanup for you and
16 allows you to just look at specific molecules.

17 So that's kind of the overview of where we're at
18 with our process of populating that table with confirmed
19 and tentative chemicals. And then you've got a long list
20 of chemicals in a table that we're still working back and
21 forth on.

22 CHAIRPERSON BALMES: Dr. Avol -- Mr. Avol, why
23 don't you go first.

24 ADVISORY PANEL MEMBER AVOL: So I have a question
25 in terms of your prioritization, and going forward with

1 identifying these, thus far, unidentified peaks, but peaks
2 that do -- that you're targeting -- potentially targeting for
3 more discrete analyses. Are you thinking about looking at
4 these in terms of toxicological classes or based on some
5 biological input or are you looking at this from sort of a
6 chemist standpoint that here's a peak that I don't -- I
7 can't discretely identify, so I want to identify this just
8 to know what it is.

9 DR. MADDALENA: Yeah. The -- I was just handed a
10 note. I'm not sure what it says, but --

11 (Laughter.)

12 DR. MADDALENA: -- I'll answer anyways, and then
13 I'll -- I hope I -- I hope I answer it correctly.

14 So when you say a peak, in general, we've -- on
15 our tentative list, we've got names associated with those
16 peaks. And I think your question was how do we prioritize
17 confirming those names? And, in fact, the toxicology
18 plays into it. The size of the peak plays into that
19 decision. So there's several things that play into that
20 decision. You can scan through the list fairly quickly
21 and find a lot of things that are almost certainly of
22 insignificant toxicological importance. And those could
23 go to a lower priority. There are some things that we
24 just don't know and there may not be toxicological
25 information for it. So those are sort of in this middle

1 range.

2 And I think we'll look at a -- we'll revisit this
3 topic in the next -- at the end of the next presentation
4 too, so we can kind of get more information. But
5 that's -- my answer is that, yeah, we are trying to
6 prioritize it based on several factors, toxicology being
7 one. So that -- does that answer your question?

8 ADVISORY PANEL MEMBER AVOL: Yes.

9 CHAIRPERSON BALMES: I just might jump in, Ed.
10 In the next presentation at the end, slide 16, are the
11 questions for discussion, where they actually want our
12 input on all these questions. So you jumped the gun a
13 bit.

14 DR. MADDALENA: Yeah, so that's what was on the
15 list. I just put the glasses on.

16 CHAIRPERSON BALMES: Any other comments or
17 questions?

18 ADVISORY PANEL MEMBER BENNETT: I had a quick
19 clarifying question. So the 32 on the -- on the previous
20 slide, those were your targets that you had purchased
21 before you started this whole project, right? And then
22 182, you haven't purchased any of what suspect you saw or
23 the 32 ones that you've already said, okay, we saw this
24 and we want to go back and confirm it, or --

25 DR. MADDALENA: The 32 are ones that we either

1 had standards in the lab that we were able to confirm it
2 right away or we purchased those standards, because they
3 were, for whatever reason, rose to the right away. So
4 those are confirmed

5 ADVISORY PANEL MEMBER BENNETT: Okay. So that
6 you've already started prioritizing. You didn't have a
7 target list going in.

8 DR. MADDALENA: No, entirely -- not entirely. We
9 didn't have it entirely, especially for the SVOC side. We
10 didn't a target list going in, because we didn't do SVOCs
11 in the chamber as a -- sort of a reminder. So we did --
12 going into this, it was a matter of running that
13 extraction and then starting from scratch.

14 ADVISORY PANEL MEMBER BENNETT: And then did you
15 not -- so the 32 that you confirmed, were those all
16 successful or did you have some that you're like, ooh, we
17 thought it was this and it wasn't, and it became an
18 unknown at that point or would the one -- the first 32 all
19 correct?

20 DR. MADDALENA: The 32 are ones that have been
21 correct. They weren't all correct off -- right offhand.
22 There were some that we ran and didn't see.

23 ADVISORY PANEL MEMBER BENNETT: Okay.

24 DR. MADDALENA: And we'll talk about in the LC
25 side, it actually happened a little more often, where we

1 purchased standards, ran the standards, and thinking we
2 going to find peak A, but all of a sudden, hey --

3 ADVISORY PANEL MEMBER BENNETT: It wasn't.

4 DR. MADDALENA: -- that's peak B. It's there.
5 We got lucky. We weren't buying the standard for peak B,
6 which was a smaller peak, but it seemed to match up. So
7 there is some intuition that goes into it as well, when
8 you start looking at different versions of benzothiazole,
9 for example, different functional groups on that
10 particular structure. They may be little smaller
11 concentrations, but it's like hey, that might be there.

12 ADVISORY PANEL MEMBER BENNETT: And then do
13 you --

14 DR. MADDALENA: It may not be the peak you're
15 looking for, but it could turn out.

16 ADVISORY PANEL MEMBER BENNETT: So I know with
17 time-of-flight, if it's got something that's in kind of
18 the halogen column, then it's -- like, the -- your
19 probability of being right is much higher. Is there
20 something similar with the technique that you're using.
21 And then of these 182, did you have a lot of things to
22 have something from the halogen compound -- column or no?

23 DR. MADDALENA: I don't think so. Not a lot of
24 halogens, but a lot of --

25 ADVISORY PANEL MEMBER BENNETT: Yeah. Okay. I

1 mean, I wouldn't think so --

2 DR. MADDALENA: -- nitrogen, sulfur.

3 ADVISORY PANEL MEMBER BENNETT: -- for the crumb
4 rubber. So it's going to be all these weird ones then.

5 DR. MADDALENA: Nitrogen and sulfur, oxygen
6 obviously, those guys show up. And so you've got -- but
7 not a lot of halogens, no.

8 ADVISORY PANEL MEMBER BENNETT: Okay.

9 CHAIRPERSON BALMES: So maybe just one last
10 question from Dr. Sheldon.

11 ADVISORY PANEL MEMBER SHELDON: Well, I've got
12 two questions.

13 CHAIRPERSON BALMES: Well, two questions. Okay.

14 ADVISORY PANEL MEMBER SHELDON: So the first one
15 is is when you did your extractions, you did an extraction
16 in hexane acetone and then you did a methanol water, were
17 those sequential in the same sample?

18 DR. MADDALENA: (Shakes head.)

19 ADVISORY PANEL MEMBER SHELDON: Okay. Good.
20 Because I was going to say -- that's good.

21 Then, you know when it comes to the --

22 DR. MADDALENA: No. I shook my head for the
23 radio. No.

24 ADVISORY PANEL MEMBER SHELDON: What?

25 DR. MADDALENA: I'm sorry. I realized I shook my

1 head and -- I know he's trying to record it, and it didn't
2 help.

3 ADVISORY PANEL MEMBER SHELDON: Oh. Oh. Okay.
4 Well, the answer is no, so that you're not --

5 DR. MADDALENA: Yes.

6 ADVISORY PANEL MEMBER SHELDON: Okay. Good.

7 Okay. So the next thing is is that, you know, on
8 this hump thing. As you say, it's all alkanes. Actually,
9 your last bullet says it all, you know, it makes the
10 performance of the column worse. It degrades the
11 instrument more. I would think that if you fractionated,
12 and got rid of all the alkanes, I don't think there are
13 any really toxic alkanes that we're concerned about in
14 that area that you're going to just make your life easier.

15 And you already have the data that shows -- you
16 know, even if you don't throw them out, you're not going
17 to detect anything there. So I would think just getting
18 rid of that alkane hump would give you the opportunity, if
19 there are other compounds there, to identify them. And I
20 think the tradeoff between cleaning up and not having to
21 re-cleanup, and re-cleanup, and reclean-up your instrument
22 would be a whole lot better.

23 So if you had a question about that later, you
24 have my answer on that. You should just get rid of the
25 alkanes.

1 DR. MADDALENA: Outstanding. Thank you.
2 Appreciate that.

3 ADVISORY PANEL MEMBER SHELDON: Can I ask one
4 more question?

5 DR. MADDALENA: Yeah.

6 ADVISORY PANEL MEMBER SHELDON: What was the
7 percentage of things that you identified versus the total
8 hump of stuff you had there? I bet you it was probably
9 about 5 percent?

10 DR. MADDALENA: Well, it depends on how you draw
11 the baseline on that hump, right?

12 ADVISORY PANEL MEMBER SHELDON: Oh, okay.

13 DR. MADDALENA: Because if you draw the baseline
14 and follow the hump and ignore --

15 ADVISORY PANEL MEMBER SHELDON: And ignore the
16 hump?

17 DR. MADDALENA: -- ignore it a little bit to a
18 certain degree, yeah, it was very -- it would have to be a
19 very small number if you actually included that in there.

20 ADVISORY PANEL MEMBER SHELDON: Okay. Thanks.

21 CHAIRPERSON BALMES: Okay. I think we better
22 move on. Is Patty presenting the next...

23 CHAIRPERSON BALMES: No break at 1:50.

24 (Laughter.)

25 --o0o--

1 DR. WONG: So I can start talking. We just did a
2 beautiful presentation on how automatic you can do with
3 the GC/MS non-targeted analysis. I'm not saying it's
4 easy. The next picture we're going to show the
5 non-targeted analysis of polar constituents.

6 As I said, polar chemicals, they behave
7 differently and they require the liquid
8 chromatography/mass spectrometry, which is not as
9 established for doing non-targeted analysis.

10 --o0o--

11 DR. WONG: So like I said, most of the polar
12 chemicals, because of it's high solubility in water,
13 they're not suitable for GC/MS gas chromatography/mass
14 spectrometry analysis. So we choose using the LC/MS the
15 liquid chromatography/mass spectrometry.

16 The idea is the LC/MS results will complement
17 with the GC/MS to look for different portion or different
18 class of chemicals, so to provide a comprehensive analysis
19 of the field samples. So like I said, this is more like a
20 research when we go to the LC/MS non-targeted.

21 To make it more efficient and more standardized,
22 we developed a two tiered non-targeted approach to analyze
23 the LC/MS data. And we also apply advanced computational
24 tools try to improve the success of identifying candidates
25 of unknowns. LC is different, because we don't have data

1 rich on experimental spectrum like the GC. We do have
2 some available. So we'll go into that a little bit later.

3 --o0o--

4 DR. WONG: So with the tier 1, we start with a
5 suspect screening analysis. So we use three different
6 database to look for chemical that -- basically, these
7 three databases have a different focus on chemicals of
8 interest.

9 The first one is the OEHHA chemical list that we
10 introduced earlier. These are the tire-related chemicals.
11 So we are really looking at under the lamp post, whatever
12 people has been reporting. And with the current
13 information, that's -- we are accumulating.

14 And then the next one we look at is a proprietary
15 software compound discoverer. It is self search
16 ChemSpider database, which contain a huge number of
17 chemical structures. And some of these or many of these
18 are pharmaceutical, pesticides chemicals as well. And we
19 also searched the U.S. EPA database, the chemical
20 dashboard, which search the DSSTox database is a
21 collection of chemicals that's of U.S. EPA's interests,
22 chemicals like pesticide, environmental contaminants,
23 pharmaceutical, food additive, high production volume
24 chemicals, et cetera.

25 So here, I want to illustrate how we do the tier

1 1 analysis.

2 Sorry.

3 ADVISORY PANEL MEMBER KYLE: I think it would
4 help if you could advance the slides. Oh, here it goes.

5 DR. WONG: Yeah, I'm going.

6 So, for example, this particular chemical we look
7 for is one 1,3-Dicyclohexylurea is example. Here's the
8 workflow how we look for tentatively identify -- how we
9 tentatively identify these unknowns in the crumb rubber
10 extract.

11 Remember, these are polar extract. We inject it
12 into the LC/MS. The system we choose to use is a linear
13 ion trap, orbitrap system, which provide a very high
14 accuracy on the molecular weight, molecular mass -- mass
15 of the molecular ion. Sorry, I have to take it back.

16 And some of the study has report this equipment
17 has a very sensitivity with a detection limit at the level
18 of 0.5 to 20 ppt level of chemicals in wastewater.

19 So we inject it to LC and using the software come
20 with the LC/MS, Xcalibur, we extract the signal and we
21 plot it in 3-D. We'll have other 3-D chromatogram later
22 to go for more detail.

23 But here's example. We have a peak at around 30
24 something minutes. And the mass ion and Z ratio is
25 225.1967. So the machines is very accurate. So we take

1 this mass ion and then we put it through a compound
2 discoverer. It will convert it back to the neutral mass,
3 and it will search for the ChemSpider what are the
4 chemicals in the database that has this mass ion or this
5 molecular weight? We also truncated to two decimal place
6 and go through a mass -- molecular mass match in our
7 database. Now, we're looking at tire-related chemical.

8 So luckily for this particular chemical, we have
9 one hit. It's the dicyclohexylurea. I want to make it --
10 yes, dicyclohexylurea. And we put this chemical in the
11 tentatively identified chemical list, because we haven't
12 confirmed it.

13 To make it in which we might not be a
14 tire-related chemical, we also put in the U.S. EPA DSSTox
15 database. And now because of the high accuracy of the
16 molecular ion and we put it through all the decimal place,
17 and we go through the search. And, of course, it come up
18 with more than one chemical.

19 So we select which dicyclohexylurea to buy and we
20 purchase the reference standard. And we have confirmed it
21 based on the retention time, as well as the mass spec
22 fingerprint.

23 --o0o--

24 DR. WONG: So for the manufacturer's sample, one
25 sample in a single scan in our liquid chromatography/mass

1 spec, and it -- of course, it come up with multiple peaks.
2 And by searching the ChemSpider database using compound
3 discoverer, it's more like a semi-automatic search.

4 The database itself has 72 million chemical
5 structures. It come up with 700,000 possible, based on
6 the 225 -- 224 molecular weights. And we research it
7 through the DSS database, which has 850,000 chemical
8 structures in the database. It come up with more than
9 80,000 chemicals for that particular molecular weight.

10 We searched through the OEHHA -- sorry. I take
11 it back. It was a whole scan, not just the 225. It's the
12 whole scan with all the peaks. They come up with 800,000
13 possibilities of chemicals.

14 We searched through the OEHHA tire-related
15 chemical list. It -- we used Excel to just match all the
16 molecular weights come out, and we find 250 chemicals as a
17 possible candidate. And we have selected 27 of the
18 chemicals for our first attempt to buy and to confirm the
19 unknown chemicals.

20 And these standards go through three different
21 passes of possible how to analyze it and confirm. Go back
22 to the GC/MS to look for if that chemical is there. It go
23 through the LC/MS with two different ionization methods,
24 the positive versus a negative. Some of the chemical
25 structures are more easier to be ionized under the

1 positive mode versus the other is more in a negative mode.
2 So it depends on the structure of the chemical and the
3 functional group on this -- on the chemical. So as we
4 have shown here, this is like -- it's, I would say, almost
5 impossible, pretty much impossible to go through the 700
6 or the 80,000 possible chemicals to look for what is --
7 what we don't know or what we might know.

8 So because of that, we develop a tier 2 analysis.

9 CHAIRPERSON BALMES: Before you go on to tier 2,
10 did you say how you picked those 27 to purchase initially?

11 DR. WONG: Yes. We -- I didn't. That's actually
12 the question at the end, but we did have a lot of
13 discussion back and forth with the lab. Multiple things
14 considered is if the chemical is tire related, it's there
15 for a reason. Do we see in the manufacturer's sample as
16 well as the field sample? Do we see it with a reasonable
17 size peak?

18 And then this another one -- oh, is this supposed
19 to show up in the LC? Because some of other chemical
20 shouldn't -- it's just -- it's just not so non-polar. It
21 should not be in the extract -- or being a polar extract.
22 So with all those factors in, we pull up our first attempt
23 is to let's get some standard and see whether the method
24 works. Yeah.

25 CHAIRPERSON BALMES: Thank you. That was very

1 help.

2 ADVISORY PANEL MEMBER SHELDON: Can I ask a
3 question? I'm not clear on all of this. So here, the
4 number of possible chemicals came when you had truncated
5 the mass of that particular spectra to two decimal points,
6 is that right?

7 So you --

8 DR. WONG: I said it by mistake. This is a full
9 scan --

10 ADVISORY PANEL MEMBER SHELDON: It was a full
11 scan --

12 DR. WONG: -- one sample.

13 ADVISORY PANEL MEMBER SHELDON: But how far, how
14 high resolution was it? Because the higher your
15 resolution, the lower number of chemicals there are going
16 to be. So how high a resolution was your scan?

17 DR. WONG: It's a very high resolution that the
18 scientists who run the instrument tell us.

19 ADVISORY PANEL MEMBER SHELDON: But you --

20 DR. WONG: One to two ppm difference accurate
21 mass --

22 ADVISORY PANEL MEMBER SHELDON: But you said on
23 the slide before that you truncated --

24 DR. WONG: We truncated to match with the OEHHA
25 list. We did not truncate it when we go through the DSS

1 search for the ChemSpider search.

2 ADVISORY PANEL MEMBER SHELDON: Oh, okay. Okay.
3 That's what was I trying to -- and then the other thing is
4 is that this was in the positive or the negative ion mode?

5 DR. WONG: This is the positive ionization.

6 ADVISORY PANEL MEMBER SHELDON: So, in fact, you
7 were getting mass fragments not just the molecular weight
8 mass -- the molecular mass. Because a negative ion, you
9 don't break up into mass fragments, is that right?

10 DR. WONG: Both of them break up for the --

11 ADVISORY PANEL MEMBER SHELDON: But negative ion
12 is much less susceptible to that. So you are getting --
13 all of these things, you're getting many different
14 fragments. So that's also sort of complicating what
15 you're doing.

16 Okay. Thank you.

17 DR. WONG: Yeah, we show --

18 ADVISORY PANEL MEMBER SHELDON: I just -- I just
19 wanted to understand what you -- what you were doing.

20 Thanks.

21 DR. WONG: Yeah. So this is the positive scan of
22 one sample with a full scan with all the peaks the
23 possibility come out.

24 CHAIRPERSON BALMES: Thank you, Patty. Why don't
25 you keep going.

1 DR. WONG: Okay.

2 --o0o--

3 DR. WONG: So of the 27 target we put in, so far
4 we have confirmed 18 of them. You can see there's several
5 different classes of chemicals. And this -- again, this
6 is only the positive mode. We have not finished with the
7 negative mode.

8 And we see that there's a benzothiazole group of
9 chemicals. We have acids. We have aldehyde. We have a
10 lot of amines and amides in it. We're not just seeing one
11 specific class of chemical.

12 --o0o--

13 DR. WONG: So as I said, we need to have a tier 2
14 to look further down to what we can identify. So, in the
15 tier 2, we use cheminformatics tools to assist in
16 identifying candidates. We use both of the MS1, which is
17 the molecular mass. The first level of the mass
18 spectrometer data. We also use the fragmentation data,
19 which is the MS2 data. Now, we're looking at the
20 different fragments of the chemical.

21 And by using the LC analysis, we injected, and
22 visually inspect the peak. We take out the MS2 data.
23 Now, we put it into the cheminformatic tool, and then look
24 for a spectral match. And then the Chem tool tell us
25 these are the potential candidates and how could they

1 match from 1 to 2 all the way down.

2 And then we pick the top 2 candidate into our
3 tentative identifying chemical list and prioritize it, and
4 go through the confirmation process.

5 --o0o--

6 DR. WONG: So we have checked out five different
7 cheminformatic algorithms.

8 The first one is the MetFrag. U.S. EPA is
9 incorporating this particular software tool into the
10 DSSTox database. We test it out, and there's -- we also
11 want to test out different tools, because they have
12 different focus, they have different spectral information.

13 The GNPS, the next one, Global Natural Products
14 Social Molecular Network focus on natural products. The
15 XCMS focus on pharmaceuticals. Compound Discoverer has
16 their only little database. And then also the Competitive
17 Fragmentation Modeling ID, which is a tool that train on
18 11 very diverse database. And it collects spectral data
19 from it and also generate in silica spectral data for
20 chemical. So it has a hybrid. So we choose to use the
21 CFM-ID for the reason here.

22 --o0o--

23 DR. WONG: Here is the result. Since already
24 have 18 chemicals, we have the spectral data. So we know
25 what's that chemical. Now, we take those data back into

1 the cheminformatic tool. We have fragmentation
2 fingerprint. Do you give me -- the chemical name as the
3 top candidate?

4 So it's a validation method to see how well this
5 model behave in our chemistry world. Different chemistry,
6 different chemical might have a better database --
7 different database, so we track -- try to test the CFM-ID.

8 Luckily, CFM-ID predict pretty well. Of the 18
9 chemicals, 11 of the standards show up as the top
10 candidate. Five show up as 2, but we do have 5 -- sorry,
11 2 show up as the number 2, and 5 is not on the candidate
12 list, because they have a first screen is what is this
13 molecular weight, and they pull out all the chemicals from
14 the database, and then they match it.

15 So the five chemicals we have not even in that
16 candidate list. While we use MetFrag, which is a total in
17 silica, they predict the spectrum of data, we have none
18 hit for our 18 chemicals, so which -- that's why we choose
19 the CFM-ID for our tier 2.

20 --o0o--

21 DR. WONG: So I'm going to go very quickly on
22 showing how the data look like the data we used for our
23 non-targeted analysis and use the CMF-ID as our tool to
24 identify these unknowns.

25 --o0o--

1 DR. WONG: Okay. So I'm going to let it start
2 spinning. Look at the bottom is a LC chromatogram with
3 retention time. The predominant peak is at 27 minutes.
4 The Y axis is the intensity of the current. And we
5 thought that we have very sharp peak at 27 minutes. You
6 can look at the 3-D chromatogram. They actually consist
7 of at least five predominant relative high peaks with
8 different m to z ratio.

9 So that's the ability of this equipment has a
10 very high resolution. And even in the LC side don't have
11 the resolution, being two mass spec in tandem, we actually
12 have the MS2 data, and we can have the resolution based on
13 the m to z ratio.

14 --o0o--

15 DR. WONG: So again, this is the chromatogram for
16 LC for the manufacturer's sample. I want to show you how
17 the field site composite Sample look like.

18 Again, we see a hump here. Just very similar to
19 the GC side. We have a lot of chemicals in there. Now,
20 the question is how do we draw the baseline to find out
21 where -- how high the peak is. So we put in the 3-D.

22 --o0o--

23 DR. WONG: We're going to spin it again and look
24 at it. Actually, the hump may not be as bad as the GC
25 issue, because we can resolve it by the m to z ratio. And

1 you can see that within the hump, we have some peak that
2 is pretty sharp, really well defined, because we do have
3 the MS2 data in it. And also, the m to z ratio able to
4 resolve the peak a little bit better.

5 And one thing I want to point out here is you see
6 a very different pattern on peaks between the field sample
7 and the manufacturing sample. The predominant peak is
8 different. It shift to more earlier time. And we have a
9 series of peaks in behind, which we're actually able to
10 see and identify what are those chemicals.

11 --o0o--

12 DR. WONG: So I mentioned about negative mode.
13 We also do negative run. We're in the process of
14 finishing the data. We also collect MS2 data, the
15 fragmentation data, for the negative ion, that we be -- we
16 are in the process of analyzing it.

17 Again, you see the LC chromatogram how it
18 resolved in the column just by retention time. And this
19 is the positive mode for manufacturing sample. We have
20 two different composite samples. They look fairly similar
21 in this. And then you look at it, this is a negative ion
22 mode. There's a shift on the lower peak. The predominant
23 peak is the later time, is different -- in a different
24 position.

25 It suggests that, because we use the same LC

1 system, if the chemical are there, it will show up in two
2 different ionization mode, it would be -- still show up at
3 the same retention time. So if they show up with
4 different retention time, we're sure that they're
5 different chemicals.

6 So the data here, the pattern here suggests that
7 we probably should run our field sample in both modes,
8 because we are actually capturing different class or
9 different chemicals.

10 --o0o--

11 DR. WONG: So again, let's look at the positive
12 and negative mode for comp 2. This is composite sample 2.
13 You can see on the screen, when I look at, the green side.
14 The green is a positive mode, which is the screen on the
15 left-hand side now, and brown, which is the negative mode.
16 And you if you look at the 3-D, we -- for this particular
17 composite sample, we captured a much wider spread of
18 chemicals in the negative mode, and also we got a lot more
19 peaked in the negative mode than the positive mode.

20 --o0o--

21 DR. WONG: So this is just to show how complex
22 the LC and also how the technology can help us in
23 identifying the unknowns. And so far, we haven't analyzed
24 any tentative chemical for the negative ionization mode.
25 So based on the positive modes, so far we have identified

1 70 -- sorry, 47 peaks with 47 tentative chemicals in our
2 database.

3 And that bring up to 228 unique chemicals in our
4 tentative identified chemical.

5 --o0o--

6 CHAIRPERSON BALMES: So rather than read all
7 these questions, I'll have my colleagues look at this. Do
8 you want to say anything in general about the questions
9 for discussion before we launch into discussion?

10 DR. WONG: Yeah. We are looking at your input on
11 how we approach it, because we tried to use the database
12 to screen it, which is a quicker way. But then chemical
13 informatics has their own shortfall, because the model --
14 it depends on the model focus. You may or may not get the
15 chemical. So we would like to have your input on how we
16 better approach it or anything that we might have
17 overlooked.

18 Also, we prioritize the chemicals based on
19 different factors, and we would like to have input. Did
20 we miss anything? What is the best way how we select the
21 chemical in a different level of looking at these
22 tentative chemicals? I think that's the main point.

23 CHAIRPERSON BALMES: Thank you, Patty and Randy.
24 And I just want to say, from me, I think you did a really
25 great job of going through complex material. And because

1 we have to have time for a public discussion, I'd like to
2 keep our discussion right now to about 10 minutes, and
3 then we'll come back after the public discussion to have
4 a -- I'm sure we won't be done in 10 minutes with these
5 questions. But I think that's a way of making sure that
6 we incorporate the public.

7 And there are probably a number of people both in
8 the audience and on the internet that have been waiting
9 patiently. So would that be okay?

10 So who wants to start off?

11 ADVISORY PANEL MEMBER KYLE: I do, because I have
12 a simple one. May I?

13 CHAIRPERSON BALMES: You may.

14 ADVISORY PANEL MEMBER KYLE: This is all very
15 impressive and I think I got about 80 percent, so that was
16 excellent.

17 (Laughter.)

18 ADVISORY PANEL MEMBER KYLE: But there's
19 something that's important about this that we haven't
20 talked about, I think. And that is in the context of this
21 project, ultimately, we have to decide what a level of due
22 diligence is here, you know, for the State to do this.
23 And, you know, it can't be infinite and it has to be
24 enough. And it has to be the right amount of enough here,
25 because the State is paying to get this done. You know,

1 they're paying people to put this stuff in these.

2 And so some vocabulary from what you all are
3 doing about how to talk about that I think it's going to
4 be important. Do you understand what I'm saying? And I'm
5 just going to say that, because I don't have a suggestion.
6 You know, I have to mull this a little bit more. But it's
7 an additional thing to just getting these results. It's
8 like how do we describe how much we did of what the
9 uncertainty is.

10 Thank you.

11 CHAIRPERSON BALMES: Did you want to -- did you
12 want to respond to that at all at this point.

13 ADVISORY PANEL MEMBER KYLE: I'm not asking them
14 to respond.

15 CHAIRPERSON BALMES: Okay. All right. Linda.

16 ADVISORY PANEL MEMBER SHELDON: So there are two
17 things. With the polar organics, it's sort of
18 interesting, because I -- now, correct me if I'm wrong,
19 but from my -- the -- my old brain, polars tend to degrade
20 a lot more rapidly than those things that are not in
21 polar. And so my question is is that knowing what you do
22 about -- you know, the samples look completely different
23 from the crumb and the other, and they look more similar
24 in the others. And so I guess is there a way you can
25 start to sort of look and say, you know, are all of these

1 tire crumb things really just degrading in the environment
2 to something else? And if they are, then, you know, how
3 do we deal with that? Because that may be something
4 important to consider, when and if you actually do the
5 non-polar analysis.

6 The other thing is is when it comes to
7 prioritizing chemicals for confirmation, again, when
8 you're trying to look at risk assessment, and a lot of
9 these chemicals don't have the toxicity criteria. And
10 Lauren, you may -- you may not agree with this, but I do
11 know that, you know, in EPA, they do structure activity
12 analysis.

13 And, you know, maybe what you want to do with
14 that whole slough of non-polars and things that you're not
15 dealing with as a structure activity analysis, and say
16 which ones are potentially toxic, and then go from there.
17 And so that might be another thought. But both do you do
18 them because they -- you know, do you even bother because
19 they degrade or given the ones that have degraded, you
20 know, are any of them potentially toxic, and, you know,
21 then proceed from there.

22 DR. WONG: That's one of the reasons when we
23 sample, we sample fields of different ages. And we want
24 to capture if chemical degrade and also environmental
25 deposition into this rubber. And we believe some of the

1 components are deposition, some are degradation. We
2 totally agree.

3 We're actually surprised to see these field
4 samples has so many polar chemicals. And they seems to be
5 hanging there or they are deposited onto it. So like I
6 said, yeah, like, you suggest, it's very good idea to look
7 for chem -- look at the chemical as a class, especially
8 for chemicals that has weaken database or toxicity. We
9 are looking into how we -- first of all, we have to find
10 what are these chemicals, and then we're looking how to
11 bin this chemical into different classes, and looking for
12 alternative methods on how can we address the toxicity
13 issue here.

14 So everything are going --

15 ADVISORY PANEL MEMBER SHELDON: Well, and those
16 count -- those field have been in the environment for one
17 to three to 10 years, and they are sinks for everything
18 that's out in the environment. So, you know, you also
19 have that which is an issue.

20 And I don't know if you deal with it saying,
21 well, they're a big sink and we have to deal with it or,
22 you know, this is not really the tire crumb that we're
23 worried about.

24 DR. WONG: I think we're going to need to have a
25 very in-depth discussion on what it means. But

1 definitely, we're looking at it from the exposure point of
2 view. People are exposing to this chemical. That's
3 how -- at this point, how we look at it.

4 CHAIRPERSON BALMES: Dr. Bennett.

5 ADVISORY PANEL MEMBER BENNETT: On Linda's
6 comment about the degradation, I think there's some Swiss
7 tools that do degradation products that you can then
8 integrate in with some of these methods and try and look
9 for degradation products. And so maybe taking like your
10 tire-related thing, running them through the Swiss
11 software that predicts the degradation products, and then
12 looking for those might be a way to help understand what's
13 in the field.

14 So I was just surprised, because on the LC, I
15 know that at UC Davis we've -- I work with Dr. Young,
16 who's got one of the LC time-of-flights. And I know he
17 uses a bunch of Agilent databases for suspect screening on
18 the LC, because he's got like the Agilent MassHunter of
19 Forensic Toxicology Database with 8,000 chemicals, a water
20 contamination one with 1,400. Is this just a different
21 machine and Agilent doesn't have those databases or did
22 he -- or is that something that he purchased in addition
23 or...

24 DR. WONG: This is a thermo scientific orbitrap.

25 ADVISORY PANEL MEMBER BENNETT: Oh, it's not the

1 Agilent --

2 DR. WONG: So It's much sensitive than the TOF.

3 ADVISORY PANEL MEMBER BENNETT: Okay.

4 DR. WONG: And also, it's much more accurate.

5 And the reason we do these two, because LC world is --
6 doesn't have standardized protocol. Each fragmentation or
7 retention time is going to be method dependent and also
8 your equipment dependent.

9 These database in general, they collect
10 experimental database and you can compare the best you
11 can. You may not get 100 percent match. Not like the GC
12 world is so petty. That's why it's critical that once we
13 get the suspect, we confirm it with our reference
14 standard. Then we know exactly this is it.

15 We don't get 100 percent match on the suspect,
16 but we do get 100 percent match on our standard versus our
17 sample we suspect. So it's only if you run it through the
18 same equipment.

19 And the CFM-ID itself do have more than 200,000
20 unique chemicals and has experimental data embedded in it
21 to get a in silico spectral data. But they don't
22 necessarily means the exact same condition we're running
23 this profile on the software, the energy on the equipment.
24 So it's going to generate different pattern.

25 ADVISORY PANEL MEMBER BENNETT: Okay. And then

1 on the tox data, I'm assuming it -- because it said that
2 you were doing some of the EPA databases. So I'm assuming
3 you're doing all that sort of in vitro ToxCast. You're
4 using that to kind of rank some of the toxicity of these
5 chemicals, because you can kind of poll those databases of
6 those compounds that they've done the high throughput in
7 vitro screening, and that might be a quick way to get some
8 tox prioritization on some of these.

9 DR. WONG: Yeah. We are looking into that. We
10 do pull -- we are collecting those information.

11 ADVISORY PANEL MEMBER BENNETT: Okay. And then I
12 had a list. I had a comment tool on QSAR tox models.
13 Like Linda and I had a list of ones that I thought might
14 be useful that I can give you.

15 DR. WONG: Definitely.

16 ADVISORY PANEL MEMBER BENNETT: Okay.

17 DR. WONG: So to get back to your degradation, if
18 you look at the peak, we have a range of peaks. We have
19 identified tentatively those are polyethylene glycol. And
20 as it age, it break down losing a -- one of the carbon as
21 it go. That why it's like a ridge. You have peaks after
22 peaks in the very diagonal pattern, so it helps us look
23 for also degradation.

24 CHAIRPERSON BALMES: Mr. Avol.

25 ADVISORY PANEL MEMBER AVOL: So possibly in a

1 vain attempt to stay within your ten minutes, let me jump
2 around on a number of things. First of all, very
3 impressive set of wide-ranging analyses. I think, you
4 know, the underlying theme or a common theme that we come
5 back throughout the morning and the day has been this
6 notion of how you're going to more effectively communicate
7 this in the document. And I think that's an issue here.

8 You provocatively identify in a couple of these
9 things in -- you know, for example, in slide 13 that the
10 spectra between the samples looked different, as well as
11 on the negative and positive sides being different. And
12 so it raises the question of sort of how reproducible this
13 is when you do this on the same sample, and how variable
14 it is in the number of field samples that you have. And
15 it even raises the question of how many field samples do
16 you have? I mean, we have some fields that are new, that
17 are old, that have been out in the sun, et cetera, that
18 have been refurbished.

19 And so from the public's perspective, I think we
20 want to know what is the range? I mean, you can
21 analyze -- you can get this incredible spectra out of one
22 field. But what does that tell us about -- you know, what
23 does a composite mean?

24 DR. WONG: Yeah. Great -- very great comment and
25 question. We are at the stage of identifying targets, so

1 that's why we create a composite sample to capture what
2 are other ions, or metals -- sorry, what other chemicals
3 that is in the rubber that's releasable?

4 We are not -- once we get the target list, we'll
5 move on to the field sample. Then we'll look at the
6 reproducibility, accuracy, the concentration versus the
7 ID, the age across the field. Those are the issues that
8 we have to deal with once we final the chemical, we find a
9 reference, we'll move on the field. And definitely,
10 that's a very important question to address.

11 But we're at the stage of what do we have before
12 we go into the field sample? And we have repeat this
13 analysis -- this run in some of the samples. And we have
14 persistently seeing those predominant peak. I would not
15 say they're exactly the same every time. But most of the
16 predominant peak, we see it. We see it most of the time.

17 ADVISORY PANEL MEMBER AVOL: Okay. So again,
18 when you actually get to the communication part, "most of
19 the time" needs to be sort of defined.

20 (Laughter.)

21 CHAIRPERSON BALMES: Okay. Well, more discussion
22 to follow the public comment period, but I think we should
23 move into the public comment period now.

24 So do we have to say anything special to the
25 folks online?

1 Yes. I just would remind those who are
2 participating by internet, you can send comments via email
3 to syntheticturf@oehha.ca.gov.

4 While we're awaiting any internet comments, I
5 have about five here. Again, there will be a three minute
6 time limit. And no special order. Why don't I ask Robert
7 Blink to come up.

8 DR. BLINK: Hi. Dr. Bob Blink, occupational
9 medicine practice in California. I also do some
10 consulting through the International Carbon Black
11 Association.

12 I had some things I was going to say, but I've
13 rewritten them after the second half today. And I would
14 like to focus on the communication part that will be
15 coming soon. And I think that, you know, the science
16 involved here is so impressive and so complicated, that
17 even for people who are used to analyzing and reading
18 about these sorts of things, its quite a struggle. And I
19 think the 80 percent estimate is a good one.

20 So in communicating to the public, I think I
21 would really stress, if we can, to explain things in a way
22 that people can understand in plain English. If I'm a
23 parent and I have a child who comes home with covered
24 black crumbs -- I mean, the crumb rubber crumbs in his or
25 her underwear, that's what I'm concerned about and not the

1 fine points of the -- of how extractions are done.

2 So, number one, hazard assessment versus risk
3 assessment, where we're identifying chemicals that are
4 conceivably present, that may be a hazard. But at the
5 concentrations that they're actually found, it's almost
6 certainly not a risk for the vast majority of these. And
7 I think that's really important to communicate, because
8 that's what people are going to want to hear.

9 Anyway that you -- the precautionary principles
10 that were used to set up the assumptions and estimates
11 that are being used. I think understanding what the
12 precautionary principle is and why that's important to the
13 readers is also important.

14 And any uncertainties in the study, such as
15 whether harsh extractions are really relevant, as to
16 whether abrasions actually we understand what the
17 absorptions might be. I think that delineating those
18 clearly in a strength and weaknesses section of the report
19 in a way that people can understand would be important.

20 And then as much as possible, if risks can be
21 communicated in English, like don't worry about it or risk
22 one in a million, or risks are similar to what might be
23 found in an office setting or in a home setting, or
24 whatever those plain English comparisons could be, I think
25 that would be very useful.

1 Anyway, wonderful work. Thanks, everybody.

2 CHAIRPERSON BALMES: And thank you Dr. Blink.
3 Those were I think insightful comments.

4 Okay. Again, no special order, Steve Krauss.

5 MR. KRAUSS: Thank you. I'm Steve Krauss with
6 CRM Rubber. I'd like to actually, first of all, thank all
7 the participants of the study. I know it's been a long
8 exhaustive process. You guys put a lot of time and
9 effort. And as well as to the advisory members, we
10 definitely value your insight and feedback. I think it's
11 really critical to a process like this.

12 And so that being said, we really look forward
13 to, you know, getting the ball over the end zone and when
14 we get to an actual conclusion and final analysis. I
15 think, you know, as a vested member, you know, from our
16 company's standpoint looking at our employees, employee
17 safety, and then just as a parent, a father who has kids
18 that play soccer as well, I'm very interested to see the
19 final conclusion and analysis.

20 I also agree with Dr. Blink, I think putting a
21 cap or summary on this that helps the non-technical person
22 understand and relate to, you know, how this -- how maybe
23 exposure or hazard assessment relates to other consumer
24 products, or maybe child safety -- or child products would
25 be really helpful.

1 A couple of other things. Throughout today,
2 we've talked about artificial fields. And I think a
3 couple of questions I have is throughout your study and
4 your samples that you pulled from the fields themselves,
5 did you guys evaluate what part of the composition was
6 sand as opposed to crumb rubber?

7 So generally in fields that are being installed
8 today, about 3 pounds are -- per square foot is crumb
9 rubber, and 6 pounds sand infill. So you have kind of a
10 1/3 ratio crumb rubber, 2/3 sands. So when we look at
11 some of this ex -- when we talk about different exposure,
12 or inhalation, or maybe risk associated with cuts and
13 abrasions, are we talking about the mix composition or are
14 we looking at just the crumb rubber of the composition?
15 So are we diluting maybe your formula and maybe what --
16 how much you think is being consumed of the mix as opposed
17 to just crumb rubber?

18 So just something, feedback in just thinking
19 about and making sure that it's representative of the
20 total. Are we talking about the infill composition or
21 just the rubber?

22 And lastly, I think, you know, we've heard a lot
23 of great comments and feedback from the Advisory Committee
24 today and throughout this process. One thing that I get a
25 little bit concerned about is a lot of attention has been

1 talked about about maybe different extreme situations or
2 extreme variables. And I want to make sure that we just
3 don't couple extreme variable on top of extreme variable,
4 that down the road you don't have a final analysis that is
5 not necessarily representative of what the common exposure
6 or the general health risk is.

7 So thank you for your time, and again, I really
8 appreciate all of the hard work and dedication you guys
9 have all put into this study. Thank you.

10 CHAIRPERSON BALMES: So thank you, Mr. Krauss.
11 Does staff want to respond to his question about the
12 sampling, you know, and how much the sand versus the crumb
13 rubber there is in the samples?

14 DR. WONG: We ask -- we have questioned and asked
15 the people who owned the field or installed the field how
16 much sand you put in? Is it pure rubber? We have field
17 that's pure rubber. We have field that is sand and rubber
18 mix. And we have field that is cork and rubber mix.

19 So we have those documents. But when we sample,
20 we sample where we can get down and get to the file. So
21 when we analyze it, we analyze this, this is exposure
22 unit. People expose it as a unit from the turf, from the
23 soil, from the fiber, or from the rubber, or from the
24 sand. So just how we analyze it.

25 CHAIRPERSON BALMES: SO just to make sure I'm

1 clear, so if it's two parts sand, one part crumb rubber,
2 then there would be an effective dilution of the crumb
3 rubber?

4 DR. WONG: It could be. It could be or like the
5 sand has other ingredients in it.

6 CHAIRPERSON BALMES: Yes.

7 DR. WONG: And also, the sand and the rubber,
8 they eventually -- the sand is heavier, the rubber is
9 lighter, so they do -- most time we see it separate. We
10 try to scoop the surface. We don't want to break the
11 turf, so we try to scoop it to the most surface layer.
12 But sometimes when we dig further, we do see a lot of
13 sand. We agree the observation.

14 CHAIRPERSON BALMES: Okay. Just a quick comment.

15 MR. KRAUSS: Quick comment. One last thing is
16 unfortunately I don't know that this particular study will
17 compare synthetic fields to that of natural turf. I think
18 it's really important what are the other alternatives that
19 are being used out there, whether it's natural turf,
20 whether it's artificial turf with cork, with other
21 different types of infills. There's husk. So there's a
22 lot of different other variables that are getting
23 implemented in these artificial turfs. I would really
24 think it would be beneficial for the public to know what's
25 the health risk of all these other option alternatives as

1 well, not just crumb rubber.

2 So thank you.

3 CHAIRPERSON BALMES: Okay. So our next speaker
4 is Robina Suwol. Did I say the name right?

5 MS. SUWOL: Yes.

6 Good afternoon. And tremendous thank you to the
7 science panelists, and to the OEHHA staff, and the
8 collaborators for your time and commitment. A special
9 thank you to Patty and Jocelyn for all of your hard work
10 on this.

11 We also join with everyone who's also made the
12 suggestion, if there's a way to take this incredible data
13 that's been created and information and to make it more
14 easily understandable in a format for the public, I think
15 that would be really helpful.

16 I have just a couple of comments here today. And
17 then I received a couple of texts from some young soccer
18 players and they asked me if I could make those comments.

19 So my other comment here though from California
20 Safe Schools is that with -- in regard to risk assessment.
21 You know, we all are aware that it doesn't take into
22 consideration preexisting conditions, sensitivity to toxic
23 chemicals, or cumulative impact of other exposures.

24 And truly, the bottom line on all of this is that
25 tires are considered to be so highly toxic, that they

1 cannot be placed in landfills. And yet, when they're
2 ground, and they're used on children's mats, athletic
3 fields, pathways, or playgrounds, your own studies, as
4 well as other -- many other studies continued to confirm
5 that they contain toxic substances. They're not removed
6 when they're ground.

7 And this continues to be deeply disturbing for
8 us. And we hope at the very least that while these
9 studies continue, and we want them to and are grateful for
10 them, that OEHHA might consider posting at sites that
11 contain these crumb rubber that would provide information
12 to the public about possible exposures and ways to avoid
13 them.

14 And I know the precautionary principle was
15 mentioned earlier. And in 1998, our organization
16 spearheaded an effort with L.A. Unified that created the
17 most stringent pesticide policy in the nation for schools.
18 And it embraced the precautionary principle. And it was
19 not our suggestion, it was the district. There was some
20 information at the time that indicated there was concerns
21 about herbicides. And so for 20 years, Roundup has not
22 been used at any of their thousand sites, 28 cities, or
23 704 square miles. So I would hope that that's something
24 we can all consider here.

25 So the text that I received from the soccer

1 players who've played on fields all over the country and
2 all over California said, "The possibility...", and this
3 is the quote, "...for injury was heightened due to the
4 extreme temperatures and often unevenness of the fields.
5 I remember crying on the field running to the sidelines
6 where my mother would douse my red blistered feet with ice
7 and with tweezers remove the crumb rubber from my shoes
8 and socks". That was her quote.

9 And then the other young lady that contacted me
10 via text asked me to please read this to you. And that
11 is, "For so many children, athletes, and adults who play
12 or participate in recreational activities who have so
13 little to give asking them to give up their health and
14 bodies is unconscionable. Please help us".

15 Thank you so much.

16 CHAIRPERSON BALMES: Thank you, Ms. Suwol.

17 And our final in-person present -- or testimony
18 would be from Mike Peterson.

19 MR. PETERSON: Hello, everyone. And I'd like to
20 reiterate the thanks to both the staff and the Panel for
21 all the work they've done here.

22 Going last, I think a few of my comments have
23 actually already been taken. But just introduce myself.
24 I'm a toxicologist and risk assessor. I've been asked to
25 be here on behalf of a coalition of rubber recyclers and

1 synthetic turf manufacturers.

2 The reason they probably asked me to be here is
3 because I've been studying the issues associated with
4 recycled rubber and synthetic turf for six or seven years
5 now. And just last year, in fact, we published a
6 peer-reviewed study in the literature in Environmental
7 Research. We called it a comprehensive multi-pathway risk
8 assessment of crumb rubber. After going through this
9 conversation and watching you guys and what you've done,
10 I'm thinking about maybe writing the editor and asking
11 them to revise that to "mostly comprehensive", because
12 what's being done here is wonderful work.

13 A couple comments here. I think one thing, I
14 noticed, Dr. Eckel, you talked about the exposure study
15 outliers and how these -- that staff might look at those.
16 I think that's a great recommendation, because that leads
17 right into number two. I've heard over-conservatism
18 talked about once already. We all know as risk assessors
19 we want to be protective. We want to -- we want to make
20 sure we don't underestimate the risk.

21 But at the same time, the flip side, if we start
22 having 95th percentile, after 95th percentile, after 95th
23 percentile, pretty soon we're talking about a person that
24 doesn't exist. And that we need to be careful balancing
25 those two things. So I think that's something for staff

1 to consider.

2 Finally -- oh, I still have three minutes. How
3 did that happen?

4 Finally, communication.

5 CHAIRPERSON BALMES: You have less than a minute.

6 MR. PETERSON: Oh, less than a minute. Good.

7 One thing left. Communication has also been
8 mentioned. And one thing we did -- and, in fact, when I
9 commented, I think it was at the first one of these
10 meetings, I was hopeful that we would do some natural soil
11 comparisons. Apparently, there wasn't the money for that.
12 I thought a number of the Panel members agreed that that
13 was a good idea. It didn't happen. But what we did in
14 our assessment is we went to the USGS soil concentration
15 website. We looked at urban background and rural
16 background concentrations in air and we compared chemical
17 exposures in natural soil versus our risk assessment
18 results for synthetic turf.

19 And I thought that gave a very good baseline for
20 people without a lot of expertise in one in a million or
21 one in a hundred thousand to at least have some relative
22 comparisons.

23 So I don't know if you do that or dietary
24 exposures, like I believe Dr. Sheldon mentioned, or
25 something. But give some real-world comparative risks

1 that will help people in -- laypeople interpret these
2 results. I think that's really critical.

3 CHAIRPERSON BALMES: Thank you.

4 MR. PETERSON: I think that's it. Thanks.

5 CHAIRPERSON BALMES: Do we have any comments from
6 the internet?

7 DR. CLAUDE: So we received several comments.

8 The first one they sent a -- they sent us a PDF,
9 but they also provided us with a brief synopsis of the
10 Safe Healthy Playing Field's Coalition comment, which I
11 will read. The full comment for posting is sent in the
12 email -- the attached PDF file as requested by OEHHA
13 staff.

14 Please note it has come to our attention that
15 some comments made from the 2018 meeting were delayed in
16 their posing by over a month. We ask that they be posted
17 in a timely fashion, along with other submitters. That's
18 from Carol Antone for California Safe Healthy Playing
19 Fields.

20 This is the excerpt of the PDF. HS -- SHPFC has
21 the following five fundamental concerns regarding the
22 study and its transparency:

23 The Advisory Panel and members of the public
24 asked that granular convection, the Brazil but effect, be
25 taken into account when sampling. This is significant,

1 because of the increased surface area and the suspension
2 of the finer particles, and consequently their uptake,
3 intake, and absorbency factors. The OEHHA study
4 administrators were asked not to wash and thus alter some
5 of the field samples.

6 Unaltered comparative samples were also requested
7 to be taken from the bottom of a new tire crumb bag before
8 it was spread on field. Apparently this was not done
9 and/or the crumb material was washed before testing, thus
10 eliminating the potentially most problematic material.

11 The OEHHA study did not take samples in
12 recommended areas of the fields. As indicated in the
13 OEHHA materials, sampling was not done at the areas of the
14 soccer field which had the highest impact, as was
15 recommended. Areas, such as the corners and in the
16 penalty kick area were apparently excluded. This is
17 significant because these areas are most impacted by
18 powerful repetitive foot strikes and are most frequently
19 repaired and need to be regularly replaced with new crumb
20 material. New material contains the highest concentration
21 from the full range of particulate sizes, including the
22 dust.

23 Lack of transcripts. The Advisory Panel
24 supported the releasing of transcripts. Yet, no written
25 meeting transcripts of the meetings prior to the 2018

1 meeting have been made available.

2 Lack of sampling transparency. Neither the
3 public nor the media, or any other objective public
4 representative, were allowed to witness any sampling of
5 the fields.

6 Lack of testing transparency. A request to
7 observe laboratory --

8 CHAIRPERSON BALMES: Okay. I think probably
9 we've already exceeded the three minutes. So we can enter
10 this into the record, so -- but I wanted to know if Randy
11 and/or Patty would want to address the original concern?
12 Can you go back to -- yeah -- about sampling and washing.

13 DR. CLAUDE: Right here.

14 DR. MADDALENA: Yeah, the comment about washing
15 the samples. There was no washing done. We analyzed as
16 received. And that was one of the points, we didn't want
17 to modify the sample.

18 CHAIRPERSON BALMES: And also the field samples
19 were not washed either, right?

20 DR. MADDALENA: Correct. The field samples were
21 not washed.

22 CHAIRPERSON BALMES: You took big chunks of paper
23 and stuff out.

24 DR. MADDALENA: Yeah. They were analyzed as
25 received. And the sampling method was designed to be as

1 representative across the field as we could, so...

2 DR. WONG: And also, the sample location we do
3 have target some of the high-impact areas right in front
4 of the goal box.

5 CHAIRPERSON BALMES: Thank you. Do we have
6 another one to read?

7 DR. CLAUDE: So from -- question from Olenka.
8 Her first question what are the limits that you're going
9 to use for specific chemicals to consider them to be low
10 level? For example, RIVM in cooperation with ECHA, says
11 the general concentration limit set under REACH for eight
12 carcinogenic PAHs in crumb rubber mixtures are
13 insufficient for protecting those who come into contact
14 with the granules, while playing at sports facilities and
15 on playgrounds.

16 The proposal suggests a combined concentration
17 limit for the eight PAHs of 17 milligrams per kilogram.
18 The current concentration limits applicable for supply to
19 the general public are set at 100 milligrams per kilogram
20 for two of the PAHs, and 1,000 milligrams per kilogram for
21 the other six.

22 The eight PAHs are benzo[a]pyrene,
23 benzo[e]pyrene, benzo[a]anthracene, chrysen,
24 benzo[b]fluoranthene, benzo[j]fluoranthene,
25 benzo[k]fluoranthene, and dibenzo[a,h]anthracene. So what

1 are the numbers that you are going to use to assess the
2 risks?

3 CHAIRPERSON BALMES: Is that a question you want
4 to take on, Patty?

5 DR. WONG: I think we need a very in-depth
6 meeting when we go to the risks and how we assess the
7 chemical concentration risks.

8 CHAIRPERSON BALMES: Next question. Oh, this is
9 more from Olenna. Okay.

10 DR. CLAUDE: So her second questions. I see that
11 your testing is in-lab testing to extract chemicals. Are
12 you going to do an infield studies. For example Dolores
13 Park in San Francisco, which has renovated playground in
14 2012 has a very worn playground surface. Since there's no
15 real regulations, no proper maintenance was conducted,
16 except for patching the biggest holes. But the whole
17 surface has small cracks with the black bottom layer
18 picking through. It poses choking hazard, tripping
19 hazard, and also dangerous chemicals from the bottom layer
20 can come up in direct contact with children. It heats up
21 on sunny days to over 140 Fahrenheit, which fills the air
22 with carcinogenic fumes.

23 Can you test in place? There are many other
24 playgrounds with broken surfaces at your disposal if you
25 can't come to San Francisco.

1 Third question. Industry representatives and
2 manufacturers say that crumb rubber is safe for children
3 to play on, because the manufacturing process binds the
4 various components of tire, including carbon black and
5 solvents, into a matrix that makes it impossible for them
6 to leach out. Is this true?

7 Her fourth question, the U.S. Consumer Products
8 Safety Commission declares that synthetic turf is exempt
9 from child safety standards, because it is not a child --
10 a children's product. If it acts like a children's
11 product, and it is marketed as a children's product, and
12 it is sold as a children's product, would you recommend
13 for it to be regulated like a children's product?

14 CHAIRPERSON BALMES: So, do you want to answer
15 the second question, Patty?

16 DR. WONG: In terms of selection, we actually
17 picked two Northern California fields two Southern
18 California fields of different age, trying to cover the
19 hotter area, the colder area. And we did it in the
20 summertime during the hot days. So we tried to capture
21 the fumes that are coming up from the rubber, and also
22 with different age. So we cannot specifically say where
23 we went, because of privacy issue, but we did try our best
24 to cover it.

25 CHAIRPERSON BALMES: Thank you, Patty.

1 DR. CLAUDE: A question, comments from Nick.
2 Thank you for your time and effort creating protocols for
3 the tire crumb study. Your 500-plus page report confirms
4 that OEHHA has found 126 chemicals, many known to have
5 significant health impacts. Does OEHHA have the authority
6 now to mandate that at every field, playground, walking
7 path, or area with crumb rubber that signs be posted
8 alerting the public to potential chemical exposures?

9 Under OEHHA's authority, could the recent
10 chemical findings be sufficient to encourage the State to
11 halt the use of tire crumb immediately on fields,
12 playgrounds and paths? I understand the plan was for
13 OEHHA to create a study protocol and -- for tire crumb,
14 but what you have confirmed is alarming.

15 CHAIRPERSON BALMES: Patty, do you want to say
16 anything?

17 ACTING CHIEF COUNSEL DeNIGRIS: The program --

18 CHAIRPERSON BALMES: You should identify yourself
19 for the --

20 ACTING CHIEF COUNSEL DeNIGRIS: Oh, sorry. Carl
21 DeNigris, the Acting Chief Counsel for OEHHA.

22 The short answer is no. That's outside the scope
23 of our authority and outside the scope of the study.

24 CHAIRPERSON BALMES: Anymore?

25 DIRECTOR ZEISE: Yes. I guess -- Hi. Lauren

1 Zeise. Yes, I think just to add that, you know, we have a
2 ways to go in terms of looking at concentrations. And
3 that's the next step. And at the next meeting, we'll have
4 a lot more information regarding the degree of possible
5 risk.

6 CHAIRPERSON BALMES: From Shirley.

7 DR. CLAUDE: From Shirley. I'd like to submit
8 the following re: the meeting today on crumb rubber.

9 NASA graphs show the steady increases of
10 temperatures. In some states like California, this has
11 already resulted in creating critical situations. What
12 liability do schools and parks have as to what temperature
13 is safe for children when playing on these fields?

14 With wildfires worsening every year, and the
15 reality that firefighters are unable to stop them from
16 burning communities, it is concern that these fields will
17 burn and release all the chemicals into the air, water,
18 and soil. Have these very real external threats been
19 considered? Please seriously reconsider anymore
20 installation of crumb rubber.

21 CHAIRPERSON BALMES: I'll make a response to
22 point two about the wildfires. Yes, if these artificial
23 turf fields burn, nasty toxic materials will be released.
24 I mean, we're already measure PAHs just from exposure to
25 ambient temperatures. But I just -- when communities

1 burn, there's a lot of other stuff that emits toxic
2 materials, houses, cars. So, yeah, when our communities
3 burn, it definitely is a problem in terms of toxic
4 emissions.

5 And again, as we discussed with the last
6 question, OEHHA doesn't have the authority to stop
7 installation of crumb rubber. I do think the point about
8 whether higher temperatures are going to cause more
9 problems related to emissions from artificial turf fields,
10 crumb tire fields is an issue that I'm sure will be
11 addressed in the final report, at least in --
12 qualitatively.

13 DR. CLAUDE: So from Mary. Thank you in advance
14 for responding to these questions. With the data that was
15 gathered on babies and children, who will establish what
16 the levels of safe exposure are for those age groups or
17 are established adult levels to be used?

18 It is reported that crabgrass and other weeds can
19 grow in these fields. Were any fields tested in areas
20 where weeds would be more likely to grow and weed killer
21 such as glyphosate would be used?

22 Since synthetic turf does not absorb rainwater
23 but drains and gets into storm sewers, what are the
24 impacts from the long list of chemicals included in the
25 report on aquatic life and drinking water?

1 When crumb rubber fields degrade and have to be
2 replaced, where do they end up?

3 Does the use as a playing change the
4 classification of tires as hazardous waste and the crumb
5 rubber would end up in a non-hazardous waste landfill?

6 CHAIRPERSON BALMES: Are you going to say
7 something, Patty?

8 DR. WONG: If you want me to.

9 In terms of pesticide use, we have survey
10 question for the user and we have document whether they
11 spray anything or what kind of chemical they spray on the
12 field. And it will show up in our Chemical analysis.

13 CHAIRPERSON BALMES: So I should have said this
14 earlier, but really all the comments that we're getting
15 from our internet participants will be enter into the
16 record.

17 Jocelyn, could I ask you how many more do we
18 have?

19 DR. CLAUDE: I think two or three. I'm not sure
20 of the exact number.

21 CHAIRPERSON BALMES: Okay. Can we go to the next
22 then.

23 --o0o--

24 DR. CLAUDE: So there's three left after --
25 two -- three left including this one.

1 So regarding the Synthetic and Playground Studies
2 Overview May 2019 update, Task 7, Human Health Risk
3 Assessment, we have the following questions:

4 What is your worst case exposure?

5 What is the logic of the risk assessment?

6 How will you communicate your interpretation of
7 the risk for the children to the parents and to the
8 children who are exposed?

9 Who decides what risk is acceptable?

10 Are you proposing there is an acceptable risk?

11 How are you going to deal with Amy Griffin's
12 data?

13 CHAIRPERSON BALMES: And I think we can take
14 these questions into consideration with our discussion of
15 the questions that staff has already posed us.

16 So maybe the next.

17 --o0o--

18 DR. CLAUDE: In today's -- from Gene.

19 In today's presentation, how is it determined
20 that dermal uptake is probably not a predominant pathway
21 for the synthetic turf, e.g. crumb rubber and turf
22 backing, in regards to gas emission? Why is there no
23 place on the field sampling diary template form for
24 notating how old, duration, the tire crumb on the field
25 is? Or, when, where, and how often the additions of fresh

1 tire crumb wear?

2 The listed bystanders do include residential
3 households living adjacent to these fields. Many fields
4 are located within tens of feet upwind of houses in the
5 neighborhoods that are exposed to the off-gassing and
6 particulates 24 hours day long for the lifetime of the
7 field.

8 Why was the question ask what ethnic group best
9 describes your child?

10 CHAIRPERSON BALMES: Any responses, Patty?

11 DR. WONG: We have a survey on how -- when we
12 communicate with the field owner, we document when was the
13 last refill of crumb rubber, how was it maintained, when
14 was it installed? So the question number two, we do have
15 those information.

16 CHAIRPERSON BALMES: Yeah. So again, we'll take
17 into account these comments.

18 ADVISORY PANEL MEMBER MCKONE: Just quickly, the
19 one on dermal uptake of gases. There's a large literature
20 on the relative effectiveness of gas transmission through
21 skin versus lungs, most of it done by the military worried
22 about, you know, poisonous gases.

23 But it's been well demonstrated that there's
24 orders of magnitude difference between a gas phase. I'm
25 not talking about particulates on the skin surface, but

1 gas phase.

2 CHAIRPERSON BALMES: Yeah. The question was
3 about gas.

4 So there's one more from Kelly on the internet.

5 DR. CLAUDE: Yes. And we just received a card
6 from Denise, if we have time after this one.

7 I would like to ask the Panel, knowing what you
8 know now and knowing that most of the parents that send
9 their children to play on these fields may never have
10 heard this study, much less understand its findings, would
11 it be reasonable and prudent to request that the summary
12 in the final report include a recommendation that
13 operators of these fields warn about the chemicals found
14 in these fields to the most vulnerable users, or at least
15 the sick and infirmed, as well as any potential risk of
16 harm that they may present to their health?

17 Again, even though some of these chemicals are
18 listed by OEHHA and the International Agency for Research
19 on Cancer as hazardous, I'm not speaking of a Prop 65
20 warning, just a compassionate recommendation in the final
21 report.

22 CHAIRPERSON BALMES: I think that OEHHA staff has
23 been listening closely to the comments about
24 communication. And it's too early to say what will be
25 recommended in the final report, but I think OEHHA -- I

1 can speak for the agency, even though I'm not part of it
2 here, is going to make a major commitment to having
3 information that will be helpful to the public. And if
4 there's a necessity for a warning about other kids playing
5 on synthetic turf made from crumb tires, there will be
6 such communication.

7 Fair to say?

8 We have one more in-person presentation I think
9 we have time for. Denise Kennedy.

10 MS. KENNEDY: I, too, would like to thank all of
11 you for all of your efforts that you've put into it. It's
12 been really good. I've listened to most of it back at the
13 office today, but it's been really good.

14 I have four comments. I've been in this
15 industry, tire recycling, 31 years, and work with
16 everybody across the country.

17 So first thing I want to comment is on
18 landfilling. One of the comment -- or one of the
19 individuals said that we aren't landfilling. I believe
20 it's -- I do the market study for CalRecycle. I believe
21 it's 19 million tires are landfilled. Those are shredded
22 tires. They don't take whole tires. So we do take them
23 to the landfill.

24 The second thing I want to bring up is I'm not
25 aware of any synthetic turf field that uses just tire

1 rubber. It's either got sand, or something with it, or an
2 organic. And I truly do agree with what Steve said, I
3 would like to see us do a comparison. There are new
4 uses -- new organic uses in place of rubber, which is
5 fine, but we don't even have the testing on all those yet,
6 which is a little scary to me.

7 And then the third thing is -- well, I did the
8 alternatives. The other one is the extreme variables. I
9 believe Linda it was you today said, maybe you don't want
10 to bring up the bad issues or something like that. My
11 fear is for the industry, every time we kind of hang that
12 message out -- and I'm not saying you said to do it.

13 But every time we put that message out there,
14 everybody is afraid to do anything else. It's just the
15 perception and it's the tone. It's definitely hurt the
16 industry. We've lost -- we're down 30 percent. And
17 mostly California is probably impacted more than anybody
18 else in the whole country.

19 But we'd just like to kind of button this up as
20 soon as we can, because either we're going to move on or
21 we're not. And everybody as an investor, the recyclers
22 don't know how much inventory to keep, because all of a
23 sudden one day when a report is going to come out and
24 someone is going to say we can't or we can.

25 So it's changing the business model and it has

1 hurt some companies from staying in business.

2 So I just want to say that. Okay.

3 CHAIRPERSON BALMES: Thank you, Ms. Kennedy.

4 So I think this closes the public comment period.

5 And, Patty, if you could put back up the
6 questions for discussion that you wanted the Panel to
7 address.

8 And I guess I'll take Chair's prerogative of
9 starting off with a question I saved. I don't know that
10 much about many of the chemicals on the long list, but I
11 do know a fair amount about PAHs. And they're pretty
12 nasty compounds in multiple ways. Some are carcinogens.
13 So I'm just throwing this out sort of as a devil's
14 advocate here.

15 So the Europeans you tell us are focusing on the
16 PAHs, and a relatively small number, even though they may
17 be re-examining that. So I'm just going to throw this
18 out. Why not do something sort of like that here?

19 DR. WONG: They were in a very time restriction
20 to come up with a conclusion. They close all the fields,
21 the 100 fields in Netherlands and they are committed to
22 come up with a report in the very soon future -- I mean,
23 at that point.

24 So they were restricted on how much they can do.
25 They picked the most toxic carcinogen. When we

1 communicate with them, that they went to the risk assessor
2 that what is the best way to address this imminent issue
3 with a quick response. So that was the approach they do.

4 I think -- I'm not the risk assessor. That's a
5 different approach based on the time and also the project
6 they were required to commit.

7 ADVISORY PANEL MEMBER SHELDON: So I want to make
8 a comment about this, because in the mid-nineties, EPA did
9 a study of children's exposures to chemicals. And we did
10 300 children in North Carolina and in Ohio. And it was a
11 probability sample and it was young children. And we did
12 multi-media, we did air, food, water, house dust, dust
13 wipes, et cetera.

14 And the levels of PAHs in children's homes are
15 extremely, extremely high. The house -- PAHs in house
16 dust are very high. I think that if we make
17 recommendations about PAHs, we need to be able to look at
18 outside other exposures of PAHs. I mean, just as when
19 people talk about the wildfires in California and the
20 effect of PAHs of burning crumb rubber, well, PAHs are a
21 combustion by-product.

22 It is the PAHs formed by the combustion of
23 hundreds of thousands of acres of wood that is going to be
24 the bigger problem. And I think that whenever we do a
25 risk assessment, we need to look at not just what we are

1 assessing, but we do need to understand what is there
2 every place, to -- so that we can do a reasonable job. We
3 do need to understand cumulative risk, but we do need to
4 look at exposures and risks from the data we understand
5 everywhere. So, you know, I just am trying to make sure
6 we look at all of it.

7 CHAIRPERSON BALMES: I actually don't disagree
8 with you. I wanted to throw that out as a --

9 ADVISORY PANEL MEMBER SHELDON: Oh, okay.

10 CHAIRPERSON BALMES: That's why I said devil's
11 advocate.

12 ADVISORY PANEL MEMBER SHELDON: Oh, I didn't hear
13 that part. I thought you were just a devil.

14 CHAIRPERSON BALMES: I said devil's advocate.

15 (Laughter.)

16 ADVISORY PANEL MEMBER SHELDON: No.

17 CHAIRPERSON BALMES: Wow.

18 Who wants to go next.

19 Dr. Bennett.

20 ADVISORY PANEL MEMBER BENNETT: I was -- this is
21 back to the big giant peak that you had, especially for
22 the composite field sample. And, I mean, it just seems
23 like that is going to be so hard to analyze. And I'm
24 assuming that's the composite from lots of different
25 fields. Did you look at a single used field and does that

1 have less of a giant mass of things, and are there less
2 chemicals if you look at them one at a time, so it might
3 be easier to identify?

4 DR. WONG: So you're talking about the LC or the
5 GC?

6 ADVISORY PANEL MEMBER BENNETT: The LC.

7 DR. WONG: The LC we have a hump. But when we do
8 the 3-D, because it's tandem mass spec, we're actually
9 able to get the hump off and spread it out, and we see
10 individual peaks. The hump is generated by almost like a
11 ocean of chemicals with very low amounts, and almost
12 continuous in molecular weight. But we do see individual
13 peaks that pops up within the hump. And having the 3-D
14 resolution we were able to identify the peak and go with
15 the MS2 data, the finger print, to identify these
16 chemicals.

17 We do run -- we did run individual sample. The
18 Idea having a composite sample, it was from four fields.
19 So we have eight different fields for two different
20 composite samples. It's to collect all the chemicals
21 fingerprints, if we can identify these unknowns now, when
22 we go through it to become the target list. When we go
23 for each field, hopefully, we'll cover -- most of the
24 chemicals will show up in each individual field.

25 ADVISORY PANEL MEMBER BENNETT: Okay. Okay.

1 DR. WONG: And if we have other chemical pops up,
2 we'll go back to the mass spec and look for what are
3 there, and then see if we can confirm it again and bring
4 it back to the table.

5 ADVISORY PANEL MEMBER BENNETT: Okay.

6 DR. WONG: So did I answer the question?

7 ADVISORY PANEL MEMBER BENNETT: Yeah. No, I just
8 was throwing it out there, because I thought some of it
9 might be that there was just different chemicals on every
10 field, and that was just making it harder to see, and
11 identify things.

12 And then I had one comment on the exposure
13 distributions, just because the public comment noted some
14 concerns about using the high percentile values, but I
15 really do think we do -- you know, if you could go back
16 and relook at the exposure durations and percent of
17 breathing and do that for the competitive players, as
18 opposed to the recreational players, because if it's two
19 different populations, we do want to be able to look at
20 the more exposed population. And maybe doing it by the
21 high percents isn't the -- you know, the cleanest way to
22 do it, so I just wanted to say that.

23 And then on the toxicity, you know, I know that
24 we're worried. We've been talking about the PAHs and the
25 fact that they're carcinogens. But I think that, you

1 know, I know -- I noticed a couple compounds that we'd
2 found in other studies that, you know, had indication that
3 they were endocrine disrupting compounds and some of these
4 in vitro testing, and the QSAR type testing. And so, you
5 know, I think we do need to kind of expand out when we're
6 thinking of toxicity and look at some of these other types
7 of endpoints that we're going to get off some of these
8 high throughput assays or the QSAR techniques. Because I
9 think that, you know, there are kind of easy tools, there
10 are first estimates, but it would help make sure that
11 we're not missing anything in a sort of a cheap or
12 relatively inexpensive way and that might be useful.

13 DR. WONG: Totally agree. We are looking at all
14 kind of not just existing data, all kind of alternative
15 method how we can base on different chemical structures,
16 look for the toxicity based on the chemical database, how
17 we can draw a link between chemicals. We're not limited
18 to carcinogen. We're definitely interested in all kind of
19 toxicity.

20 So we already look at some of the chemicals that
21 do have tox criteria for non-cancer risk some are repro.

22 CHAIRPERSON BALMES: Dr. Eckel.

23 ADVISORY PANEL MEMBER ECKEL: So I just wanted to
24 sort of echo comments also. So I definitely agree that if
25 there is a bimodal distribution on some of these variables

1 indicating the more recreational versus the more -- not
2 professional, but the more --

3 CHAIRPERSON BALMES: Competitive.

4 ADVISORY PANEL MEMBER ECKEL: -- competitive --
5 there we are -- players, I definitely encourage thinking
6 about those as two populations that need to be studied.

7 And then my second comment is I get the
8 impression this is maybe the next phase, but right now,
9 when you're in this phase of identifying chemicals, and
10 then in the next phase actually analyzing each field
11 sample, I would encourage you to think thoughtfully about
12 how to then summarize across fields the concentrations of
13 these -- or the quantification of these chemicals. You
14 know, a simple average might not really be reflective,
15 especially if some of the compounds are found only in a
16 certain field and not the other field. I think it's going
17 to require some careful thought for thinking about how to
18 input those into the exposure models.

19 DR. WONG: Yeah. Definitely. And also address
20 the uncertain issue of reproducibility of these sample.
21 Statistics is going to help us try to dissect all these
22 data.

23 CHAIRPERSON BALMES: Dr. McKone.

24 ADVISORY PANEL MEMBER MCKONE: Well, there's this
25 question on priorities. And I think this really gets into

1 a little bit of decision analysis. And particularly, it's
2 the core of risk assessment is, you know, it's not going
3 through the formalism of risk assessment at its end. What
4 we should communicate to the public is -- what we're
5 trying to find out is, you know, you want to make sure
6 you're discovering what's possible that could go wrong and
7 be complete, but not overreact anywhere, and sort of --
8 it's like a --it's like a game theory or playing cards,
9 you want to figure out what's possible, and you want to
10 know where to put your resources. You never have enough
11 resources to go after everything.

12 And I guess it's kind of a comment on the Dutch
13 approach -- or the -- you know, the approach in the
14 Netherlands, the RIVM, which is one way to do this is take
15 something you know well and that you're worried about, and
16 then regulate on that.

17 The danger with that is it is -- sorry, about
18 your -- you know, refer back to your lamp post again, but
19 it's always going where we know something. And it doesn't
20 offer the opportunity to find something that actually
21 might be a problem. So when you set up these -- I mean,
22 so it would be easy to say, you know, anything that's
23 toxic, I'm looking at your priority list, toxic, tall
24 peak, tire related, detected multiple samples, sure,
25 that's easy.

1 So something -- you know, if you have a check
2 box - ding, ding, ding - it meets all of these. Probably
3 you want to put it in a bin. I guess the thing you have
4 to think about though is what about something that is
5 not -- we don't know if it's toxic, there's no toxicity
6 data, but, wow, it's got a tall peak, it's tire related,
7 it's in multiple samples. Do you want to say, oh, well,
8 it's not toxic, throw it out? No, you probably want to
9 put it maybe not in the first bin.

10 And so I think you -- to prioritize, you need
11 this kind of -- and again, I can't offhand tell you
12 exactly what the weighting scheme would be. But I think a
13 lot of people would say, well, anything that meets all of
14 these factors, or your priority examples, certainly
15 belongs in a high priority bin.

16 The trick with binning, of course, is if you're
17 careful, right, you do everything. And then you've -- it
18 hasn't served you at all, any. But if you don't do it
19 well, you -- you know, there's this tradeoff between you
20 don't want to be so precautionary, I guess in a way, or
21 protective, that you end up with no useful information.
22 You just say we have to look at everything, because it all
23 meets our criteria.

24 On the other hand, you don't want to have some
25 chance of excluding something that might be important.

1 And I'd always say, you know, a chemical that's fairly
2 new, it's a fairly high concentration, it looks like it's
3 important, but we don't know about toxicity. Well,
4 there's a lot of chemicals that we don't know their
5 toxicity yet, right?

6 So you always have to be careful not to make
7 toxicity number one. Certainly, if you know the toxicity,
8 it helps. So again, I can't say exactly how to do this,
9 about you want to do this in a way that things -- so that
10 you do a priority list. Hopefully, it's not 2000
11 chemicals, because it's not going to help you make
12 decisions. And if it's four or five, I think that's
13 dangerous too, because there's a likelihood you missed
14 something important.

15 So I think there needs to be a little bit of --
16 and it has to be transparent. I mean you actually have to
17 explain how you set sort of a filtering -- it's a
18 filtering scheme. And there's some people who are really
19 good at this. I mean, it's like Google and YouTube. I
20 mean, all these marketing places do this all the time.
21 They now how to steer you -- I mean, Google knows exactly
22 how to steer you to something, because they're looking at
23 how to set priorities on your previous behavior. So it's
24 a doable kind of decision science, but you have to figure
25 out how you're going to do it.

1 PANEL MEMBER MCKONE: So I shouldn't be talking
2 about commercial products. There are people who know how
3 to market things based on decision making behavior
4 classifications.

5 CHAIRPERSON BALMES: Mr. Avol. And, Tom, turn
6 off your --

7 PANEL MEMBER AVOL: So I'd echo the comments that
8 Dr. McKone made with regard to selection approaches.
9 Although, I'm not sure I'd encourage you to follow
10 YouTube's example.

11 (Laughter.)

12 ADVISORY PANEL MEMBER AVOL: But in any case, I
13 think that, you know, obviously, you have to make some
14 decisions here. We're not going to have complete
15 information. Perhaps you can look at reactive chemical
16 groups as indicators of what you might -- you know, based
17 on other information you have, even if you don't have it
18 fully defined here, and that might be an indicator, or
19 families, et cetera. But I think you're going to have to
20 come down to some sort of decisions. And at the end of
21 the day, we're not going to know this completely. So I
22 think, you know, prioritizing this clearly is going to be
23 an issue.

24 The other issue that you want to come back to is
25 the communication part of this for the public. I think

1 that it's important for this, which is an incredible
2 amount of high quality science, to be interpreted and
3 interpretable to the public. And so I think what I -- and
4 some of this we'll obviously await the latter stages when
5 you get to the risk assessment portion of the study, but
6 some of this can be done now.

7 I mean, you can start a narrative that has a
8 paragraph for each of the elements that you've done thus
9 far to describe what it was you did in a way that is
10 approachable, that describes what you did, and admits, you
11 know, here's what we did in a few sentences that explains
12 this, transfers this information, and makes it
13 approachable so people can understand why these were done,
14 how this kind to be, et cetera, and give them some level
15 of confidence in this.

16 And then again at the end, risk communication is
17 going to be important. Often what's done with many of
18 these studies, is a lot of resources are devoted to doing
19 the work and there's not a lot of resources devoted to the
20 risk commun -- to the communication for public
21 communication at the end.

22 And I think that, you know, as we think through
23 how this is all going to be done, there should be some
24 commitment to outreach, to sharing this with the -- to
25 thinking about how this is going to be shared informative

1 ways and to make that -- incorporate that as a part of
2 this whole program, because I think that's going to be the
3 big part at the end and is really going to help set the
4 tone for what we've learned here.

5 CHAIRPERSON BALMES: Before I recognize Dr. Kyle,
6 I'd just like to say I heartily agree with what you just
7 said, Ed. And I'm usually in this room for California Air
8 Resources Board meetings and it's the same problem with,
9 you know, CARB. In general, CalEPA isn't particularly
10 good at outreach in terms of our information, whether it's
11 regulatory or advisory.

12 So I totally agree that just as much attention
13 has to be paid to public communication as to the actual
14 science. Maybe not the same dollars, but attention and
15 resources do have to be committed.

16 So with that, Dr. Kyle.

17 ADVISORY PANEL MEMBER KYLE: Thank you.

18 I have two comments, one related to this, and
19 that is you can wait till the end to figure out the
20 communication, which I think is what everyone is saying.
21 And I think it's also not just how you communicate the
22 science, but doing the science in a way that can be
23 communicated.

24 And so when you're doing like things in different
25 places, give it the same name, don't make people learn the

1 concept -- the tame concept with five -- with five
2 different names in your document. Take apart the pieces
3 in ways that you can draw a picture of, you know. Like
4 this is the part about the stuff coming into your mouth.
5 You know, this is that part. So -- and don't put it
6 together in ways that may be good for some analytic
7 process, but are totally incomprehensible to people.

8 You know, I've -- and I've discussed that before,
9 so, you know, I totally agree with this. I do a lot of
10 work in this area. Of course, I'm a proponent of it. But
11 I think in this case, it's more than the communication at
12 the end. I the think there's part of this that needs to
13 be reconceptualized about what are the understandable
14 components of this that we can give names to, draw
15 pictures of, and then use in a consistent and not obscure
16 way. So that's my one comment -- first comment.

17 My second comment is maybe at odds with everyone
18 else up here. But, you know, this might be a risk
19 assessment thing. You know, I do not worship as much at
20 the shrine of risk assessment as many of my colleagues.
21 And that's well known.

22 (Laughter.)

23 ADVISORY PANEL MEMBER KYLE: And I think of it a
24 little more as a children's health question. And a
25 principle in children's environmental health is when

1 you're designing environments for children, you want to
2 use things that you know about -- materials that you know
3 about and that you know are safe. It's just a fundamental
4 principle.

5 If you're building a day care center, you know,
6 you want to build things out of some material that is
7 characterized, and known, and with coatings, and so on
8 that you know what they are, so you're not walking in
9 saying, gee, I have no idea what's in here. I wonder if
10 it's going to hurt the kids.

11 And I would like to also assess this in light of
12 that. You know, we're using an uncharacterized -- or not
13 previously characterized material, or not very well
14 characterized whose composition also may change over time
15 at the source of origin as well as in the environment, and
16 that can have a lot of toxic components. And it just --
17 you know, I think there's some point where you say does
18 that make sense in a children's environment that you're
19 deliberately creating?

20 And I'm not thinking it does, you know, the more
21 I hear about this. But I think that's an additional
22 consideration besides how you do a risk assessment on all
23 of this. That's my opinion.

24 And thank you.

25 CHAIRPERSON BALMES: Dr. Sheldon.

1 ADVISORY PANEL MEMBER SHELDON: Yeah. I'm -- I
2 had thought about saying this before, but once people came
3 up and said, you know, 95th, 95th, 95th percentile, you
4 can get to really extreme exposures. Have you thought
5 about probabilistic exposure models.

6 Five years ago when I was at EPA, they were
7 making those models much, much more user friendly and
8 rapid. I mean, at -- five years ago we were able to do
9 100 chemicals like in -- you know, in less than a month.
10 And I think it might be -- you know, you might look into
11 it, see what's there, see if it's practical, because that
12 sort of eliminates some of the issues of having to look at
13 extreme values.

14 The other -- you know, my modeling friends are
15 going to think I've been converted and taken up their
16 mantra, but, you know, it does two other things. It
17 allows you to understand what are the factors that are
18 causing the highest exposures and it also gives you
19 information on what is the greatest uncertainty --
20 important uncertainties in your model. So it might be
21 provide, you know, both a more reasonable way to estimate
22 it and some information on if there are high exposures,
23 what are the risk mitigation methods that you can take?

24 So, you know, I -- and they're not as -- at least
25 five years ago, I don't they were as cumbersome as they

1 were to begin with, where it took five years to do one
2 chemical.

3 CHAIRPERSON BALMES: Tom, go ahead.

4 ADVISORY PANEL MEMBER MCKONE: Yes. I just want
5 to add to that comment. I should say I did a lot of
6 promotion of probabilistic --

7 ADVISORY PANEL MEMBER SHELDON: Yes.

8 ADVISORY PANEL MEMBER MCKONE: -- uncertainty
9 analysis. And I would say it's a good idea, but I want to
10 focus one of the things Dr. Sheldon said, which is if
11 you're hesitant and don't have the resources to do a full
12 blown uncertainty variability analysis, one of the most
13 important things to do is to look at your assessment of
14 exposure and just flag things that are uncertain and
15 important.

16 For example, dermal absorption, you know, it's --
17 that's an uncertain factor and it might be important,
18 right? I mean, it drives the whole dermal uptake, the
19 assumption that roughly 100 percent of what's loaded on
20 the skin -- the chemical, 100 percent of the chemical
21 loaded on the skin in the soil goes through. That's key.
22 And so you don't just say that was our assumption. You
23 say it in one place that's our assumption. Later on you
24 say, when we're comparing these, this is what drives --
25 you know, this is the uncertainty. And if we knew more

1 about it, this could go up and down a lot.

2 And that saves you. I mean, you can do that
3 exercise, and it saves you having to buy all the software
4 and do all of this really convoluted stuff. And I
5 actually think, having done a lot of probabilistic
6 assessments, in the end, what you're really trying to say,
7 you don't want to show somebody these smeared out curves
8 with all this uncertainty and variability. You want to
9 say boom, boom, boom, this is important and we don't know
10 it well, so we assumed it's this. And if it changes,
11 that's going to change some of our conclusions.

12 I just think that's a more effective way of doing
13 that sort of thing. But it is critical, I think, to flag
14 things that are drivers in the final analysis.

15 CHAIRPERSON BALMES: Any other comments?

16 So, I would just agree, as Tom already stated,
17 that the factors that you have listed here in terms of
18 prioritization, you know, all make sense. And it's just a
19 question of how you deal with those chemicals that don't
20 meet all these criteria. And I think you've gotten good
21 suggestions from people who know, you know, more about
22 risk assessment than I do.

23 I also don't worship at the shrine of risk
24 assessment. I actually find it too based on assumptions
25 rather than empiric data. So I just have a conceptual

1 problem with it, but I know you have to do it.

2 So, Dr. Bennett.

3 ADVISORY PANEL MEMBER BENNETT: I just had one
4 other really practical consideration on your factors to
5 prioritize chemicals. I mean, when you're looking at
6 these and you have some on the borderline and the standard
7 is \$300 or the standard is \$10, that also might be a
8 factor that would go into your decision-making process.

9 (Laughter.)

10 CHAIRPERSON BALMES: And then you could save
11 resources to devote to public communication.

12 ADVISORY PANEL MEMBER BENNETT: Okay. Maybe not.
13 You're right.

14 (Laughter.)

15 (Discussion off the record.)

16 CHAIRPERSON BALMES: I think -- yeah, I think
17 we're probably ready to quit with that little outburst.

18 DIRECTOR ZEISE: Should we wrap it up.

19 CHAIRPERSON BALMES: Yeah. So I'm going to turn
20 it over to Dr. Zeise for final comments.

21 DIRECTOR ZEISE: Well, I really have to thank the
22 Panel for all of the absolute fabulous late -- including
23 the late Friday input. It's been --

24 (Laughter.)

25 DIRECTOR ZEISE: It's been -- we -- you've given

1 us a lot to think about. You've given us a lot to
2 actually move forward and take into consideration. So we
3 really appreciate all the great input.

4 And also, the audience and those both in the room
5 and on the web for the very helpful comments. And then
6 finally, of course, I want to thank the people from the
7 Lawrence Berkeley National Lab and the OEHHA staff for
8 just doing such fabulous work.

9 So thank you all. And we'll call it a day.

10 Thank you.

11 CHAIRPERSON BALMES: I'll take the Chair's
12 prerogative to have the last word. I forgot to thank all
13 of the OEHHA staff and collaborators, sometimes
14 contractors, and the public for their input. It's a lot
15 of good work and a lot of fruitful thought.

16 Thank you.

17 (Thereupon the Synthetic Turf Scientific
18 Advisory Panel Meeting adjourned at 4:04 p.m.)

19

20

21

22

23

24

25

1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the
5 foregoing OEHHA Synthetic Turf Scientific Advisory Panel
6 meeting was reported in shorthand by me, James F. Peters,
7 a Certified Shorthand Reporter of the State of California,
8 and thereafter transcribed under my direction, by
9 computer-assisted transcription.

10 I further certify that I am not of counsel or
11 attorney for any of the parties to said meeting nor in any
12 way interested in the outcome of said meeting.

13 IN WITNESS WHEREOF, I have hereunto set my hand
14 this 11th day of June, 2019.

15
16
17
18 A handwritten signature in black ink, appearing to read "James F. Peters". The signature is written in a cursive style with a large initial "J" and "P".

19
20
21 JAMES F. PETERS, CSR
22 Certified Shorthand Reporter
23 License No. 10063
24
25