

MEETING  
STATE OF CALIFORNIA  
ENVIRONMENTAL PROTECTION AGENCY  
AIR RESOURCES BOARD  
SCIENTIFIC REVIEW PANEL  
ON TOXIC AIR CONTAMINANTS

ZOOM PLATFORM

FRIDAY, JUNE 16, 2023

9:31 A.M.

JAMES F. PETERS, CSR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

APPEARANCES

PANEL MEMBERS:

Cort Anastasio, PhD, Chairperson

Ahmad Besaratinia, PhD

Paul Blanc, MD

S. Katharine Hammond, PhD

Joseph R. Landolph, Jr., PhD

Karen Messer, PhD

Beate R. Ritz, MD, PhD, MPH

REPRESENTING THE AIR RESOURCES BOARD:

Arash Mohegh, PhD, Health and Ecosystems Assessment  
Section, Health and Exposure Assessment Branch, Research  
Division

Brian Moore, PhD, Manager, Community Planning Section,  
Community Planning Branch, Office of Community Air  
Protection

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD  
ASSESSMENT:

Vince Cogliano, PhD, Deputy Director, Division of  
Scientific Programs

Heather Bolstad, PhD, Air and Climate Epidemiology  
Section, Community and Environmental Epidemiology Research  
Branch

Daryn Dodge, PhD, Air Toxicology and Risk Assessment  
Section, Air and Site Assessment and Climate Indicators  
Branch, Division of Scientific Programs

Kannan Krishnan, PhD, Chief, Air and Site Assessment and  
Climate Indicators Branch, Division of Scientific Programs

APPEARANCES CONTINUED

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD  
ASSESSMENT:

Moira Sullivan, MS, Air Toxicology and Risk Assessment  
Section, Air and Site Assessment and Climate Indicators  
Branch, Division of Scientific Programs

ALSO PRESENT:

Linus Farias, California Council for Environmental and  
Economic Balance

INDEX

PAGE

1. Welcome and Introductions 1
2. Review of Trimethylbenzenes Reference Exposure Levels (RELs) - Technical Support Document for the Derivation of Noncancer RELs.

Office of Environmental Health Hazard Assessment (OEHHA) staff will present a draft document summarizing the development of non-cancer acute, 8-hour, and chronic inhalation RELs for Trimethylbenzenes (TMBs). RELs are airborne concentrations of a chemical that are not anticipated to result in adverse non-cancer health effects for specified exposure durations in the general population, including sensitive subpopulations. OEHHA is required to develop guidelines for conducting health risk assessments under the Air Toxics Hot Spots Program (Health and Safety Code Section 44360 (b)(2)). In response to this statutory requirement, OEHHA developed draft RELs for TMBs which were posted on January 27, 2023, commencing a 45-day public review period during which two public workshops were held. No public comments were received. More information regarding the Document can be found at this webpage. 6

3. Review of Updated Cancer Inhalation Unit Risk Factor (IUR) for Cobalt Sulphate Heptahydrate and Water-Soluble Cobalt Compounds

OEHHA staff will present a draft document that corrects the cancer inhalation unit risk factors (IUR) for cobalt sulfate heptahydrate and water-soluble cobalt compounds. Cancer IURs are used to estimate lifetime cancer risks associated with inhalation exposure to a carcinogen. For cobalt sulfate heptahydrate and water-soluble cobalt compounds, OEHHA corrected and updated the conversion factor used to normalize for the content of cobalt in cobalt sulfate heptahydrate, and corrected an error in the final derivation of the current IUR value published in 2020. The draft was posted on May 5, commencing a 30-day public review period which included two public

INDEX CONTINUED

PAGE

- workshops. More information regarding the draft can be found via [This Link](#). 57
4. Informational Item from OEHHA on the Expedited Development of Health Guidance Values
- There is an opportunity to expedite the development of health guidance values by leveraging the work of other OEHHA programs and authoritative agencies. As a follow up to OEHHA's presentations to the CARB Scientific Review Panel (SRP) on July 9 and October 9, 2020, OEHHA staff will give an overview of a possible expedited process for developing health guidance values. The SRP will discuss the item and provide their thoughts and input regarding the concept and process. 68
5. Informational Item from OEHHA on the Recent Release of Draft Updated Cancer Inhalation Unit Risk Factor for Ethylene Oxide
- OEHHA recently released the draft updated cancer inhalation unit risk factor (IUR) for ethylene oxide for public review. The updated IUR for ethylene oxide is based on current evidence including human epidemiological studies. The current value is based on animal studies and was developed in 1987 (when OEHHA's predecessor group was part of the California Department of Health Services). The draft was posted on April 7, 2023 for public comments, which included workshops in Southern and Northern California (May 5 & May 16). OEHHA staff will give a preview to the Panel on this IUR update. The Panel will not formally review the IUR for ethylene oxide at this meeting but will do so at a future meeting. 84
6. Informational Update on the Community Air Protection Program.
- The California Air Resources Board (CARB) staff from the Office of Community Air Protection (OCAP) will update the Panel on current activities, focusing on this year's Annual Update to the Board and the update process for the Statewide Strategy,

INDEX CONTINUED

PAGE

also known as the Program Blueprint. In response to Assembly Bill (AB) 617 (C. Garcia, Chapter 136, Statutes of 2017), GARB established the Community Air Protection Program (CAPP or Program). The Program's focus is to reduce exposure in communities most impacted by air pollution. Communities around the State are working together to develop and implement new strategies to measure air pollution and reduce health impacts. The Panel is one of several groups being consulted about the implementation of the program. For more information on the Community Air Protection Program, please refer to their website. The panel accepts and encourages early submission of written comments on any agenda items (as authorized by Health & Safety Code, §§ 39660, subd. (c)(3), 39661 subd.(b)). For Item 6 only, the panel will accept both oral and written public comments. 98

7. Consideration of administrative matters.

The Panel may discuss various administrative matters and scheduling of future meetings. 127

Adjournment 128

Reporter's Certificate 129

PROCEEDINGS

1  
2 CHAIRPERSON ANASTASIO: Okay. Good morning,  
3 everyone. Welcome to the meeting of the Scientific Review  
4 Panel. We have several items on today's agenda that we'll  
5 talk about in a minute. First, I'd like to welcome  
6 everyone to the webcast and the meeting will be recorded.  
7 Arash, I assume you're going to take care of that.

8 (Thereupon a slide presentation).

9 CHAIRPERSON ANASTASIO: We're going to start with  
10 Panel introductions. So I'm pleased to welcome our newest  
11 member, Dr. Pamela Lein from UC Davis who's the  
12 pathologist representative on the panel. Unfortunately,  
13 she's sick today, so won't be able to join us.

14 I'm also happy to say that I have been  
15 reappointed as the atmospheric science representative and  
16 chair of the Panel. So it's nice to be back. And then  
17 finally, Ahmad Besaratinia has also been reappointed as  
18 the oncologist representative to the Panel. So Ahmad,  
19 thank you for your continued service.

20 I'm going to go around and I'll just have each  
21 panel member briefly introduce themselves.

22 Beate, do you want to start.

23 PANEL MEMBER RITZ: Yes. So I'm Beate Ritz  
24 professor of epidemiology and environmental health from  
25 the Fielding School of Public Health at UCLA. And I do a

1 lot of research on air pollution and health outcomes as  
2 well as pesticides and health outcomes in the state of  
3 California.

4 CHAIRPERSON ANASTASIO: Thank you, Beate.  
5 Paul.

6 PANEL MEMBER BLANC: I'm Paul Blanc. I'm  
7 professor of medicine at the University of California San  
8 Francisco and Chief of the Division of Occupational and  
9 Environmental Climate Medicine there. And my research  
10 focuses on -- largely on occupational inhalation  
11 exposures.

12 CHAIRPERSON ANASTASIO: Thank you, Paul.  
13 Karen.

14 PANEL MEMBER MESSER: Yes. Good morning. I'm  
15 Karen Messer from UC San Diego. I'm a professor of  
16 biostatistics in the Herbert Wertheim School of Public  
17 Health. I'm the Director of Biostatistics at Moores UCSD  
18 Cancer Center. And I have a lot of expertise in causal  
19 inference. So in methods of assessing for and correcting  
20 for bias that can arise in observational data.

21 CHAIRPERSON ANASTASIO: Thank you, Karen.  
22 Ahmad, do you want to give yourself a fuller  
23 introduction than what I did.

24 PANEL MEMBER BESARATINIA: Thank you, Cort.  
25 Happy to be reappointed and continue to work and on



1 this -- serve on this very important panel. I'm Ahmad  
2 Besaratinia. I'm a professor at the Department of  
3 Population and Public Health Sciences at University of  
4 Southern California here in Los Angeles. I'm a cancer  
5 biologist and my background is in nuclear epidemiology,  
6 genetic toxicology, and public health.

7 CHAIRPERSON ANASTASIO: Thank you, Ahmad.  
8 Kathy.

9 PANEL MEMBER HAMMOND: I'm Katharine Hammond from  
10 the University of California, Berkeley, School of Public  
11 Health. And I'm a Professor Emerita. My research is in  
12 occupational and environmental health. I've done a lot of  
13 work in the Central Valley, especially around Fresno and  
14 air pollution and children's health, and occupationally  
15 studied the various effects of the work environment on  
16 workers in the light metals industry, and automobile  
17 manufacturing, automobile repair, semiconductor industry,  
18 the railroads and diesel exhaust, which was my first  
19 encounter of the Science Review Panel. And I'm happy to  
20 be here and good morning to everybody.

21 CHAIRPERSON ANASTASIO: Thank you, Kathy. I  
22 didn't realize you had retired. When did that happen?

23 PANEL MEMBER HAMMOND: Yeah. July 1st, that's on  
24 the books. But the people who know me say it's hard to  
25 tell.

1 (Laughter).

2 CHAIRPERSON ANASTASIO: Well, congratulations.

3 PANEL MEMBER HAMMOND: Thank you.

4 CHAIRPERSON ANASTASIO: All right. Joe.

5 PANEL MEMBER LANDOLPH: Hi. Good morning. I'm  
6 Joe Landolph. I work at the University of Southern  
7 California. There I'm a member with tenure of the  
8 Department of Molecular Microbiology and Immunology, and  
9 Department of Pathology. And I'm a member of the USC  
10 Norris Comprehensive Cancer Center. My training and work  
11 has been in the area of chemical toxicity and chemical  
12 carcinogenesis. We've specialized in working early in my  
13 career polycyclic hydrocarbons.

14 And now we're working on nickel, arsenic, and  
15 chromium. And we've just shown that nickel is mutagenic  
16 contrary to what people would have thought. So it's a  
17 mixed agent and it does things by epigenetic mechanisms as  
18 Max Costa has shown and also by genotoxic mechanisms as we  
19 have shown and continue to work on. And it causes  
20 amplification of genes, deletion of genes, and many base  
21 substitution mutations.

22 And I serve on this Panel and also for the  
23 Carcinogen Identification Committee panel reporting to  
24 OEHHA.

25 CHAIRPERSON ANASTASIO: Great. Thanks very much,

1 Joe.

2           And I'm Cort Anastasio. I'm a professor at UC  
3 Davis and an atmospheric chemist and the Chair of the  
4 Panel.

5           Okay. If we could go to the next slide, Arash.

6                           --o0o--

7           CHAIRPERSON ANASTASIO: We have five items on the  
8 agenda today. First, we're going to be talking about the  
9 new reference exposure level document for  
10 trimethylbenzenes. Then we're going to go to a -- an  
11 update or a correction for the cancer inhalation unit risk  
12 factor for cobalt sulfate, which we -- there was a cancer  
13 inhalation unit risk factor document that we examined I  
14 think it was 2019. So we're going to look at an update to  
15 that.

16                           --o0o--

17           CHAIRPERSON ANASTASIO: We'll then have an  
18 informational item on how to speed up the development of  
19 health guidance values. We'll then move to an  
20 informational item on a recent release of the draft  
21 updated cancer inhalation unit risk factor ethylene oxide.  
22 And then Brian is going to give us an informational update  
23 on the Community Air Protection Program. And then that  
24 will be the bulk of the meeting and we'll just have a few  
25 minor items at the end of that.

1                   --o0o--

2                   CHAIRPERSON ANASTASIO: Okay. For any of the  
3 items, you can submit written comments. And to do that,  
4 the -- Arash has the link up here and the QR code, so you  
5 can submit written comments through either of those two  
6 methods. And then for the final item on our agenda the  
7 community air protection item, we will be taking oral  
8 public comments for that and we'll have instructions about  
9 how to do that when we get to that item. So that will be  
10 our last item and we'll take public comments on that --  
11 oral comments at the end.

12                   Okay. We're going to move now then to our first  
13 major item which is the trimethylbenzene REL. So Office  
14 of Environmental Health Hazard Assessment, OEHHA, staff  
15 will present a draft document that summarizes the  
16 development of non-cancer acute 8-hour and chronic  
17 inhalation RELS for trimethylbenzenes or TMBs. To remind  
18 you, RELs are airborne concentrations of a chemical that  
19 are not anticipated to result in adverse non-cancer health  
20 effects for specified exposure durations in the general  
21 population, including sensitive subpopulations.

22                   OEHHA is required to develop guidelines for  
23 conducting health risk assessments under the Air Toxics  
24 Hot Spots Program, which is Health and Safety Code section  
25 44360(b)(2). And in response to the statutory

1 requirement, OEHHA developed draft RELs for TMBs, which  
2 were posted on January 27th, 2023, which started a 45-day  
3 public review period during which two public workshops  
4 were held. And there were no public comments received  
5 during the public comment period.

6 So I'm now happy to introduce Moira Sullivan,  
7 who's a toxicologist just from OEHHA's Air and Toxicology  
8 Risk Assessment Section for her to give us the TMB REL  
9 presentation.

10 Thank you, Moira.

11 (Thereupon a slide presentation).

12 MS. SULLIVAN: Thank you, Cort. Good morning,  
13 all. I'm going to share my screen here.

14 Can everybody see this yet?

15 Can everyone see that?

16 CHAIRPERSON ANASTASIO: Not yet.

17 PANEL MEMBER LANDOLPH: No.

18 MS. SULLIVAN: That's not good. Let me escape.  
19 Hang on one second.

20 I'll try this again.

21 For some reason, it's not showing up. That's  
22 what I was afraid of.

23 Cort, it's not showing up for some reason now.  
24 It did on the test, so...

25 CHAIRPERSON ANASTASIO: Does someone else have

1 your slides?

2 MS. SULLIVAN: Yeah. Yes. Yes, they do, but let  
3 me figure out why this isn't working.

4 CHAIRPERSON ANASTASIO: Oh, wait. I see Arash  
5 has started to share.

6 MS. SULLIVAN: Thanks, Arash. I'm having trouble  
7 with this again.

8 DR. MOHEGH: No problem. Is it -- does it look  
9 good?

10 CHAIRPERSON ANASTASIO: Yeah, it looks good.

11 MS. SULLIVAN: It does. It does. Thank you.

12 Okay. Good morning, all. My name is Moira  
13 Sullivan. I'm a toxicologist in Kannan Krishnan's  
14 section. And I'll be presenting this morning on the  
15 trimethylbenzene reference exposure level technical  
16 support document for the derivation of cancer RELs. So  
17 let me just dive right in here.

18 Next slide, please.

19 --o0o--

20 MS. SULLIVAN: Trimethylbenzenes exist in three  
21 isomeric forms, in the 1,2,3-, 1,2,4-, and 1,3,5-  
22 trimethylbenzene.

23 Next slide, please.

24 --o0o--

25 MS. SULLIVAN: The molecular formula is C<sub>9</sub>H<sub>12</sub>.

1 These are volatile aromatic hydrocarbons. They're clear  
2 colorless liquids at room temperature nearly insoluble in  
3 water. They have low vapor pressures and high boiling  
4 points.

5 Next slide, please.

6 --o0o--

7 MS. SULLIVAN: TMBs occur naturally in petroleum  
8 deposits and are common components of petroleum refinery  
9 distillation fractions such as white spirit, high flash  
10 point naphtha, and gasoline. They're also emitted by  
11 steel-making facilities and coal-fired plants. Other  
12 emission sources include construction, cement, paving  
13 mixtures, asphalt, and metal coatings. TMBs are found in  
14 printing inks, paint solvents, hydraulic fracturing  
15 fluids, and as pesticide additives. And all three of the  
16 TMB isomers are found as constituents of biogas. And the  
17 source for that are municipal landfills.

18 Next slide, please.

19 --o0o--

20 MS. SULLIVAN: Trimethylbenzenes aggregated and  
21 the 1,2,4-TMB stationary point source emissions are  
22 reportable to the California Air Resources Board under the  
23 Hot Spots Program for 2020, which is the latest year for  
24 which we have data, approximately 1,141 pounds of  
25 trimethylbenzenes from 34 facilities were reported, and

1 55,000 pounds of 1,2,4-TMB from 485 facilities. This does  
2 not necessarily represent every source of TMB  
3 emissions in the State, only those applicable to AB 2588,  
4 which is the Hot Spots Information and Assessment Act.

5 --o0o--

6 MS. SULLIVAN: In humans, TMBs are readily  
7 absorbed via inhalation and have high respiratory uptake.  
8 Based on their blood air and oil air partition  
9 coefficients accumulation in adipose tissue is expected.  
10 In both animals and humans the three TMB isomers  
11 demonstrate similar metabolic profiles. Currently, it's  
12 not known which cytochrome P450 isozyme is most  
13 responsible for TMB metabolism.

14 --o0o--

15 MS. SULLIVAN: All three TMB isomers metabolize  
16 primarily to dimethylbenzoic and hippuric acids. In  
17 humans exhalation of the unchanged parent compound is an  
18 important route of elimination. Urinary excretion of  
19 unchanged TMBs is very low. In human toxicokinetic  
20 studies, following a 4-hour exposure to 25 part per  
21 million 1,3,5, the majority of the absorbed dose was  
22 excreted in the first 50 hours post-exposure. However,  
23 urinary levels of metabolites were still detected 160  
24 hours post-exposure.

25 --o0o--



1 MS. SULLIVAN: There's a paucity of viable data  
2 for an acute REFERENCE exposure level. Human exposure  
3 studies consist of -- only of chamber studies, largely  
4 conducted in healthy adults males, that evaluated sensory  
5 irritation, 25 part per million for up to four hours. No  
6 evidence of respiratory irritation, CNS toxicity, or  
7 toxicity was found in these human exposure studies. The  
8 data were self-reported. Effects on the nervous system  
9 are seen in acute animal studies and these form the basis  
10 of the acute TMB REL.

11 --o0o--

12 MS. SULLIVAN: So acute exposure to TMBs causes  
13 primarily respiratory and neurotoxic effects in animals.  
14 And the exposure duration in most of these studies was  
15 four to six hours. There is one animal inhalation  
16 developmental study with exposure uniquely to TMBs that  
17 found significant decreases of maternal body weight and  
18 food consumption at concentrations of 300 and 600 part per  
19 million 1,3,5- and 1,2,4- respectively. Significant  
20 dose-dependent decreases were also seen in fetal body  
21 weights at 600 hundred and 900 part per million 1,2,4-,  
22 and at 600 and 1,2000 part per million 1,3,5-.

23 The Saillenfait et al. developmental study was  
24 not however used for the acute REL, because neurotoxicity  
25 proved to be a more sensitive endpoint and Saillenfait did

1 not evaluate neurological or behavioral endpoints in their  
2 studies.

3 --o0o--

4 MS. SULLIVAN: So the McKee et al.  
5 neurobehavioral inhalation rat study was what was chosen  
6 and -- for the acute REL. It was conducted on three  
7 consecutive days up to eight hours a day. Rats were  
8 exposed to 0, 125, 1,250, or 5,000 milligrams per cubic  
9 meter of 1,2,4-TMB. And they were tested after each  
10 exposure. Significant increases or latencies were seen in  
11 a number of neurobehavioral tests after a single 8-hour  
12 exposure to 5,000 milligrams per cubic meter. Significant  
13 can latencies have been observed in a number of other  
14 acute animal studies following exposure to TMBs.

15 --o0o--

16 MS. SULLIVAN: So this slide shows you  
17 the Saillenfait -- this slide shows you the dose response  
18 data for the -- following a single 8-hour inhalation  
19 exposure. And you can see it's concentration dependent.  
20 And this is for latency greater than six seconds.

21 Next slide, please.

22 --o0o--

23 MS. SULLIVAN: And here is the graphical output  
24 from the benchmark dose program showing concentration on  
25 the X axis and pain response or latency greater than

1 its -- I'm sorry, this is the pain response. It's the  
2 next -- that's the chronic REL. Latency greater than six  
3 seconds, which is visual discrimination on the Y axis.

4 Next slide, please.

5 --o0o--

6 MS. SULLIVAN: So the acute REL is intended to  
7 protect against infrequent 1-hour exposures. The  
8 benchmark concentration is one standard deviation change  
9 from the control mean. The lower 95 percent confidence  
10 limit on the benchmark concentration, one standard  
11 deviation from the control mean is the BMCL, 1 SD. So the  
12 point of departure is calculated at 709 milligrams per  
13 cubic meter. This was adjusted from the 8-hour exposure  
14 in the study to a 1-hour exposure. That gives us 1,417  
15 milligrams per cubic meter. Then a human equivalent  
16 concentration adjustment was applied, and that accounts  
17 for differences in blood and air concentration in rats  
18 versus humans. And in this case, the regional gas dose  
19 ratio was used to derive the human equivalent  
20 concentration for systemic effects.

21 Next slide.

22 --o0o--

23 MS. SULLIVAN: The interspecies uncertainty  
24 factor as a total of six. There was a toxicokinetic  
25 uncertainty factor of 2 and that's from the technical

1 support document for OEHHA when you're using a HEC  
2 adjustment. The toxicodynamic factor was square root of  
3 10 and that was due to the lack of toxicodynamic data on  
4 the interspecies differences.

5 Next slide.

6 Whoops, we lost that.

7 --o0o--

8 MS. SULLIVAN: Great. Next slide, please.

9 So the intraspecies uncertainty factor was a  
10 total of 100. The toxicokinetic uncertainty factor was  
11 10, because there's no information on pharmacokinetic  
12 differences for TMBs among adults, infants, and children.  
13 And the toxicodynamic uncertainty factor is 10, because  
14 TMBs are neurotoxicants and children are potentially more  
15 sensitive than adults. So that's a cumulative uncertainty  
16 factor of 600. And the final value for the acute TMB REL  
17 is 2,400 micrograms per cubic meter, or 490 part per  
18 billion.

19 Next slide, please.

20 --o0o--

21 MS. SULLIVAN: Okay. So moving on to chronic  
22 subchronic effects. There were no controlled -- human  
23 controlled chronic or subchronic studies or any  
24 child-specific toxicity data that was identified in the  
25 literature. No occupational exposure studies that had

1 exposure uniquely to TMBs. Occupational studies in  
2 workers that are exposed to paint thinners that can  
3 contain as much as 80 percent TMBs do report central  
4 nervous system effects including neuropsychological  
5 changes, memory deficits, reduced motor  
6 speed/coordination, as well as anemia and bronchitis. And  
7 in biomonitoring studies of factor workers exposed to  
8 solvents containing TMBs, vestibular disorders have also  
9 been reported.

10 --o0o--

11 MS. SULLIVAN: So for the animal data, there are  
12 no lifetime chronic animal studies for any of the three  
13 TMBs isomers. Subchronic animal studies show largely  
14 respiratory and neurological effects. Subchronic  
15 inhalation studies in rodents also show organ effects in  
16 liver and kidney, hematological, and clinical chemistry  
17 effects. The most sensitive endpoint is neurotoxicity.

18 Thank you.

19 Next slide.

20 --o0o--

21 MS. SULLIVAN: So the derivation for the chronic  
22 reference exposure level that use the Korsak and Rydzynski  
23 neurotoxic inhalation study, and the concentration --  
24 this -- in the study, concentration-dependent disturbances  
25 and pain sensitivity in motor behaviors were seen in male

1 rats following a 6-hour per day, 5-day per day -- per  
2 week, 3-month exposure to 0, 25, 100, or 250 part per  
3 million TMBs. Significant effects on pain sensitivity  
4 were seen at equal to or greater than 25 part per million  
5 1,2,3-, and greater than or equal to 100 part per million  
6 1,2,4-TMB. Significant effects on rotarod performance,  
7 which measure neuromuscular function were also seen at  
8 greater than or equal to 100 part per million and at 250.  
9 Separately, 1,3,5-TMB has also been found to result in  
10 behavioral disturbances in a related study by the same  
11 authors.

12 --o0o--

13 MS. SULLIVAN: Okay. So the chronic REL  
14 derivation, here it shows the data sets that we used to  
15 develop the REL. And you could see there's the 1,2,4- and  
16 the 1,2,3-TMB isomer, and that the animals were more  
17 sensitive to the 1,2,3- at 25 part per million than they  
18 were to the 1,2,4-.

19 Next slide, please.

20 --o0o--

21 MS. SULLIVAN: And here's the graph showing the  
22 concentration on the X axis and the pain response, the  
23 paw-lick latency on the Y axis. And you can see the  
24 graphical output from the benchmark dose program.

25 Next slide, please.

1                   --o0o--

2           MS. SULLIVAN: The 1,2,3-TMB isomer yields the  
3 lowest point of departure. The benchmark concentration  
4 again is one standard deviation change from the control  
5 mean, which is 86 milligrams per cubic meter. The lower  
6 95 percent confidence limit brings it down to 47  
7 milligrams per cubic meter. So that's the point of  
8 departure. This 6-day -- hour per day, 5-day per week  
9 exposure was adjusted for a continuous 24-hour exposure,  
10 which gave an adjusted BMCL one standard deviation of 8.  
11 And then the human equivalent concentration was Calculated  
12 for systemic effects.

13                   Next slide, please.

14                   --o0o--

15           MS. SULLIVAN: So the chronic REL is intended to  
16 protect over a lifetime including sensitive  
17 subpopulations. A subchronic uncertainty factor was  
18 added, which is the square root of 10, because the 13-week  
19 study is less than 12 percent of a rodent's lifetime. The  
20 interspecies uncertainty factor was 6. Again, because the  
21 HEC adjustment was used the toxicokinetic uncertainty  
22 factor was 2 and the toxicodynamic uncertainty factor was  
23 the square root of 10 for lack of toxicodynamic data.

24                   Next slide.

25                   --o0o--

1 MS. SULLIVAN: The intraspecies uncertainty  
2 factor was 100, again 10 for toxicokinetic and 10 for  
3 toxicodynamic due to know information on pharmacokinetic  
4 differences and because TMBs again are neurotoxicants in  
5 children are potentially more sensitive. So the  
6 cumulative uncertainty factor in this case was 2,000 and  
7 that led to a chronic TMB REL of 4 micrograms per cubic  
8 meter.

9 Next slide.

10 --o0o--

11 MS. SULLIVAN: The 8-hour REL derivation is based  
12 on the same animal study as the chronic REL. It uses the  
13 same point of departure, which is 47 milligrams per cubic  
14 meter of 1,2,3-TMB. And the only difference is the time  
15 adjustment. It's adjusted for an 8-hour workday and to  
16 represent the breathing rate of workers. All the  
17 uncertainty factors are the same as we found in the  
18 chronic REL. And the 8-hour TMB REL is 8 micrograms per  
19 cubic meter.

20 Next slide.

21 --o0o--

22 MS. SULLIVAN: So, in summary, these are the  
23 values for the TMB reference exposure level, acute,  
24 chronic, and 8-hour, 2,400, 4 micrograms and 8.

25 Next slide, please.



1                   --o0o--

2           MS. SULLIVAN:  There was a public comment period  
3 for six weeks from January 27th to March 13th.  And public  
4 workshops were held both in Southern and Northern  
5 California, and we did not receive any public comments on  
6 the draft TMB REL document.  And this concludes my  
7 presentation.  Thank you for listening.

8           CHAIRPERSON ANASTASIO:  Thank you very much,  
9 Moira.  So we have two panel leads for this item.  Joe  
10 Landolph and then Pam Lein.  Unfortunately, Pam is sick  
11 today, so she won't be joining us, but Joe is here  
12 fortunately.

13           So Joe, we'd like to start with you, please.

14           PANEL MEMBER LANDOLPH:  Yes.  First off, I wanted  
15 to congratulate Moira for doing such a thorough job, and  
16 Lauren Zeise, and the reviewers Daryn Dodge and John  
17 Budroe.  The document pretty polished and that's because  
18 you have talented and very experienced authors and  
19 reviewers contributing to this document.

20           And the preface was great.  It was appropriately  
21 short at half a page and it covered everything OEHHA is  
22 required to use to develop the guidelines.  The summaries  
23 summarized all the reference exposure levels for the three  
24 TMBs.  And it indicates the uses of TMB.  It has  
25 commercial usage for service coatings, paintings, printing

1 inks, cleaning fluids and hydraulic fracturing fluids.  
2 They're a component of petroleum refinery distillation  
3 fractions, such as gasoline, high flash points naphthas,  
4 and white spirit. They're also emitted by steel mining  
5 facilities and coal-fired power plants. And they're found  
6 as constituents of biogas, as Moira mentioned.

7           It's a great summary of the uses and occurrence  
8 of the TMB. The summary of the toxicology of the TMBs is  
9 also very good. Moira noted that exposure to TMBs causes  
10 adverse effects on the respiratory, hematologic, and CNS  
11 systems in animals and humans. This causes acute  
12 toxicity, including CNS effects and respiratory  
13 irritation.

14           The authors note that chronic effects include  
15 neuromuscular, pulmonary, hematologic, and other organ and  
16 tissue toxicity. And the author further noted that there  
17 were effects on the nervous system in acute animal studies  
18 and this forms the basis of the acute TMB RELs. It was a  
19 nice table on the physical properties, which I -- physical  
20 and chemical properties, which I always liked to see.

21           Occurrence and major uses. The author, Moira,  
22 covered these on these three TMBs very well in this  
23 section, and very concisely, which is appropriate, because  
24 it's a lot of data. The toxicokinetics was interesting,  
25 The 3 TMB isomers were metabolized in similar ways with

1 some differences. As they pointed -- Moira pointed out,  
2 we don't yet know the exact cytochrome P450 enzyme that  
3 does this. It's possible it's 2E1, which metabolizes  
4 benzene, but that's not been nailed down yet.

5 All three TMBs are metabolized by side-chain  
6 oxidation to alcohols and their aromatic  
7 carboxylic/mercapturic acid by hydroxylation that form  
8 phenols and are excreted glucuronides and sulfate esters.  
9 I'm going to skip some of this for time.

10 The toxicokinetic studies in humans were covered  
11 pretty thoroughly, by Moira. High respiratory uptake and  
12 accumulation of TMBs in adipose tissue is expected. The  
13 partition coefficients of blood and air -- water and air,  
14 and oil and air are -- were presented in Table 5. And a  
15 study of Japanese workers indicated that there was TLV of  
16 25 parts per million. And I'm not going to spend too much  
17 time on that.

18 The toxicokinetic studies in animals were also  
19 done. They've been studied by inhalation and by oral  
20 routes. And the author notes that the TMBs cross the  
21 blood-brain barrier following inhalation exposure in rats.  
22 And this is probably why you're getting neurotoxic  
23 symptoms as well. And there are slight -- some marked  
24 differences, the author points out, and the kinetics noted  
25 between the isomers of TMB, but I'm not going to dwell on

1 that for time.

2           The acute toxicity of trimethylbenzene was  
3 covered. And the statement was that there was little or  
4 no toxicity in studies in human subjects. Acute toxicity  
5 to infants and children. No TMB toxicity studies were  
6 found specifically to infants and/or children. Acute  
7 toxicity in animals. Most of the acute TMB studies in  
8 animals are inhalation according to the author of the  
9 document. Also, a few oral studies, acute exposure TMB  
10 causes primarily respiratory and neurotoxic effects. Some  
11 effects in toxicity, some differences in toxicity among a  
12 the three TMB isomers, but they're not huge or anything  
13 like that.

14           Parameters affected in treated animals include  
15 creatinine kinase, increased blood urea nitrogen  
16 decreased, and albumin decreased in male rats.  
17 Treatment-related effects included increased white blood  
18 cell counts with increases in neutrophils and lymphocytes,  
19 statistically significant increases in relative and  
20 absolute liver weights, and relative adrenal weights.

21           Morality studies. The acute 4-hour inhalation  
22 LC50 values of 18,000 milligrams per cubic meter, which is  
23 3,663 parts per million and 24,000 milligrams per cubic  
24 meter, which is 4,882 ppm were observed.

25           Chronic toxicity. There's not much information

1 on the chronic toxic effects of TMBs in humans overall.  
2 Neither human control studies nor child-specific toxicity  
3 data in the TMB scientific literature. Occupational  
4 studies, as Moira pointed out, suffer from lack of good  
5 exposure data and are confounded by exposure to multiple  
6 organic solvents. From German studies translated into  
7 English, CNS effects, including nervousness, anxiety,  
8 tension, anemia, and bronchitis were found in male workers  
9 exposed to several years to a paint thinner containing  
10 more than 50 percent 1,2,4-TMB, 30 percent 1,3,5-TMB, and  
11 a trace of 1,2,3-TMB.

12           Chronic effects of children. OEHHA couldn't  
13 locate any scientifically adequate subchronic or chronic  
14 TMB toxicities studies pertaining specifically to infants  
15 children.

16           Chronic toxicity to animals. No chronic animal  
17 toxicities were identified for any of the three TMB  
18 isomers. Table 13 provides -- nicely summarizes the  
19 adverse effects reported in subchronic TMB toxicity  
20 studies in animals.

21           Derivation of the reference exposure levels look  
22 straightforward. And these were done conscientiously and  
23 intelligently by Moira and a check by the reviewers. And  
24 I agree with all calculations there. I didn't see  
25 anything I disagreed with.

1           And the trimethylbenzene chronic reference  
2 exposure levels. The same thing, I agree with the  
3 calculations there. They're laid out pretty clearly. And  
4 I don't have anything to argue with about those. I accept  
5 all three of the calculations that they made. They look  
6 pretty similar to me, pretty conventional.

7           So I would congratulate Moira and the reviewers,  
8 and Chief, Lauren Zeise, for all the hard work that went  
9 into this document, writing it by Moira and reviewing it  
10 by Daryn Dodge and John Budroe. And I think it's a very  
11 good document. I'm fairly happy with it. Ordinarily most  
12 things that cross my desk get some red on it. As a  
13 professor, that's kind of reflexive, but I didn't see too  
14 much to argue with about this one. I was pretty happy  
15 with the product.

16           Thank you.

17           CHAIRPERSON ANASTASIO: Great. Thank you very  
18 much, Joe.

19           Sorry, Moira, did you want to say anything?

20           MS. SULLIVAN: Thank you very kindly, Dr.  
21 Landolph.

22           PANEL MEMBER LANDOLPH: My pleasure. Good  
23 product.

24           CHAIRPERSON ANASTASIO: Yeah. So I'm going to  
25 just go around now to the panel members one by one in the

1 order on my screen for any comments that people have.

2 Beate, I'll start with you.

3 PANEL MEMBER RITZ: Sorry. I had to find the  
4 button. I actually don't really have anything to add,  
5 except that I really enjoyed reading this document and  
6 that it's a little worrisome that it seems the facilities  
7 over time have been increasing who are putting this out  
8 there into the air. And it is a little worrisome that so  
9 many consumer proximity substances are actually  
10 contaminated with it. So very well done. Thank you.

11 MS. SULLIVAN: Thank you kindly.

12 CHAIRPERSON ANASTASIO: Thank you, Beate.

13 Paul.

14 PANEL MEMBER BLANC: Well, my first question is  
15 really for you, Cort. Will the Panel be receiving the  
16 written comments of the other lead who is not here today?

17 CHAIRPERSON ANASTASIO: I've asked Pam to email  
18 me the comments and I can definitely send that out to the  
19 entire panel, sure.

20 PANEL MEMBER BLANC: Because I don't remember  
21 experiencing before a document discussed where the other  
22 lead was not available at all to provided their input --

23 CHAIRPERSON ANASTASIO: Right.

24 PANEL MEMBER BLANC: -- so we have to rely on the  
25 lead.

1           So now my next technical question is do we have  
2 the PDF of the document itself, in addition to the slides  
3 available for reference to questions that I or the other  
4 Panel members may have? Is there a technical support  
5 person who has easily available?

6           CHAIRPERSON ANASTASIO: Sorry, Paul. Are you  
7 asking if Arash has the PDF of the document?

8           PANEL MEMBER BLANC: Right, in a form that he can  
9 screen share as needed.

10          CHAIRPERSON ANASTASIO: Arash, do you have that  
11 available? If not, I do.

12          DR. MOHEGH: I do, but not available right now,  
13 so if you can share that, that would be great, Cort.

14          CHAIRPERSON ANASTASIO: Sure.

15          PANEL MEMBER BLANC: So, Moira, one question  
16 from -- and these are going to come from my memory as I'm  
17 going through it. But the diagram that showed  
18 diagrammatically the chemical structures of the isomers, I  
19 just want to be sure that you're -- that you're following  
20 convention, because it seems not to be consistent in terms  
21 of where the positions are starting with 1, 2, and 3 or 1,  
22 3, and 4 or the symmetric. The symmetric makes sense to  
23 me. And -- oh, there was -- one of them was out of place  
24 in terms of the orientation of the figure, but I put --  
25 there could be a convention that I don't understand.



1 MS. SULLIVAN: I will certainly check that.  
2 Thank you.

3 PANEL MEMBER BLANC: If you know what I mean.

4 MS. SULLIVAN: I do.

5 PANEL MEMBER BLANC: I mean, if you could show it  
6 to the group, it will be clear what I'm asking about.

7 MS. SULLIVAN: I do. It looks like it's on  
8 page -- it's 1 -- line 172, Table 1.

9 CHAIRPERSON ANASTASIO: 172, got it.

10 PANEL MEMBER BLANC: Can you show that, Cort?

11 CHAIRPERSON ANASTASIO: Yeah, give me a second  
12 here.

13 MS. SULLIVAN: It's actually page 1 -- yeah. So  
14 I see what you're saying.

15 PANEL MEMBER BLANC: Yeah, it doesn't make sense  
16 to me.

17 MS. SULLIVAN: That the orientation isn't correct  
18 on the -- on the way it's put in to it?

19 PANEL MEMBER BLANC: On the -- yes, it has to be  
20 either the first or the second row. It doesn't make sense  
21 how that can be 1,2,3- and 1,2,4- because the two position  
22 is not the same.

23 MS. SULLIVAN: Got it.

24 PANEL MEMBER BLANC: Okay. And then on the -- I  
25 was also confused by the table that had the hot spot

1 releases, which had one listing for total trimethylbenzene  
2 and then for one of the isomers.

3 MS. SULLIVAN: Okay. And what specifically was  
4 confusing?

5 PANEL MEMBER BLANC: Confused me, is that the  
6 totals for the -- the values -- the weights for the total  
7 were less than the weights for the one isomer, for the  
8 1,2,4- isomer.

9 MS. SULLIVAN: Right. So this has actually come  
10 up with our in-house reviewers as well. And we did reach  
11 out to the California Air Resources Board in regards to  
12 this, and --

13 PANEL MEMBER BLANC: And?

14 MS. SULLIVAN: Yeah. And it's not entirely  
15 clear. It's not a mistake. It just has to do something  
16 with the reporting.

17 PANEL MEMBER BLANC: Well, I would clarify that  
18 then --

19 MS. SULLIVAN: Sure.

20 PANEL MEMBER BLANC: -- because if your people  
21 were confused by this and I was confused by this, right?

22 MS. SULLIVAN: Yes. Let me make a note of this.

23 PANEL MEMBER BLANC: I actually maybe wonder if  
24 it's in thousands of pounds on one and just in pounds on  
25 the other, but --

1 MS. SULLIVAN: Right.

2 PANEL MEMBER BLANC: -- but it can't possibly be  
3 less, you know, for the total.

4 MS. SULLIVAN: And so let's just look at this.  
5 So are you on Table 3a or 3b? Which one are you --

6 PANEL MEMBER BLANC: Well, 3b shows values which  
7 are -- which are higher than 3a, unless I'm reading it  
8 wrong. Well, maybe -- no, maybe not. I don't know.  
9 Yeah, the net 55 -- like the last row is net 55,839 --

10 MS. SULLIVAN: Right.

11 PANEL MEMBER BLANC: -- for the isomer and only  
12 1,41 for all combined.

13 MS. SULLIVAN: Yeah.

14 PANEL MEMBER BLANC: How is that possible?

15 MS. SULLIVAN: I think it's just the way it's  
16 reported. So they either report it as TMBs or they report  
17 the 1,2,4- isomer separately. And the aggregated TMBs are  
18 not exclusive to 1,2,4-, but they can include 1,2,4- or  
19 they cannot include 1,2,4-.

20 PANEL MEMBER BLANC: And then do they not report  
21 for the other three -- other two, I'm sorry?

22 MS. SULLIVAN: No, they do not. No. The  
23 program --

24 PANEL MEMBER BLANC: Well --

25 MS. SULLIVAN: -- only requires emissions

1 reporting either aggregated or for the 1,2,4- isomer  
2 specifically.

3 PANEL MEMBER BLANC: Then this is very, very  
4 misleading and I would either eliminate Table 3a and say  
5 you don't have good data for total, or, you know, reverse  
6 them in order and say these data are not reliable, because  
7 we don't know what they mean or -- or however you want to  
8 handle it, but I don't think --

9 MS. SULLIVAN: Okay.

10 PANEL MEMBER BLANC: -- I would leave it this  
11 way. That would be my recommendation, because your own  
12 people were confused. I was confused.

13 MS. SULLIVAN: Sure. Well, or I think it could  
14 at least -- it should come with a little bit more text and  
15 explanation.

16 PANEL MEMBER BLANC: Yeah. And then another  
17 thing that I think needs to be stated a little bit more  
18 explicitly is you allude to the fact that the test for  
19 trends were significant.

20 MS. SULLIVAN: Yes.

21 PANEL MEMBER BLANC: But like in the key table,  
22 that's part of the development of the acute exposure  
23 limit, where you have an asterisk for the one row, which  
24 is the pairwise comparison of the highest value to the  
25 control.

1 MS. SULLIVAN: Is this the derivation section?

2 PANEL MEMBER BLANC: Yeah.

3 MS. SULLIVAN: Okay.

4 PANEL MEMBER BLANC: Cort, can you go to that?

5 MS. SULLIVAN: Let me just get there.

6 CHAIRPERSON ANASTASIO: Yeah, can you give me a  
7 page or a line number?

8 MS. SULLIVAN: So that's going to be, it looks  
9 like, page 57. That's the acute reference exposure level.  
10 And the table is actually on six -- that's not the  
11 derivation section. Almost there.

12 PANEL MEMBER BLANC: Yeah, it just follows that,  
13 doesn't it, the following pages, where you talk about the  
14 benchmarking dose and all that?

15 MS. SULLIVAN: Yes, Table 15. Yes.

16 PANEL MEMBER BLANC: Beyond Table 15, it's got to  
17 be, because this is about the -- not about the  
18 development.

19 CHAIRPERSON ANASTASIO: Sixteen?

20 PANEL MEMBER BLANC: No, keep going. Yeah, I  
21 think --

22 MS. SULLIVAN: That -- I discuss a clear dose  
23 response trend in Table 17.

24 PANEL MEMBER BLANC: Right. What do the  
25 asterisks mean, less than 0.05 compared to --

1 MS. SULLIVAN: Control.

2 PANEL MEMBER BLANC: -- Control, is that right?

3 MS. SULLIVAN: Yes. Statistical significance.

4 PANEL MEMBER BLANC: Right, but isn't -- if you  
5 did the technical -- shouldn't there be a p-value for  
6 that?

7 MS. SULLIVAN: You're breaking up. It was hard  
8 for me to hear your question.

9 PANEL MEMBER BLANC: As a statistically  
10 significant test for trend, shouldn't that p-value be  
11 presented somehow?

12 MS. SULLIVAN: Well, we didn't do a trend test.  
13 We were just referring to that there was a clear dose  
14 response there.

15 PANEL MEMBER BLANC: Well, maybe Dr. Ritz would  
16 like to comment. I would have to say that from a  
17 statistical point of view, you can't say one as kind of a  
18 narrative, if you -- if you mean it, what's -- where's the  
19 statistics to back it up?

20 MS. SULLIVAN: Sure.

21 PANEL MEMBER MESSER: I might -- if I could just  
22 briefly interject, if you want to appropriately draw that  
23 distinction, you might say data sets are included, if they  
24 show a clear observed dose response trend, and that would  
25 indicate that you're not doing a formal test.

1           PANEL MEMBER BLANC: Well, why wouldn't you do a  
2 formal test? I mean, wouldn't that support your argument?

3           PANEL MEMBER MESSER: Yeah, you certainly could.  
4 If you don't have access to the underlying data, we could  
5 talk about how to do that from the summary statistics.

6           PANEL MEMBER BLANC: And then can I ask a  
7 question about something that occurred in an earlier table  
8 in the same study where it's referred to that there was  
9 four limb weakness at the 125 concentration.

10          MS. SULLIVAN: Yes.

11          PANEL MEMBER BLANC: That's never alluded to  
12 again.

13          MS. SULLIVAN: Right, because the authors of that  
14 study discounted that.

15          PANEL MEMBER BLANC: I thought they discounted  
16 the other thing which they saw at the pre-

17          MS. SULLIVAN: They -- well, that it gave them  
18 additional strength, yes. Okay. So that's line 982 on  
19 the McKee study, and there was that one finding. I think  
20 the finding was --

21          PANEL MEMBER BLANC: Forelimb something.

22          MS. SULLIVAN: It was observed in the low  
23 exposure group only after the 8-hour exposure period. The  
24 authors state the finding is not treatment related because  
25 there was no dose response observed.

1 PANEL MEMBER BLANC: Uh-huh, because they didn't  
2 have it after the second dose.

3 MS. SULLIVAN: Well, right, they didn't provide  
4 the data after the second exposure, but on the third, they  
5 don't see that effect. And so you see that a lot with  
6 solvents, where you'll see an effect at the lower doses  
7 and at the higher doses, but I think it's because it's  
8 induced metabolism and the elimination has increased, that  
9 sometimes you don't see the same effects at the higher  
10 doses. And that's pretty consistent with a lot of the  
11 studies that I looked at.

12 PANEL MEMBER BLANC: So you are arguing that you  
13 use that as your endpoint, but I do think you need to  
14 comment. Since you have to spend so much time in the  
15 reference development about the study, you should at least  
16 clarify that why you couldn't use that or didn't use that.

17 MS. SULLIVAN: Sure.

18 PANEL MEMBER BLANC: Because it kind of is in the  
19 first part, but not in the second part.

20 MS. SULLIVAN: Okay. Yeah. And I was concerned  
21 that we didn't have the data nor could we get it for the  
22 second day exposures, not that it's Relevant for the acute  
23 per se, but I -- it would have provided me with more  
24 information, so that --

25 PANEL MEMBER BLANC: I can --



1 MS. SULLIVAN: -- I could see what was happening,  
2 but I can't.

3 PANEL MEMBER BLANC: So I think it's fair game to  
4 comment on that, because somewhere else you talk about the  
5 data.

6 MS. SULLIVAN: Correct. Yeah, in the derivation  
7 section I allude to it again I think, yes.

8 PANEL MEMBER BLANC: And then can you just  
9 clarify why we're using an 8-hour study for the acute, but  
10 we're not using the 8-hour study to inform the 8-hour  
11 exposure? Is it because --

12 MS. SULLIVAN: Right, because the 8-hour exposure  
13 is a chronic exposure. It's not a one-time exposure that  
14 would be -- that they would be exposed to --

15 PANEL MEMBER BLANC: Okay.

16 MS. SULLIVAN: -- 40 hours a week for, you know,  
17 a lifetime. A working day is eight hours.

18 PANEL MEMBER BLANC: I see. Okay. Thanks.  
19 That's helpful.

20 The other thing -- and then a lot of these other  
21 things are not major. To me, the most major confusion was  
22 the thing about -- that we already talked about about the  
23 hot spots. And is relevant to Dr. Ritz's comment about  
24 the public health relevance of this group of chemicals,  
25 because they -- they're not trivial releases. I think it

1 would be helpful if in the narrative where you talk about  
2 what the sources of exposure are, that Dr. Landolph  
3 alluded to as well, that some of its exposures scenarios  
4 that you then talk about later in the text, which weren't  
5 really clear to me from that narrative at the beginning.  
6 The most obvious to me was the one good human case report  
7 with the scintillation fluid --

8 MS. SULLIVAN: Um-hmm.

9 PANEL MEMBER BLANC: -- exposure and you say  
10 scintillation fluids a typically a hundred percent  
11 trimethylbenzene.

12 MS. SULLIVAN: Right.

13 PANEL MEMBER BLANC: But that was never mentioned  
14 at the beginning, in terms of other specialties. So it's  
15 good to be --

16 MS. SULLIVAN: It's not mention -- I'm sorry, not  
17 mentioned in the beginning in terms of?

18 PANEL MEMBER BLANC: You talk about it's used  
19 in -- it appears here and it appears there, and these  
20 other applications, but then you have an application,  
21 which is so blatant that you refer to it in the body of  
22 the text. So I think it would be good just to be  
23 consistent, even if it's a little bit pedantic to include  
24 some of it, if -- that one and if there are any others.  
25 For example, there's mention of asphalt somewhere later as

1 its source.

2 MS. SULLIVAN: Okay. My only question is where a  
3 reference exposure level is intended for the public, the  
4 scintillation fluids is a occupational exposure, you know,  
5 for lab workers, so --

6 PANEL MEMBER BLANC: Well, generally -- you've  
7 generally been quite broad in saying where things are used  
8 and don't say, well, I'm not going to mention this,  
9 because it's only occupational. So I don't know. It's up  
10 to you, but I -- my -- I reacted when I saw certain  
11 places, and I said, well, gee, I didn't -- because one of  
12 the useful things about this group of chemicals about  
13 talking about it is it's kind of a sleeper. I mean, I  
14 don't know what the other panel members think about it,  
15 but I was like really? This is in all of those things.  
16 This is 50 percent of white spirits in some cases. You  
17 know, it just -- I was taken aback and it was useful,  
18 education. I don't -- maybe Dr. Ritz wants to comment.

19 MS. SULLIVAN: I'll go -- I'll go back and take a  
20 look at those examples.

21 PANEL MEMBER BLANC: Yeah. And then a final  
22 question in terms of the Canadian paper that's fairly  
23 recent, and you may even have alluded to it in different  
24 contexts, where they looked at trends over time in  
25 biomonitoring results for various chemicals,

1 MS. SULLIVAN: Um-hmm.

2 PANEL MEMBER BLANC: And one of the isomers had  
3 dropped considerably. I think it was the one -- the  
4 symmetric, the one -- is it the 1,3 -- what's the  
5 symmetric one, 1,3 --

6 MS. SULLIVAN: 1,3,5- is --

7 PANEL MEMBER BLANC: 1,3,5-.

8 MS. SULLIVAN: Yeah.

9 PANEL MEMBER BLANC: Whereas one of the other  
10 isomers was one of the few biomonitoring environmental  
11 chemicals that had actually increased over time at a sharp  
12 increase.

13 MS. SULLIVAN: Oh, we did --

14 PANEL MEMBER BLANC: Do you have that paper?

15 MS. SULLIVAN: Yeah, we did include the Canadian  
16 biomonitoring study. I don't know if it's the exact one  
17 that you're referring to and --

18 PANEL MEMBER BLANC: Well, it must be, right?

19 MS. SULLIVAN: I think so. And the reason they  
20 saw a drop was they related it to air pollution to  
21 gasoline.

22 PANEL MEMBER BLANC: Then why did they see the  
23 increase in the other?

24 MS. SULLIVAN: Yeah, that's interesting. I don't  
25 know if that has to do with the formulation, but --

1 PANEL MEMBER BLANC: I would comment on it,  
2 because if it's relevant to California, if the --

3 MS. SULLIVAN: Okay.

4 PANEL MEMBER BLANC: -- uses and applications are  
5 changing, it could have an implications. Since -- I just  
6 thought that was a really interesting observation. If  
7 you're already citing that paper, I would -- so those  
8 are -- that's my shtick.

9 MS. SULLIVAN: Thank you.

10 CHAIRPERSON ANASTASIO: Thank you, paul.

11 Joe, did you have a follow-up on something Paul  
12 just discussed?

13 PANEL MEMBER LANDOLPH: Peripherally, if you  
14 wanted to keep going with Paul's. It's --

15 CHAIRPERSON ANASTASIO: I think Paul is done, but

16 PANEL MEMBER BLANC: I'm done, Joe.

17 PANEL MEMBER LANDOLPH: Okay. Thank you. Moira,  
18 I a question. I would -- I would predict that, you know,  
19 when you add these compounds to animals or humans and  
20 they -- you get some damage, that it eventually goes away  
21 as to compounds get metabolized and come out in the urine.  
22 Is it a reversible neurotoxicity or does it persist, if  
23 you --

24 MS. SULLIVAN: It does in at least one of the  
25 studies. On the neuromuscular function, which is rotarod

1 test, it did persist when they retested the animals  
2 several weeks after the final exposure.

3 PANEL MEMBER LANDOLPH: And did it persist  
4 completely or was it decaying in its effect?

5 MS. SULLIVAN: They didn't give that level of  
6 specificity I think. They just said that -- but I can go  
7 back elucidate that if there's additional information.  
8 But I know in the vestibular disorders like in humans,  
9 that is not recovered. Now, that's not just unique  
10 exposure to TMBs, but TMBs make up a large percent of the  
11 formulation.

12 But in some of the tests, like in the McKee acute  
13 test, they did find that after the cessation of exposure  
14 that the animals did revert back to full functionality  
15 in -- for example on the latencies in the task reward  
16 paradigm analyses. But I did notice that they were  
17 persistent. And in the Saillenfait study, which is the  
18 only developmental study with unique exposure to TMBs,  
19 those animals were impacted in terms of fetal body weight.  
20 So there are persistent effects.

21 PANEL MEMBER LANDOLPH: Thank you very much. You  
22 might -- you might want to mention that somewhere. I'll  
23 make you a note for that in the written comments, but you  
24 might want to point that ought.

25 MS. SULLIVAN: Thank you, okay.

1 PANEL MEMBER LANDOLPH: Thank you.

2 PANEL MEMBER RITZ: Do we know anything about the  
3 age of the animals tested when they were tested, the ones  
4 that didn't revert?

5 MS. SULLIVAN: Yes. Those animals, I think they  
6 were five months on the past rats.

7 PANEL MEMBER RITZ: Yeah, because then you're  
8 getting -- when you're getting to older animals, you're  
9 getting into the possibility of neurodegenerative  
10 disorders, so you would worry about elderly being exposed.

11 MS. SULLIVAN: Definitely.

12 CHAIRPERSON ANASTASIO: Okay. Let's move on to  
13 Karen. Karen, comments?

14 PANEL MEMBER MESSER: No additional comments from  
15 me. I'm happy to help with any technical issues  
16 afterwards, if there are any.

17 CHAIRPERSON ANASTASIO: Excellent. Thank you.  
18 Yeah, Moira, if you want to look at statistics of trends,  
19 Karen is your person.

20 MS. SULLIVAN: Perfect, because that's not my  
21 super power, so...

22 PANEL MEMBER MESSER: Happy to just briefly chat  
23 any time.

24 MS. SULLIVAN: Thank you very much.

25 CHAIRPERSON ANASTASIO: Yeah. Great. Thank you,

1 Karen.

2 Okay. Next, Ahmad, comments?

3 PANEL MEMBER BESARATINIA: Yeah. This is a very  
4 nicely prepared report and I enjoyed reading it. I was --  
5 personally, I was interested in learning about the  
6 half-life of these compounds, either the isomers or the  
7 aggregate form of these TMBs. And I went through the  
8 document and there were quite a bit of scattered  
9 information here and there, but I was wondering, Moira,  
10 perhaps you may comment on that if this lack of  
11 information about the half-life of this compound is  
12 because it hasn't been well studied or is it a particular  
13 reason, because both the half-life of these compounds in  
14 blood and CNS, especially in CNS, is very crucial since  
15 most of these animal studies have done assessment of  
16 neurobehavioral performance. So it's important to know  
17 how long these isomers, for example, stay and exist. And  
18 since some of these tests were done, perhaps hours after  
19 termination of the exposure, one would want to know what  
20 is the time frame of elimination of these compound,  
21 particularly from CNS. I believe for your derivation  
22 studies you have used the McKee study, which is an --

23 MS. SULLIVAN: Yes.

24 PANEL MEMBER BESARATINIA: -- 8-hour exposure.  
25 And I understand that the assessment was done like



1 often -- within one hour after determination of exposure,  
2 if I remember correctly. So is it consistent with the  
3 elimination half-life of this compound from CNS in that --

4 MS. SULLIVAN: That was one of the concerning  
5 aspects of the McKee study, because the half-life is one  
6 hour --

7 PANEL MEMBER BESARATINIA: Okay.

8 MS. SULLIVAN: -- on the acute exposures, and  
9 they waited an hour after cessation of exposure to test  
10 those animals. And so I called that out in the document  
11 just because, you know, that is troubling that those  
12 assessments were supposed to be conducted right after the  
13 exposure ceased. And this shouldn't have been a one-hour  
14 wait on that, but the -- yeah, so that was concerning  
15 especially when you're only looking at a one 8-hour  
16 exposure --

17 PANEL MEMBER BESARATINIA: Yeah.

18 MS. SULLIVAN: -- and we didn't have any data for  
19 the second day, not that we used two-day exposures for an  
20 acute, you know, study, because we're really looking -- we  
21 really don't want to look at an exposure over 24 hours for  
22 an acute value. But nonetheless, it would have provided,  
23 as you're saying, some critical information on  
24 toxicokinetics. And --

25 PANEL MEMBER BESARATINIA: Yeah. Yeah, thank you

1 for mentioning it. Yeah, and, of course, when you're  
2 doing large-scale animal studies, sometimes it's  
3 logistically not feasible to do all these measurements,  
4 you know, right away, but perhaps it will be helpful to  
5 kind of make a note of it when you're reporting your  
6 results so that that would be a potential limitation of  
7 the study.

8           And the other thing that I wanted to mention was  
9 with regard to table -- I think, Table 3a and b, I think  
10 Paul already indicated one of the points with regard to  
11 the total emissions. I think it's page four of the  
12 document. Here, what I see is the point source emission  
13 rate from different facility for both aggregate TMBs and  
14 the individual isomer 1,2,4-TMB. I was trying to make a  
15 sense of it once.

16           The first thing with regard to the total  
17 emission, which was a little bit confusing based on the  
18 numbers. And the second thing I was trying to see why  
19 there is so much fluctuation in the total amount emitted?  
20 Is it because of the not -- reporting in certain years or  
21 certain businesses being shut down, or perhaps you might  
22 know something about it, because there is hardly any  
23 correlation between the number of facilities that have  
24 reported and the total emission, or is there any other  
25 reason, for example, they have done certain -- you know,

1 there has been some technological advances by which this  
2 emission is reduced that I'm not aware of.

3 MS. SULLIVAN: So I'm wondering if that's a  
4 question for the California Air Resources Board, because  
5 I'm not, you know, an expert on facilities reporting. I  
6 just collect the data from them. I don't have that level  
7 of specificity of information, but if you feel that this  
8 should be fleshed out more. Certainly, I can make a note  
9 of that.

10 PANEL MEMBER BESARATINIA: Well, if it -- out of  
11 my curiosity I wanted just to get a better understanding  
12 of this -- you know, this table, but I leave it up to your  
13 best judgment, however you feel like it.

14 MS. SULLIVAN: Okay. And I'll write that down.  
15 Can I direct your attention to one thing that you alluded  
16 to, which -- and ask you if you think this is sufficient.  
17 On line 1859 of the document, I did list the limitations  
18 going back just to the McKee study. I did list that the  
19 authors state based on previous pharmacokinetic work with  
20 TMBs, the hydrocarbons have half-times in the CNS of  
21 approximately an hour, and that the visual discrimination  
22 performance testing was completed within an hour after  
23 termination. So is that -- is that sufficient to what you  
24 alluded to before where you said lack of information about  
25 half-life how long do the isomers stay or exist, what is

1 the time frame, or did you want me to see if I can tease  
2 out not necessarily from this study, but any of the other  
3 studies that looked at CNS effects?

4 PANEL MEMBER BESARATINIA: I think this is  
5 sufficient, but since you have major headings throughout  
6 the document, perhaps this information could be included  
7 under a subheading, so that it becomes more visible.

8 MS. SULLIVAN: Okay. Thank you. Thank you.

9 CHAIRPERSON ANASTASIO: Thank you, Ahmad.

10 Any other comments?

11 PANEL MEMBER BESARATINIA: No, that it is and  
12 congratulations. Great work.

13 MS. SULLIVAN: Thank you very kindly.

14 CHAIRPERSON ANASTASIO: Great. Thanks, Ahmad.  
15 Kathy, any comments.

16 PANEL MEMBER HAMMOND: No. Thank you for the  
17 good work and the comments I had have been made already.  
18 Thank you.

19 MS. SULLIVAN: Thank you.

20 CHAIRPERSON ANASTASIO: Okay. Excellent. If I'm  
21 not mistaken, I believe all Panel members have had a turn,  
22 but if I'm --

23 PANEL MEMBER BLANC: Cort, I just have one other  
24 thing I forgot to ask about.

25 CHAIRPERSON ANASTASIO: Sure, go ahead, Paul.

1 PANEL MEMBER BLANC: Does the implication of a  
2 lack of any chronic data equate to there never having a  
3 cancer endpoint animal two-year studies?

4 MS. SULLIVAN: Right. So there is one study that  
5 was not well conducted that did evaluate carcinogenicity  
6 and had no positive findings. I was overruled on  
7 including that piece of information.

8 PANEL MEMBER BLANC: Okay. And when you say it  
9 had no issue, not just no cancer outcomes, but no adverse  
10 outcomes of any sort.

11 MS. SULLIVAN: Yeah, it was a very poorly done  
12 study, and I don't -- I can't recall if they alluded to  
13 whether there was any mortality or any other effects,  
14 because I was asked not to pursue that, so I don't -- I  
15 could go back and -- yeah.

16 PANEL MEMBER BLANC: You know --

17 MS. SULLIVAN: But there was nothing else in  
18 addition to that --

19 PANEL MEMBER BLANC: Right. Right.

20 MS. SULLIVAN: -- very poorly done study, no.

21 PANEL MEMBER BLANC: Well, that's pretty shocking  
22 for such -- I don't know if ubiquitous --

23 MS. SULLIVAN: Yes.

24 PANEL MEMBER BLANC: -- is the right word, but --

25 MS. SULLIVAN: Yes.

1           PANEL MEMBER BLANC:  -- for a study of this  
2 nature, it's shocking to me.

3           MS. SULLIVAN:  I agree.  I think the lack of mode  
4 of action and the lack of knowing which isozyme, I,  
5 myself, was shocked that a chemical that is used to this  
6 degree does -- has not been characterized far better than  
7 it has.

8           PANEL MEMBER BLANC:  And when you went to -- it's  
9 a slightly different question of the same genre.  When you  
10 went to the TLV documentation -- American Conference of  
11 Industrial Hygienists TLV documentation series, have they  
12 ever talked about this chemical?

13           MS. SULLIVAN:  Yeah, they have a 25-part per  
14 million I think is the ACGIH on the trimethylbenzenes, and  
15 I think it's to do with irritation.

16           PANEL MEMBER BLANC:  And there was nothing in  
17 there that you hadn't -- you didn't capture yourself,  
18 because sometimes they have industry stuff.  That's why  
19 I'm asking.  That's not published data.

20           MS. SULLIVAN:  I could take a deeper look at  
21 that.

22           PANEL MEMBER BLANC:  You might want to.  And I --  
23 assuming that the higher-ups that said you can't talk  
24 about bad carcinogenicity study are part of this  
25 discussion, or what will be privy to it, I would agree

1 with you that it is worth at least saying there's been  
2 only one openly published cancer study that was not well  
3 done, and could not be used.

4 MS. SULLIVAN: Yes.

5 PANEL MEMBER BLANC: You know, it wasn't useful  
6 to us.

7 MS. SULLIVAN: Well, my concern was that you or  
8 the public would ask about it. And I just -- yeah, I  
9 think it's worth...

10 PANEL MEMBER BLANC: Yes, I would -- and maybe  
11 other panelists should comment, but I would -- and in  
12 particular, Joe I think -- I would support these saying  
13 it's out there, but not very -- not useful, because of its  
14 quality.

15 MS. SULLIVAN: Okay. Yeah. Okay.

16 CHAIRPERSON ANASTASIO: Karen.

17 PANEL MEMBER MESSER: Yeah, this is a slightly  
18 different comment, but I just want to note on the  
19 ubiquitousness of exposure to these compounds. So this  
20 seems like a potentially very useful report and also to  
21 note that the household surveys show potentially chronic  
22 exposure levels well above. For a certain proportion of  
23 the population show chronic exposure levels at least up in  
24 Canada well above this REL or chronic exposure, so I think  
25 this is a potentially very useful report.

1 MS. SULLIVAN: Thank you, yes. That' correct,  
2 The Canada study does show that. They are above our  
3 microgram per cubic meter RELs and associated with asthma,  
4 at least the solvent exposures are, of which TMB forms a  
5 proportion. And those were indoor values largely. So, of  
6 course, we're dealing with the Air Resources Board and  
7 stationary sources and emissions from stacks as opposed to  
8 measuring indoor air, but. And those indoor air values  
9 are largely the result of tailpipe emissions, cars, so...

10 CHAIRPERSON ANASTASIO: Okay. Yeah. That's a  
11 good point, Karen.

12 Beate.

13 PANEL MEMBER RITZ: Yeah. Exactly, that was also  
14 my reasoning for making that first comment, you know, that  
15 people are actually breathing this as -- at these kind of  
16 levels and indoors. But coming back to the neurotoxicity,  
17 I -- I'm a little -- I don't know, it may not belong here,  
18 but when I saw that our ROS and RNS, reactive nitrogen  
19 species, and Oxygen Species are increasing in the brain,  
20 and that also dopamine and neuroadrenaline and some  
21 serotonin derivatives are all increased, then, you know,  
22 my warning lights go on towards Parkinson's and  
23 neurodegeneration, because you find -- and other possibly  
24 Alzheimer's as well, because you find all these proteins  
25 nitrosated in -- that are aggregating in the brain for



1 these neurodegenerative diseases. I don't know how and  
2 where you would mention any of this, because this is  
3 just -- these are animal studies and they're only very  
4 few. But it's kind of worrying me that, you know, you're  
5 saying these kind of events that we know are part of what  
6 an older brain shows when they develop these disorders.

7 MS. SULLIVAN: Okay.

8 PANEL MEMBER RITZ: And I wonder whether that  
9 deserves any mention, maybe even just a sentence.

10 MS. SULLIVAN: Okay. And of course, we do  
11 account for sensitive subpopulations in our values. So on  
12 the toxicokinetic and dynamic uncertainty factors, they're  
13 larger because we're accounting for sensitive  
14 subpopulations. I don't know that I specifically -- I  
15 don't think I did allude to elderly adults.

16 PANEL MEMBER RITZ: Yeah, I get that, but, you  
17 know, sensitive subpopulations are taken into account, but  
18 they're never mentioned. We mention neurodevelopment, but  
19 we are not mentioning the other end of the spectrum.  
20 Maybe it's worth at least mentioning.

21 MS. SULLIVAN: Thank you.

22 CHAIRPERSON ANASTASIO: Thank you, Beate.

23 Moira, I thought it was also a very well done  
24 document, so thank you for that. I had just one -- well,  
25 I had a couple minor comments I'll email to you. And I

1 had one that's a -- it's also a minor comment, but I just  
2 want to point it out. In the beginning of the document, I  
3 think you were typically using ppm as your primary measure  
4 of exposure. And then you'd have milligrams per cubic  
5 meter in parentheses, and I got used to that. And then at  
6 some point, in the document you switched --

7 MS. SULLIVAN: Okay.

8 CHAIRPERSON ANASTASIO: -- and you had milligrams  
9 per cubic meter as your primary, and then ppm was in  
10 parentheses. And all of a sudden, I had to completely  
11 shift my world view. So it would be very helpful if you  
12 could just pick one, you know, either one of those, as  
13 your primary exposure measure.

14 MS. SULLIVAN: Okay.

15 CHAIRPERSON ANASTASIO: Put that first always,  
16 put that in graphs, you know, like your benchmark response  
17 graph, and pick one unit for the primary and then have the  
18 other one always as secondary in parentheses.

19 MS. SULLIVAN: Thank you.

20 CHAIRPERSON ANASTASIO: And Vincent, I can see  
21 you're raising your hand, but I have no way to have you  
22 speak. There's no way to allow you to speak, so I'm  
23 sorry.

24 DR. MOHEGH: We are not accepting oral comments  
25 on this item.

1 CHAIRPERSON ANASTASIO: Yeah, Vincent is actually  
2 and OEHHA guy, but since he's -- appears to be just  
3 general population, it's

4 DR. MOHEGH: Oh, I can allow.

5 CHAIRPERSON ANASTASIO: Oh, You can allow. Okay.  
6 Yeah, let's allow Vincent. Let's see what he wants to  
7 say.

8 DR. KRISHNAN: You send the invite.

9 DR. MOHEGH: Vincent, you can unmute yourself.

10 DR. COGLIANO: Hello, I'm sorry. I didn't really  
11 mean to raise my hand. I think the cursor just got caught  
12 on the button, and after a bit it raised it automatically.  
13 Sorry about that interruption

14 CHAIRPERSON ANASTASIO: No problem.

15 PANEL MEMBER BLANC: Paul Blanc here. I want to  
16 circle back to one other thing about -- to follow up on  
17 the vulnerable populations and the rationales. It's  
18 mentioned that -- in the uncertainty factors it mentioned  
19 that children would be vulnerable because of the  
20 neurological outcome.

21 MS. SULLIVAN: Yes.

22 PANEL MEMBER BLANC: But elsewhere you allude to  
23 asthma in a couple of different contexts. You just  
24 mentioned in terms of the Canadian study and then in the  
25 durable data things had, you know -- the authors positive

1 about they didn't look at the lung, but the lung cells  
2 might have had the same -- might have the same response.  
3 So typically, we have included respiratory -- asthma is  
4 also sort of triggering the childhood vulnerable  
5 population. So I don't know whether for consistency this  
6 is an OEHHA, you know --

7 MS. SULLIVAN: Right. So my thinking on that was  
8 that because those exposures where they saw an increase in  
9 asthma or persistent asthma, and it implicated -- you  
10 know, TMBs were part of the mixture, but it wasn't unique  
11 exposure, so one couldn't really have a causal type of  
12 assessment there, that I -- there is one animal study that  
13 deals with respiratory irritation. And so there's also  
14 occupational exposure. So in our technical support  
15 document for OEHHA, the sensitive subpopulations are if it  
16 is a neurotoxicant or a respiratory toxicant, then we  
17 include extra factors. So it is folded in, but are you  
18 suggesting that you would like me to actually just add  
19 that?

20 PANEL MEMBER BLANC: Well, parenthetically I'm  
21 saying, you know, predominantly -- we were adding this  
22 section predominantly for neuro reasons and/or -- so  
23 respiratory.

24 MS. SULLIVAN: Okay.

25 PANEL MEMBER BLANC: Not to mention what --

1 however you want to say it, because when you don't say it,  
2 it sounds -- it could be taken to imply that you're so  
3 discounting the respiratory, but you're -- you know, it  
4 doesn't exist, do something. But it really is -- I don't  
5 feel strongly about it, but I just -- it struck me -- I  
6 forgot to mention it earlier when I was reading in this  
7 stuff that, you know, there are respiratory, because you  
8 talk about it.

9 MS. SULLIVAN: Sure. I can certainly run that by  
10 EO and see if we can just add that, yeah. I think I had  
11 it in earlier iterations.

12 PANEL MEMBER MESSER: I think you do mention it  
13 at the very end when you talk about differential  
14 sensitivity of children, but it's kind of buried.

15 MS. SULLIVAN: Yes, I'm sure I make some  
16 reference to their higher breathing -- breathing rates and  
17 greater surface area. And I make some reference to --

18 PANEL MEMBER MESSER: On line 2114, you talk  
19 about it. I don't know if that's enough to address Paul's  
20 comment.

21 MS. SULLIVAN: Okay. Right. Starting at 2108.  
22 I do say at 2 -- line 2108, "Additionally, individuals  
23 with pre-existing respiratory conditions, such as asthma  
24 or allergies, may be more sensitive to the respiratory  
25 effects resulting from exposure to TMBs." And that's

1 under the section, "Evidence for Differential Sensitivity  
2 of Children". Does that -- is that sufficient, line 2108?

3 PANEL MEMBER BLANC: I would also put it in where  
4 you talk about the calculation, because that's where --  
5 you know, that's what your justification for the  
6 additional fact is because of neurologic effects which  
7 would be relevant to children. So I think maybe it's  
8 overkill, but that's where I would have expected the  
9 asthma comment to be also.

10 MS. SULLIVAN: I think it's just because we  
11 didn't have any data, but sure.

12 PANEL MEMBER BLANC: I don't feel strongly.

13 CHAIRPERSON ANASTASIO: Okay. Great. Any final  
14 comments from the Panel?

15 If not, my reading of the document, as well as my  
16 understanding of everyone's comments is the comments are  
17 fairly minor, so I propose that Moira and other OEHHA  
18 people revise it, and then just send it to me and I'll  
19 read through it, and then give the final approval. If  
20 anyone else would like to take a look at the document  
21 that's revised before it gets approved, let me know and  
22 I'm happy to include you on that chain, but otherwise I'll  
23 just deal with it.

24 PANEL MEMBER BLANC: Cort, can you include in the  
25 minutes that you'll -- your review will also include the

1 written comments of the other lead?

2 CHAIRPERSON ANASTASIO: Yes, good point. I've --  
3 yes, I will do that.

4 Okay. Okay. Great. Well, thank you very much,  
5 Moira. We appreciate your work on this.

6 MS. SULLIVAN: Thank you kindly.

7 CHAIRPERSON ANASTASIO: We are running about 10  
8 minutes early, which is just how I like it, so we're going  
9 to take a 10-minute break now and we're going to  
10 reassemble at 11. So, Daryn, we'll be having your cobalt  
11 presentation at 11 instead of 11:10. So I'll see everyone  
12 in 10 minutes.

13 Thank you very much.

14 (Off record: 10:50 a.m.)

15 (Thereupon a recess was taken.)

16 (On record: 11:00 a.m.)

17 CHAIRPERSON ANASTASIO: Okay. Welcome back.  
18 Panel members, if you could turn on your cameras, so I  
19 know you're here so that we have a quorum, that would be  
20 very helpful.

21 Okay. I think we're set. Daryn, are you ready?

22 DR. DODGE: Yes, I'm ready.

23 CHAIRPERSON ANASTASIO: Okay. And Arash, are you  
24 ready?

25 DR. MOHEGH: I'm ready.

1           CHAIRPERSON ANASTASIO: Fantastic. Daryn, thank  
2 you for being here. So our next item is a review -- or an  
3 update rather to the inhalation unit risk for cobalt  
4 sulfate heptahydrate. So Daryn is going to present a  
5 draft document that corrects the cancer inhalation unit  
6 risk factors, or IURs, for cobalt sulfate heptahydrate and  
7 water soluble cobalt compounds. Cancer IURs are used to  
8 estimate lifetime cancer risks associated with inhalation  
9 exposure to a carcinogen. For the cobalt sulfate  
10 heptahydrate and water soluble cobalt compounds, OEHHA  
11 corrected and updated the conversion factor used to  
12 normalize for the constant of cobalt in cobalt sulfate  
13 heptahydrate, and corrected a separate error in the final  
14 derivation of the current IUR value that was published in  
15 2020.

16           The draft of this update was posted on May 5th  
17 and that commenced the 30-day public review period, which  
18 included two public workshops. And Daryn perhaps,  
19 beginning your presentation, you could indicate whether we  
20 received any public comments or not. So with that, I'd  
21 like to introduce Dr. Daryn Dodge, Staff Toxicologist from  
22 OEHHA's Air and Toxicology Risk Assessment Section. Thank  
23 you, Daryn.

24           (Thereupon a slide presentation).

25           DR. DODGE: Thank you, Dr. Cort Anastasio.



1 Arash, could you go to the next slide?

2 --o0o--

3 DR. DODGE: Okay. This update or correction to  
4 the IUR is for cobalt sulfate heptahydrate, as Cort said.  
5 And we have two corrections.

6 The first was the update in response to a  
7 correction made recently to the NTP report for cobalt  
8 sulfate heptahydrate. The second is a correction due to a  
9 calculation error on our part.

10 Next slide.

11 --o0o--

12 DR. DODGE: Now, as some of you may recall, there  
13 was a cobalt and cobalt compounds cancer IUR factors  
14 document that came out in October of 2020. The SRP, I  
15 believe, reviewed this document in 2019, as Cort alluded  
16 to, at the beginning of today's SRP meeting. In this  
17 document, we derived an IUR, or inhalation unit risk,  
18 factor for cobalt metal and poorly soluble cobalt  
19 compounds. This IUR was 7.7 times 10 to the minus 3 per  
20 microgram per cubic meter. This can also -- the units can  
21 also be referred to as micrograms per cubic meter to the  
22 minus 1.

23 We also, in the same document, derived a IUR for  
24 cobalt sulfate heptahydrate and other water soluble cobalt  
25 compounds. This IUR is 8.6 times 10 to the minus 4 per

1 microgram per cubic meter. And this is the IUR that we  
2 are updating. We are not changing the one for cobalt,  
3 metal, and other poorly soluble compounds.

4 Next slide.

5 --o0o--

6 DR. DODGE: In 2022, the NTP, or National  
7 Toxicology Program, published a correction in  
8 toxicological sciences. This is for technical report 471,  
9 which is specifically the two-year rodent study for cobalt  
10 sulfate heptahydrate. In it, they noted that the  
11 concentrations they were using in that report actually  
12 were expressed as the anhydrous salt of cobalt sulfate and  
13 not as cobalt sulfate heptahydrate or even the hexahydrate  
14 as they were -- as was referred to in the document. So  
15 this changes our IUR in and of itself.

16 Next slide, please.

17 --o0o--

18 DR. DODGE: So to clarify here, there is a couple  
19 of things I want to point out for the cobalt sulfate  
20 exposures in the National Toxicology Program, or NTP,  
21 two-year rodent exposure study. The first point is that  
22 an aqueous solution of cobalt sulfate heptahydrate was  
23 aerosolized for the exposures of the rodents. That's why  
24 we refer to the exposure study as a cobalt sulfate  
25 heptahydrate exposure study throughout our document as

1 well as NTP's. However, in the Chamber -- exposure  
2 chambers themselves, the rodents were exposed primarily to  
3 the hexahydrate form. And so in the process of  
4 aerosolization, there was a loss of a water molecule. And  
5 then the exposure concentrations used in the NTP study of  
6 0.3, 1, and 3.01 milligrams per cubic meter are expressed  
7 as the cobalt sulfate anhydrous salt, and not as the  
8 heptahydrate or the hexahydrate as stated in the NTP  
9 report.

10 Next slide.

11 --o0o--

12 DR. DODGE: Now, because the cobalt ion is  
13 considered to be the primary factor for cancer risk, our  
14 calculated cancer slope factor, or CSF, was normalized to  
15 the content of cobalt in the IUR document from 2020. And  
16 this is done by taking the molecular weight of cobalt,  
17 which 58.9 divided by the molecular weight of what we  
18 thought at the time was the hexahydrate 263.1. And this  
19 gives a molecular weight fraction of cobalt of 0.22.

20 Now, because of the update or correction in the  
21 NTP study, we express it as the anhydrous salt. The  
22 actual molecular weight fraction should be 58.9 over 155.  
23 And this is a molecular fraction of 0.38. So ultimately,  
24 this would change the cancer potency of the IUR by 1.7X or  
25 1.7 times.

1 Next slide, please.

2 --o0o--

3 DR. DODGE: Now, this other correction we need to  
4 make was due to a calculation error on our part. In the  
5 final calculation of the cancer slope factor, the cobalt  
6 normalized CSF was corrected to show that the molecular  
7 weight fraction of cobalt in cobalt sulfate is divided  
8 into rather than multiplied by the cancer slope factor.  
9 So the correct way to express this cobalt normalized  
10 cancer slope factor is 13.41 per milligram kilogram day  
11 divided by 0.38, not multiplied by.

12 So this resulted in a updated cancer slope factor  
13 of 35 per milligram cobalt per kilogram day. Now, our  
14 previous cancer slope factor cobalt normalized was 3.0.  
15 So we're increasing the potency by over tenfold here.

16 Next slide.

17 --o0o--

18 DR. DODGE: In the final calculation, we get to  
19 the inhalation unit risk, or IUR. And this is done by  
20 taken the cancer slope factor normalized to cobalt  
21 multiplied by the 20 cubic meters per day, which is a  
22 default factor for adult intake of air per day, divided by  
23 a average adult body weight of 70 kilograms, and including  
24 a conversion factor going from milligrams to micrograms.  
25 This gave us a final IUR value of 1.0 times 10 to the

1 minus 2 per microgram per cubic meter.

2 Now, we could get to this same cancer slope  
3 factor of 35, if we were to normalize the cobalt  
4 concentrations at the very beginning of our derivation  
5 from 3 -- 0.31 and 3 to 0.114, 0.38, and 1.14. If you  
6 start your derivation of the IUR with these cobalt  
7 normalized numbers, you arrive at the same value of 35 for  
8 the cancer slope factor.

9 Next slide.

10 --o0o--

11 DR. DODGE: We include the changes to the  
12 document in a summary of changes document. This describes  
13 where in the cobalt and cobalt sulfate -- I'm sorry,  
14 cobalt and cobalt compounds IUR document where the changes  
15 occurred. Primarily, this is footnotes added to note that  
16 the cobalt sulfate concentration are expressed as the  
17 anhydrous salt. We also added a similar or same statement  
18 to table legends of the tumor incidence tables. And we  
19 modified the final calculation in the text to show the  
20 corrected cancer slope factor and IUR.

21 Next slide, please.

22 --o0o--

23 DR. DODGE: Now, as Cort mentioned, we didn't  
24 receive any public comments on the draft cobalt IUR  
25 document during the public review period, which was from

1 May 5th to June 5th of this year. We held two public  
2 workshops as required in the Hot Spots Act. The first was  
3 in Southern California, Diamond Bar, in May 23rd, and then  
4 the one in Northern California was in Sacramento -- here  
5 in Sacramento where I am on May 31st and this was webcast.

6 Next slide.

7 --o0o--

8 DR. DODGE: That concludes my presentation.

9 CHAIRPERSON ANASTASIO: Alright. Thank you very  
10 much, Daryn. Panel members, comments? Okay. While we're  
11 waiting for the other Panel members, let me have a -- I  
12 just have a couple minor comments. One is, yeah, it's  
13 very confusing, right, where you've got all these numbers  
14 without units, whether you divide by 0.38 or multiply by  
15 0.38. If you actually keep your units on the numbers and  
16 put what compound the mass is for, it might help not to do  
17 that reverse. You know, if you know it's grams of cobalt  
18 per gram of cobalt sulfate, then you're not going to flip  
19 it around in the calculation, so just one suggestion.

20 I wonder too, given that this is a very easy  
21 mistake to make, have you guys gone back and checked other  
22 IURs for metal complexes to make sure that that error  
23 hasn't happened previously?

24 DR. DODGE: I have not looked at the previous  
25 documents with that much scrutiny, but we probably should.

1 CHAIRPERSON ANASTASIO: Yeah, there probably  
2 aren't a lot, so it seems like it would be helpful, given  
3 that it's an easy mistake to make.

4 And then my last comment was about the revised  
5 table. So, for example, Table 4, I think, the footnote A  
6 is good. You know, you're talking about concentrations  
7 are expressed as anhydrous cobalt sulfate, but it's still  
8 a little confusing, because the title of the table talks  
9 about exposure to the cobalt sulfate heptahydrate. So I'd  
10 suggest just a little bit more text on that Footnote A,  
11 something like, you know, the exposure was to the cobalt  
12 sulfate heptahydrate, but the exposure concentrations are  
13 listed as the anhydrous cobalt sulfate, just to very  
14 clearly spell it out so that future people aren't confused  
15 about what's going on.

16 DR. DODGE: Okay. Thank you, Cort. We'll do  
17 that.

18 CHAIRPERSON ANASTASIO: You're welcome.

19 Those are my only comments. Did anyone else have  
20 any other comments?

21 Karen.

22 PANEL MEMBER MESSER: Yeah, I'm also quite  
23 sympathetic to making this kind of calculation error.  
24 When you're doing a lot of very detailed calculations, my  
25 group at the cancer center, does a lot of those, and we

1 have a lot of people doing them. I'm sure your group has  
2 thought about developing templates for those routine  
3 computations, so just a page that you can all share that  
4 very carefully spells out the computation that you can  
5 just paste in these reports that can save a lot of time.  
6 And I'm sure you have such a thing. It may be more or  
7 less informal, so it might be worthwhile to just have a  
8 shared directory where you've got some of those common  
9 templates that people have carefully vetted and you can  
10 use them. That can save people time and help with  
11 accuracy. A common, common thing to manage with these big  
12 reports.

13 CHAIRPERSON ANASTASIO: Thank you, Karen. Good  
14 suggestion. Other comments from the Panel?

15 I never know when the panel is going to be very  
16 chatty or when the Panel is not going to have much to say.  
17 And apparently, right now, we don't have much to say.

18 PANEL MEMBER BLANC: Well, what can we say? It's  
19 a very technical -- and just a corrective --

20 CHAIRPERSON ANASTASIO: Right. Right. It seems  
21 very straightforward. I mean it's great that you guys  
22 caught the error, and that the NTP found the error in the  
23 concentration. But right, there's not a lot for  
24 discussion.

25 PANEL MEMBER MESSER: I also think it speaks



1 highly of the process that when an error was published in  
2 the literature, it was caught and the relevant documents  
3 were updated. That shows good --

4 CHAIRPERSON ANASTASIO: Yeah, that's impressive,  
5 right. Somebody is reading the literature and keeping  
6 track of what's been done in the past for, in this case,  
7 an IUR. Yeah.

8 All right. Well, thank you very much, Daryn.  
9 Appreciate the input and appreciate the correction.

10 In terms of next steps, you've laid out in your  
11 document all the changes, and they all look good. So I  
12 don't feel like I need to see the document again before  
13 it's approved. I think you can just go ahead and make the  
14 corrections that you've laid out and that we've all looked  
15 at.

16 DR. DODGE: Okay. Thank you. Yeah, I'll make  
17 the correction that you specified, Cort.

18 CHAIRPERSON ANASTASIO: Yeah, that's sound great.

19 DR. DODGE: Okay.

20 CHAIRPERSON ANASTASIO: All right. Great. Thank  
21 you very much, Daryn.

22 DR. DODGE: Thank you.

23 DR. KRISHNAN: Thank you.

24 CHAIRPERSON ANASTASIO: Okay. Our next item,  
25 number 4, is an information item from OEHHA on a topic

1 that we've discussed a few times in the past, the  
2 expedited development of health guidance values.

3 So as we've discussed previous, there is an  
4 opportunity to speak the development of health guidance  
5 values by leveraging the work of other OEHHA programs and  
6 authoritative agencies like EPA. So this is a follow-up  
7 to OEHHA's presentations to the SRP on July 9th and  
8 October 9th of 2020. And Heather Bolstad, who is a staff  
9 toxicologist from OEHHA's Air and Climate Epidemiology  
10 Section is going to give us an overview of a possible  
11 expedited process for developing health guidance values.

12 So, Heather, the floor is yours.

13 (Thereupon a slide presentation).

14 DR. BOLSTAD: Great. Thank you. Good morning.  
15 My name is Heather Bolstad. I'm a toxicologist with the  
16 Office of Environmental Health Hazard Assessment or OEHHA.  
17 And today I'll be providing and update on our efforts to  
18 develop expedited health guidance values for hot spots  
19 compounds.

20 --o0o--

21 DR. BOLSTAD: So just a little background on hot  
22 spots. The Assembly Bill 2588, Air Toxics Hot Spots  
23 Emission Inventory Criteria and Guidelines Regulations,  
24 which I'll refer to as Hot Spots, was first enacted in  
25 1987 and has been amended several times since. It

1 requires stationary sources to report the types and  
2 quantities of certain compounds released into the air.  
3 And it's goals are to collect emission data, identify  
4 facilities having localized impacts, determine health  
5 risks, notify nearby residents of significant risks, and  
6 reduce significant risks to acceptable levels. It  
7 requires reporting of approximately 1,500 compounds.

8 --o0o--

9 DR. BOLSTAD: So as you know, OEHHA develops  
10 public guidance values for us in Hot Spots, specifically  
11 the reference exposure levels, or RELs, for non-cancer  
12 effects, and the inhalation unit risks and slope factors  
13 for cancer effects. The SRP has reviewed many of these  
14 values and continues to do so as evidenced by today's  
15 agenda. Both types of values are used in Hot Spots  
16 Facility Prioritization and Risk Assessment and to provide  
17 greater impetus for reporting of emissions under Hot  
18 Spots. So they have important applications.

19 --o0o--

20 DR. BOLSTAD: However, Hot Spots assessments to  
21 produce RELs and cancer potencies require significant time  
22 and resources. OEHHA conducts a comprehensive evaluation  
23 of the literature. And this process, along with internal  
24 peer review, is time-consuming. As result, draft  
25 assessments are submitted for public and SRP review at the

1 rate of about one to three compounds per year.

2 --o0o--

3 DR. BOLSTAD: This pace is dwarfed by the number  
4 of compounds on the Hot Spots list that do not have  
5 OEHHA-derived Hot Spots values. Approximately, 700  
6 compounds, or half of them, have neither an OEHHA Hot  
7 Spots value nor a value from some other agency as  
8 identified by CARB.

9 --o0o--

10 DR. BOLSTAD: These 700 compounds span a diverse  
11 array of chemical classes as shown here, and include some  
12 familiar ones.

13 --o0o--

14 DR. BOLSTAD: We are envisioning three possible  
15 approaches to expedite development of health guidance  
16 values for Hot Spots. One is by simply adopting and  
17 adapting recent health values from other OEHHA programs,  
18 such as the Public Health Goals for drinking water, also  
19 known as PHGs, or the Proposition 65 values for  
20 carcinogens. Hot Spots obviously concerns inhalation  
21 exposures, and thus the priority would be those values  
22 from other OEHHA programs that are based on inhalation  
23 studies which is often the case.

24 The second approach is to adopt or adapt values  
25 from other entities such as the U.S. EPA. We presented a

1 methodology for identification and evaluation of such  
2 values to the SRP in 2020, along with methods by which  
3 they can be adjusted as needed to serve the purposes of an  
4 inhalation risk assessment for the general population.

5 Third, computational toxicology and new approach  
6 methodology, or NAMs, may be used to derive values for  
7 data-poor compounds. OEHHA is in the process of building  
8 capacity in this area through collaborations with academic  
9 partners and creation of a new section within OEHHA who's  
10 aim is to further the development of NAMs based regulatory  
11 values. We will provide updates on these efforts at  
12 future SRP meetings.

13 All three of these approaches were used by OEHHA  
14 to develop values for the CARB-led Study of Neighborhood  
15 Air near Petroleum Sources, also known as SNAPS. Our  
16 initial focus is on the first approach and to specifically  
17 adopt cancer potency values based on inhalation studies  
18 from other OEHHA programs. We would start with values  
19 produced by OEHHA within the last 10 years.

20 --o0o--

21 DR. BOLSTAD: Now, you may be wondering why we'd  
22 adopt a drinking water value for the Hot Spots Program,  
23 and I'd like to clarify that point. This is among the  
24 things that must be considered when adopting and adapting  
25 values from other OEHHA programs. I provide examples of

1 two OEHHA programs here. So the public health goals are  
2 drinking water concentrations that take into account  
3 drinking water intake rates. Thus, we would not adopt the  
4 public health goal itself, but rather the basis of the  
5 public health goal. Specifically for non-cancer effects,  
6 it would be the point of departure divided by uncertainty  
7 factors. While for cancer, it would be the potency.

8           For Proposition 65, we have two kinds of values,  
9 one for non-cancer effect and one for cancer. The  
10 non-cancer value is known as the maximal -- maximum  
11 allowable dose level, or MADL, for short. It is developed  
12 for reproductive and developmental toxicity endpoints.  
13 And it has a specific definition in that a level one  
14 thousand times greater than the MADL is expected to have  
15 no observable effect.

16           The cancer value is known as a no significant  
17 risk level, or NSRL, and also has a specific definition in  
18 that it is the dose in micrograms per day associated with  
19 a 10 to the minus 5th cancer risk. As for the public  
20 health goals, the basis of the proposition --

21           Excuse me?

22           As for the public health goals, the basis of the  
23 Proposition 65 values would be adopted, not the values  
24 themselves. For the MADL, the point of departure divided  
25 by uncertainty factors selected per OEHHA REL guidance

1 would be adopted. For the NSRL, the cancer potency would  
2 be adopted.

3 And for the non-cancer points of departure, I  
4 want to note that the nature of the critical study would  
5 determine whether it would serve as an acute or chronic  
6 value.

7 --o0o--

8 DR. BOLSTAD: As I said, our initial focus is on  
9 adopting points of departure based on inhalation studies.  
10 At some point, we will also consider adopting values based  
11 on oral studies, which will require special consideration.

12 First, there can be endpoints from oral exposure  
13 that may not be relevant inhalation exposure, such as  
14 effects resulting from interference with nutrient  
15 absorption, or port of entry effects. The other is that  
16 there are endpoints by the inhalation route that may be  
17 more sensitive or unique to this route and would be  
18 overlooked by using a value from an oral study.

19 Example endpoints include irritation of the  
20 respiratory tract, eye, or membranes, as well as  
21 respiratory sensitization, and lung and nasal tumors. As  
22 a result of these issues, we will adopt the most  
23 scientifically justifiable health guidance value.

24 --o0o--

25 DR. BOLSTAD: Prior to adoption, we need to

1 ensure that the literature published since the value was  
2 derived does not contain any potentially influential  
3 studies. A possible approach could look something like  
4 this. The literature search would begin where the  
5 previous assessment's literature search ended. New  
6 studies suitable for quantitative dose response analysis  
7 would be identified. These would consist of mammalian  
8 bioassays of sufficient duration that, in the case of  
9 cancer, provide tumor incidences, as well as epidemiology  
10 studies with quantitative estimates of both exposure and  
11 risk. If there was a high quality study that was more  
12 sensitive or more appropriate than the study used to  
13 derive the established value, we would consider updating  
14 the value. In the absence of such a study, we would adopt  
15 or adapt the established OEHHA value.

16 --o0o--

17 DR. BOLSTAD: We've identified some first round  
18 candidates for adoption. These include the Proposition 65  
19 cancer values for bromoethane, also known as ethyl  
20 bromide, trichloroethylene, and vinylidene chloride.  
21 Trichloroethylene actually has a Hot Spots potency, but  
22 the Proposition 65 value is newer and is base on  
23 epidemiology studies rather than animal studies.

24 --o0o--

25 DR. BOLSTAD: In terms of our next steps, we will



1 start by developing expedited numbers through adoption of  
2 cancer potencies from other OEHHA programs with an initial  
3 focus on recent public health goals or Proposition 65  
4 values. We will release the expedited values for public  
5 comment and bring them to the SRP for review.

6 --o0o--

7 DR. BOLSTAD: In the future, we will also  
8 identify additional sources of health values to add to  
9 those identified by CARB. And finally, our New Toxicology  
10 Evaluation Section, or NTES within OEHHA will be using  
11 NAMS to derive regulatory health guidance values.

12 --o0o--

13 DR. BOLSTAD: That concludes my presentation and  
14 I welcome any comments or questions you might have.

15 CHAIRPERSON ANASTASIO: Thank you very much,  
16 Heather.

17 Panel, comments, questions?

18 PANEL MEMBER MESSER: I guess I have a -- just a  
19 sort of calculation question, which is it seems that these  
20 numbers that are going to be adopted may already have some  
21 uncertainty -- some allowances for uncertainty built into  
22 them, like the one thousand times the exposure is still  
23 expected not to create any adverse effects. So I just  
24 wonder if that should be taken into account?

25 You know my understanding of the point of

1 departure is that then you make these uncertainty  
2 adjustments on top of a point of departure. So I wonder  
3 if there's -- if that's leading to some redundancy for if  
4 you've thought about that.

5 DR. BOLSTAD: That's a great point. We'll  
6 definitely keep that in mind, so there's no double  
7 counting of uncertainty factors.

8 PANEL MEMBER MESSER: Yeah. You know, I don't  
9 know how formally you can balance that, but you should at  
10 least think about it.

11 DR. BOLSTAD: Right. Thank you.

12 PANEL MEMBER MESSER: Yeah.

13 CHAIRPERSON ANASTASIO: Thank you, Karen.  
14 Ahmad.

15 PANEL MEMBER BESARATINIA: Yeah. I think in view  
16 of what you are proposing to take advantage of already  
17 existing report from OEHHA, or other, you know, groups  
18 associated with EPA, it is important for all reports that  
19 are being produced to have a uniform section for  
20 identifying their search criteria, what kind of  
21 literature, what time frame was used to identify  
22 literature to be included or excluded in the report. As I  
23 recall, some of the more recent report do include this  
24 section, but many do not. So perhaps that is something  
25 that you might consider as a group and as an organization

1 for future reports or even going back, and retroactively  
2 like insert this section into existing reports.

3 DR. BOLSTAD: Okay. Thank you.

4 CHAIRPERSON ANASTASIO: Thank you, Ahmad.

5 Other Panel comments?

6 I have some questions, Heather. Can you go --  
7 can you put your slides back up and can you show us the  
8 pie chart that showed of the Hot Spots compounds, which  
9 ones have health guidance values, which ones do not?

10 Okay. So you've got 1,500 compounds. Twenty  
11 percent of those have a health guidance values that's been  
12 approved. So -- okay. So OEHHA approved Hot Spots health  
13 values. That's not just RELs, but that's also things like  
14 the public health goals and the Prop 65 or is that -- are  
15 those in the --

16 DR. BOLSTAD: No, the 20 percent, thd 293, those  
17 are only Hot Spots values --

18 CHAIRPERSON ANASTASIO: Okay.

19 DR. BOLSTAD: -- so they don't include our other  
20 values.

21 CHAIRPERSON ANASTASIO: Those are official Hot  
22 Spots values.

23 DR. BOLSTAD: Yes.

24 CHAIRPERSON ANASTASIO: Okay. And then this blue  
25 color, does that include the public health goals and the

1 Prop 65, or no?

2 DR. BOLSTAD: I believe it does.

3 CHAIRPERSON ANASTASIO: It does.

4 DR. BOLSTAD: But it also includes like U.S. EPA,  
5 ATSDR, ACGIH, OSHA PELs.

6 CHAIRPERSON ANASTASIO: Gotcha. Okay. So some  
7 government health guidance value. Okay. So there's a lot  
8 of potential here then in terms of increasing the number  
9 of compounds that we have on the Hot Spots list, which we  
10 are all for. So that's great. So when Kannan presented  
11 to us -- I can't remember when it was now, maybe 2020, he  
12 was talking primarily about using literature reviews of  
13 other compound -- or of -- of a compound that had been  
14 assembled by say EPA and not have to repeat that, not have  
15 to go back and recreate that material. But what you're  
16 talking about now is going beyond that, right? You're  
17 talking about actually using some health guidance values  
18 for other programs, like Prop 65, and applying those to  
19 Hot Spots?

20 DR. BOLSTAD: Yes.

21 CHAIRPERSON ANASTASIO: Okay. That's great, in  
22 the sense that, you know, it really expands the scope of  
23 what's possible.

24 DR. BOLSTAD: Yes. And it is interesting that  
25 many of our public health goals are actually based on

1 long-term inhalation studies --

2 CHAIRPERSON ANASTASIO: Oh.

3 DR. BOLSTAD: -- particularly, you know, for the  
4 volatile compounds just based on the data availability.

5 CHAIRPERSON ANASTASIO: That was going to be my  
6 other question is, so you're saying a lot of these  
7 drinking water standards are actually based on inhalation  
8 studies?

9 DR. BOLSTAD: (Nods head).

10 CHAIRPERSON ANASTASIO: Wow. Okay. That's  
11 great.

12 PANEL MEMBER RITZ: So that was actually one of  
13 my questions too. I mean, some of -- some of these 703  
14 that might be evaluated in water are probably because  
15 water is the main source, right, so for PFAS, for example.  
16 And it is a different route. Would you then not have to  
17 reevaluate what happens if it's in the air and inhaled,  
18 and gets kind of into the body, and into the brain, and  
19 wherever else in a slightly different way?

20 DR. BOLSTAD: We would definitely need to  
21 consider the pharmacokinetic differences between the  
22 routes, which I kind of alluded to in terms of portal of  
23 entry effects and like some metals interfere with nutrient  
24 absorption, which wouldn't necessarily be relevant via  
25 inhalation, that sort of thing. In terms of volatility,

1 we would also use the likelihood that a compound would be  
2 in the air and inhaled to try to prioritize these 703.

3 CHAIRPERSON ANASTASIO: Thank you, Beate.  
4 Karen.

5 PANEL MEMBER MESSER: Yeah, I guess this last --  
6 your last remark is what I was going to -- what I was  
7 thinking about was with such a long list of compounds, it  
8 seems like it would payoff to put some initial effort into  
9 prioritization, and that should be given some thought and  
10 structured, so that it's transparent and well understood  
11 how you'd be prioritizing these compounds. That seems  
12 like it would be well worth the effort, either on the  
13 basis of some kind of ballpark estimate of harm, you know,  
14 intensity in number of persons, or risk more like, before  
15 you've done the assessment. You may not understand the  
16 harms, but your understanding of the risk of major harm.

17 And then, I guess, the second thing would be when  
18 you're using these preexisting studies, it might be  
19 worthwhile to do a couple of pilot examples and see how  
20 much time you actually save, because the time savings may  
21 not be as much as you are hoping, if you still have to do  
22 a literature review and write a whole report. So that  
23 might help also to do some pilot studies and see how much  
24 time you save and then think critically is there a way to  
25 streamline that, be more efficient in the use of prior

1 information, just general suggestions.

2 DR. BOLSTAD: Okay. Thank you.

3 CHAIRPERSON ANASTASIO: On a related note, you  
4 know, so you go through the compounds that have inhalation  
5 studies, and that's very straightforward. But then you  
6 start to get to compounds where you have other routes of  
7 exposure. And my question is how do you know whether  
8 you're right? So let's say you have an oral inhal -- an  
9 oral exposure and you do some correction to adjust for  
10 that, are there compounds where you have both inhalation  
11 and oral exposures, where you can look at what you would  
12 get for the -- for a REL from the two routes and you can  
13 see, okay, yeah, if we use the oral, we get this. And I  
14 guess the question is how do you know if you're correct  
15 from a non-inhalation exposure?

16 DR. BOLSTAD: That is one thing we could do is  
17 look at those compounds that have both like a cancer  
18 bioassay by the oral route and the inhalation route. And  
19 I think our cancer potency guidance discusses this. And I  
20 believe that the oral potency is generally predictive of  
21 the inhalation potency. Inhalation may be a little more  
22 potent. I'd have to double check that, but that's  
23 something we can look at. And then the SRP actually  
24 brought this up when we presented in 2020 on this topic  
25 about, for example, missing respiratory sensitizers by

1 using an oral value.

2           And so how we've addressed that is by using the  
3 OECD toolbox, the QSAR toolbox to predict respiratory  
4 sensitizers based on the chemical structure to try to not  
5 miss any alerts. And this can also be assessed just based  
6 on chemical class, like isocyanates I'd expect to be  
7 respiratory sensitizers. So that sort of thing. So  
8 that's a good question, like definitely kind of ground  
9 truthing as we go. But one thing to keep in mind is that  
10 in the absence of a value, we're assuming zero risk, so  
11 there is benefit to having a value.

12           CHAIRPERSON ANASTASIO: Yeah. And I know we've  
13 talked on the panel before about provisional health  
14 guidance values, where it might be better to just get an  
15 order of magnitude number up there just so that you can  
16 start to assess risks from compounds that currently have  
17 assumed zero risk. And I think that's another potential  
18 approach for, you know, say oral exposure route compounds.

19           DR. BOLSTAD: (Nods head).

20           CHAIRPERSON ANASTASIO: Yeah. Well, I can speak  
21 on be of myself, and I think the rest of the Panel, we'd  
22 be very interested to see how this plays out and  
23 especially some of the ground truthing as you go along,  
24 you know, will help us feel more comfortable I think with  
25 the approach.



1 I'm also very interested, and I can't remember  
2 what the acronym stood for, but the NAMS, right, the  
3 toxicologic, so the computational approaches to  
4 toxicology, because animal and human studies are so  
5 expensive and time-consuming that I'm very hopeful that  
6 the computational work is going to start to bear fruit.  
7 Otherwise we're never going to get to, you know, the  
8 majority of these 1,500 compounds. It's going to take  
9 something faster even than what you're suggesting right  
10 now, yeah.

11 DR. BOLSTAD: Right.

12 CHAIRPERSON ANASTASIO: But we -- yeah, I'm very  
13 encouraging of approaches that can speed up the  
14 development of health guidance values, and this is a nice  
15 step in that. Yeah.

16 Any other comments from the Panel.

17 Karen.

18 PANEL MEMBER MESSER: Yeah, just following on the  
19 tiered approach. I think there's a lot of promise there,  
20 especially with computational approaches. Those could be  
21 a rapid first pass and then those could be used to  
22 prioritize compounds for a deeper dive and validation.  
23 That could work very nicely.

24 CHAIRPERSON ANASTASIO: Yeah, good point.

25 DR. BOLSTAD: (Nods head).

1 CHAIRPERSON ANASTASIO: Okay. Seeing no other  
2 comments, thank you very much, Heather. We look forward  
3 to getting an update.

4 DR. BOLSTAD: Great. Thank you so much.

5 CHAIRPERSON ANASTASIO: This is a very, very  
6 encouraging route. Yeah.

7 Let's see we're at 11:41. We were planning for  
8 lunch next, but instead, if Kannan is ready, I suggest we  
9 move to the ethylene oxide informational item and then  
10 we'll take lunch after that. Kannan, are you prepared to  
11 start with that?

12 DR. KRISHNAN: Yeah.

13 CHAIRPERSON ANASTASIO: Fantastic. Okay. So the  
14 next item then, Item number 5, is information item from  
15 OEHHA on the recent release of draft updated cancer  
16 inhalation unit risk factor for ethylene oxide. OEHHA  
17 recently released this draft for public review. And the  
18 updated IUR ethylene oxide is based on current evidence,  
19 including human epidemiological studies. The current  
20 value is based on animal studies and was developed in 1987  
21 when OEHHA was part of the California Department of Health  
22 Services.

23 The current draft, the new draft, was posted on  
24 April 7th, 2023 for public comments and included workshops  
25 in both Southern and Northern California in May, and OEHHA

1 staff are going to give a preview to the panel on the IUR  
2 update. So this is not a formal review for ethylene oxide  
3 IUR, but it's a little informational item.

4 So Dr. Kannan Krishnan, Chair of Air and Site  
5 Assessment and Climate Indicators Branch of OEHHA will be  
6 giving the presentation. Thank you, Kannan.

7 (Thereupon a slide presentation).

8 DR. KRISHNAN: Thank you. And good morning,  
9 everyone. Let me pull up my slides.

10 Are you seeing -- able to see the slide full  
11 screen?

12 CHAIRPERSON ANASTASIO: (Thumb up).

13 DR. KRISHNAN: Thank you for the introduction.  
14 This is an information item on the recent release of the  
15 draft updated cancer inhalation unit risk factor for  
16 ethylene oxide. It's more of a status report. And I just  
17 wanted to follow up on the previous presentation I made to  
18 the Panel on 12th of May last year.

19 --o0o--

20 DR. KRISHNAN: Maybe just by way of a very quick  
21 introduction. Ethylene oxide is mainly used --  
22 predominantly used as a chemical intermediate in producing  
23 other chemicals, particularly ethylene glycol and  
24 antifreeze. And in California, as in other places  
25 elsewhere, it is used as a sterilizer for medical and

1 laboratory equipment and supplies. It's also used as a  
2 fumigant for agricultural products, particularly when the  
3 materials are damaged by heat or other methods of  
4 sterilization are ineffective.

5 Ethylene oxide is identified as a carcinogen  
6 under Proposition 65 and the U.S. Environmental Protection  
7 Agency has classified it as a -- as carcinogenic to humans  
8 or a Group 1 Carcinogen, carcinogenic to humans. And IARC  
9 designated it as a Group 1 carcinogen, or carcinogenic to  
10 humans, based on limited evidence in humans, sufficient  
11 evidence in animals, supported by strong mechanistic  
12 evidence or evidence of genotoxicity. The National  
13 Toxicology Program as well concluded that it is known to  
14 be a human carcinogen.

15 And OEHHA agrees with these conclusions as we  
16 presented in the draft submitted for public review. We  
17 agree with these conclusions regarding the ethylene oxide  
18 carcinogenicity.

19 --o0o--

20 DR. KRISHNAN: The inhalation unit risk factor  
21 for ethylene oxide, or IUR, was developed initially in  
22 1987 when OEHHA was part of the California Department of  
23 Health Services, or CDHS, and was based on animal cancer  
24 studies. Since then, the knowledge base has grown and new  
25 relevant human epidemiological studies have become

1 available. And that has been used by U.S. Environmental  
2 Protection Agency to update its IUR for ethylene oxide in  
3 2016 after a comprehensive evaluation.

4 In its assessment, EPA used a human  
5 epidemiological study for 17,530 workers in sterilization  
6 facilities in the U.S. And their assessment review  
7 received public comments and was peer-reviewed by this  
8 cancer panel.

9 --o0o--

10 DR. KRISHNAN: As the Chair pointed out moments  
11 ago, I made a presentation last year about the possibility  
12 of leveraging work from other health agencies. There are  
13 two things when we are expediting the process as Heather  
14 alluded to. And in this case, leveraging work could  
15 potentially also help expedite, but, you know, where  
16 feasible and appropriate. And we wanted to build upon the  
17 authoritative review conducted by other agencies and  
18 following evaluation. And also, we proposed, last time  
19 when I made the presentation, that we would combine the  
20 effort with other OEHHA initiatives, because the ethylene  
21 oxide was also reviewed Proposition 65 program at the same  
22 time as Hot Spots Program, because both programs developed  
23 the estimates using the animal studies during 1987-88.

24 So now, we put our efforts together satisfying  
25 the requirements of both programs effectively, you know,

1 producing a single work group and then essentially a  
2 single analysis of the data. So the starting point then  
3 was the -- as I presented last time, our starting point  
4 for the analysis for ethylene oxide was the U.S. EPA  
5 216[SIC] assessment document. That was the primary source  
6 of studies or descriptions of studies published prior to  
7 our IUR development, and also all the studies published  
8 until 2016.

9 So our literature search then focused essentially  
10 since 2016 or since the EPA assessment. So our review  
11 focused on the period of January 2016 to January 2023 to  
12 identify the more recent studies for developing the IUR.  
13 But you will see that when we get to the review of the  
14 draft.

15 --o0o--

16 DR. KRISHNAN: Just to give you an update of what  
17 happened. We released the document on the 7th of April,  
18 the draft, for public review, both the Hot Spots cancer  
19 IUR updated draft value as well as the proposed updated  
20 Proposition 65 NSRL, or no significant risk level, for  
21 ethylene oxide as well. So both of these values are based  
22 on the cancer potency derived from EPA's exposure response  
23 modeling, and calculated from the occupational  
24 epidemiological studies that I referred to moments ago.

25 So more -- what does it say that the revised

1 draft value -- what does it say and how does it compare to  
2 the previous ones?

3 --o0o--

4 DR. KRISHNAN: So the more recent human data, as  
5 reviewed both by us as well as EPA, indicate that ethylene  
6 oxide is a more potent carcinogen than indicated by  
7 earlier animal data. And the updated draft cancer potency  
8 for ethylene oxide, based on human data, is about 38 times  
9 greater compared to the current IUR, which was derived in  
10 1987 based on animal data.

11 --o0o--

12 DR. KRISHNAN: I put the next three slides just  
13 to refer to some of the elements in the draft with no  
14 intention of getting into any of the details. The draft  
15 addresses and recognizes the endogenous production of  
16 ethylene oxide, because it is produced endogenously in  
17 individual and species. It contributes to the hemoglobin  
18 adduct levels as background level. And it summarizes the  
19 ethylene oxide genotoxicity. Once again, instead of  
20 reviewing the entire literature and presenting all of the  
21 individual studies, we refer to the reviews by the other  
22 agencies, in particular EPA and IARC, and then we have  
23 included descriptions of only the addition studies that  
24 have appeared since 2016, which are also consistent with  
25 the overall evidence or which asked to the overall

1 evidence. And our update is based on the EPA's  
2 exposure-response modeling or the analysis.

3 --o0o--

4 DR. KRISHNAN: So instead of adopting the work  
5 conducted by EPA directly, we evaluated several other  
6 options as well in terms of modeling the data. And none  
7 of the other models would result in a better fit than the  
8 model selected and used by EPA, so -- and there's no new  
9 scientific information since 2016 that necessitated a  
10 change that -- the modeling or the derivation by U.S. EPA.  
11 So we concluded that EPA's exposure response model is the  
12 most appropriate one for estimating the cancer risks for  
13 ethylene oxide.

14 --o0o--

15 DR. KRISHNAN: So in the draft, we present the  
16 adult exposure based ethylene oxide IUR as a review of 3.3  
17 times 10 to the minus 3 for -- microgram per meter cubed.  
18 And it is for a combining the lymphoid cancer in males and  
19 females, as well as breast cancer in females for the two  
20 types. And the cancer slope factor or the inhalation unit  
21 risk, IUR, describes the excess cancer risk, that is the  
22 risk over and above the background risk associated with  
23 ethylene oxide. And the background risk would also  
24 include endogenous exposures. So the IUR that's derived  
25 is to estimate the excess cancer risk that will be over



1 and above the background risk, including the endogenous.

2 --o0o--

3 DR. KRISHNAN: The public review draft was  
4 released on 7th of April, and the comment period ended on  
5 the 14th of June, day before yesterday. During this  
6 period, we conducted two public workshops, one in Northern  
7 California and one in Southern California. And we had one  
8 commenter in person in Sacramento and two in-person  
9 attendance in Diamond Bar. And since then, we have  
10 received written comments at the close of the public  
11 comment period on 14th of June. I received four written  
12 comments by email and OEHHA received, via our website, 11  
13 written comments. There may be some overlap of  
14 submissions, but we're yet to have the information on it.

15 --o0o--

16 DR. KRISHNAN: So in terms of next steps, we'll  
17 be -- we have -- we'll be reviewing the public comments,  
18 and we will develop a response to comments, and make  
19 appropriate changes to the draft document, and then bring  
20 the document -- the revised draft to the SRP for review.  
21 So hopefully at the next meeting that will be our  
22 expectation.

23 So that concludes my status update on ethylene  
24 oxide on the process of developing the document that I  
25 alluded to last time at the SRP.

1 Thank you.

2 CHAIRPERSON ANASTASIO: Great. Thank you Kannan.

3 So, Panel, any comments, mindful of the fact that  
4 we're going to see the full document at a future meeting,  
5 but are there any interim comments before we get to that  
6 point?

7 PANEL MEMBER MESSER: I guess I just have  
8 general, somewhat naive, question to help me understand  
9 how the -- these documents are used, given that my  
10 understanding Kannan is that there's an EPA standard  
11 that's already developed, is that right?

12 DR. KRISHNAN: Yes, a cancer slope factor yes.

13 PANEL MEMBER MESSER: How do -- how do our CARB  
14 standards relate to those EPA standards? Are they -- are  
15 they a California-specific standard that's independent  
16 or...

17 DR. KRISHNAN: Under the Hot Spots Program, we  
18 have the guidelines -- methodological guidelines of  
19 developing the values, both for cancer and non-cancer.  
20 There are some methodological differences in terms of what  
21 specific factors have applied. Like when you look at the  
22 non-cancer development, there's a sensitivity factor to  
23 protect children, for example, that can be up to a factor  
24 of 10 separately, as you saw earlier, in the TMB  
25 presentation, on trimethylbenzene this morning. So we use

1 our methodological approach and an independent analysis to  
2 be consistent with our guidelines.

3 PANEL MEMBER MESSER: And does that result that  
4 California guidelines are generally more stringent than  
5 EPA guidelines, is that a fair thing, or is it -- does it  
6 vary? Just -- it's just a general question to help me get  
7 the big -- the context here.

8 DR. KRISHNAN: Yeah. Maybe I would invite Vince  
9 to have a word on it.

10 DR. COGLIANO: Thank you very much. They do  
11 differ sometimes. Sometimes California and U.S. EPA  
12 standards differ because we've looked at the database at  
13 different times. The guidelines though that we have,  
14 though they're very consistent with each other, sometimes  
15 have some slight differences. Like on noncancer, this  
16 doesn't apply to ethylene oxide, our default inter --  
17 intrahuman variability factor is 30, rather than 10, but  
18 the guidelines are generally very similar.

19 Now, in this case, there are some California  
20 programs that would give preference to an OEHHA value over  
21 a U.S. EPA value. And in this case, the OEHHA value was  
22 developed in the 1980s before these NIOSH studies became  
23 available about up -- eight to ten years ago. And it's  
24 really not a matter that we think that the value from the  
25 1980s is better, so we did look at the U.S. EPA value very

1 carefully. We did a few sensitivity analyses and we  
2 determined that it certainly is a better value than the  
3 value on -- based on animal studies from the 1980s, and we  
4 don't want there to be any confusion that where OEHHA is  
5 insisting on 30- to 40-year old animal studies instead.  
6 So that's why we're proposing this update to the OEHHA  
7 value.

8 PANEL MEMBER MESSER: Thank you. That's very  
9 helpful context. So it's a case where there's an existing  
10 value, which has been superseded by a more stringent value  
11 at U.S. EPA, and it's -- it seems prudent to update the  
12 OEHHA value. That's my understanding.

13 DR. COGLIANO: It's been superseded, but I  
14 wouldn't say it's because it's more stringent.

15 PANEL MEMBER MESSER: Okay.

16 DR. COGLIANO: It's based on newer information  
17 and it's based on epidemiological studies, rather than  
18 laboratory animal studies. So that's the reason that  
19 we're -- we feel that it needs to be updated not  
20 necessarily because it's more stringent, though it is.

21 PANEL MEMBER MESSER: Thank you. Thank you  
22 that -- for that clarification. I guess a better way to  
23 put it is there is an appreciable difference.

24 DR. COGLIANO: Appreciable difference and a  
25 better basis for estimating human risks.

1 PANEL MEMBER MESSER: Thank you.

2 DR. KRISHNAN: And also maybe on the technical  
3 front, in terms of the cancer inhalation unit risk,  
4 there's a slight difference the way they are developed and  
5 uses between the EPA and OEHHA. Here, the age adjustment  
6 or the age sensitivity adjustment is done during risk  
7 characterization, whereas EPA does those adjustment up  
8 front. It may sound a bit technical, but -- so it's not  
9 the same. California-specific use is not the same as --  
10 the way it's applied is a bit different.

11 PANEL MEMBER MESSER: So just one comment. Maybe  
12 this very detailed study can use as a test case or a model  
13 for the earlier project we were talking about for  
14 considering, you know, adoption or update of OEHHA values  
15 based on existing literature, since by doing this  
16 comparison, you'll have a very detailed understanding of  
17 the methodological differences. Maybe out of this could  
18 come a recommendation for interim values that might be  
19 adopted from EPA in the case when there aren't any OEHHA  
20 values. Just suggesting you might take this as a test  
21 case for the project we heard about prior to this of  
22 trying to find ways to provide a more rapid process for at  
23 least interim values. I don't know how clear I'm being.

24 DR. KRISHNAN: Um-hmm.

25 DR. COGLIANO: I think that's clear. And I think

1 this is actually an example of adapting a value by another  
2 health agency, in this case U.S. EPA, rather than doing  
3 all the work from scratch looking the epidemiology and  
4 developing numbers. U.S. EPA used models and we started  
5 with looking at those models and we did some sensitivity  
6 analysis on them, and determined that they were, we think,  
7 a good way to go for ethylene oxide.

8           So I think it would have taken us a lot longer if  
9 we were -- if there were no U.S. EPA value. If we were  
10 trying to use the NIOSH studies, that definitely would  
11 have taken us longer to develop in-house.

12           PANEL MEMBER MESSER: One last comment. I  
13 apologize for sort of going down this rabbit hole, but  
14 that sounds great. And maybe there could be a kind of  
15 meta-report documenting the time savings and helping  
16 establish a template for future studies or future  
17 adoptions, since this is being done with such care and  
18 such thought.

19           DR. COGLIANO: And that's a good comment. I  
20 think we will -- we'll look into doing that, and -- as a  
21 way of demonstrating that this expedited process of  
22 looking at other values in OEHHA, and other values by  
23 other health agencies can save us time instead of  
24 developing new values from scratch.

25           CHAIRPERSON ANASTASIO: Great. Thank you, Karen.

1 Any other Panel comments?

2 Okay. It doesn't appear that we have any more.

3 So we are at a crossroads. We have two options  
4 at this point. We can take our scheduled lunch break,  
5 which was going to be 45 minutes or we can power through  
6 and probably be done in about 30 minutes. So yes, Beate,  
7 do have a question or comment about that?

8 PANEL MEMBER RITZ: I have a comment, because I'm  
9 in Europe nine hours ahead. If you take a break, I don't  
10 think I can make it --

11 CHAIRPERSON ANASTASIO: You can't may it back.

12 PANEL MEMBER RITZ: -- because it's getting late.

13 CHAIRPERSON ANASTASIO: Yeah. Okay. So let me  
14 take a vote then of the panel. My motion is that we power  
15 forward and we try to get everything done continuously,  
16 and then your lunch is delayed, but then your afternoon is  
17 yours. If you're in favor, yeah, give me a thumbs up or  
18 raise your hand.

19 (Thumbs up).

20 (Hands raised).

21 CHAIRPERSON ANASTASIO: And okay, I also -- okay.  
22 So everybody wants to do that. I agree. And I just need  
23 to make sure though that our presenter is prepared.  
24 Brian, does that work for you?

25 Sorry, Brian. You're not muted, but I can't hear

1 you.

2 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

3 Oh, you know what, my mic off. Sure. Now, I'm  
4 ready to go. Yeah.

5 CHAIRPERSON ANASTASIO: Okay. Fantastic. Okay.

6 Then I am very happy to present or introduce rather our  
7 last item, number 6. So this is an update on the  
8 Community Air Protection Program. So you will remember  
9 that CARB staff from the Office of Community Air  
10 Protection, OCAP, they're going to update us on current  
11 activities focusing on this year's annual update to the  
12 Board and the update process for the statewide strategy,  
13 also known as the Program Blueprint.

14 In response to Assembly Bill, AB 617, CARB  
15 established a Community Air Protection Program, CAPP, or  
16 Program. The Program's focus is to reduce exposure in  
17 communities most impacted by air pollution. Communities  
18 around the state are working together to develop and  
19 implement new strategies to measure air pollution and  
20 reduce health impacts.

21 The Panel is one of several groups being  
22 consulted about the implementation of the program. And if  
23 you want more information about the Community Air  
24 Protection Program, you can go to their website. And for  
25 this item, the Panel will be accepting both oral and



1 written public comments.

2           So, Arash -- actually, Brian, before we get to  
3 your presentation, Arash is going to show an instruction  
4 slide about how to make a public oral comment.

5           Arash, are you with us?

6           There we are. Arash, can you explain this?

7           DR. MOHEGH: Sure. Sorry.

8           CHAIRPERSON ANASTASIO: That's okay.

9           DR. MOHEGH: So if you want to submit your oral  
10 comments, we are accepting oral comments on this item  
11 after Brian's presentation. So please kindly raise your  
12 hand. You can do it either using the reaction button on  
13 the menu that you see on the bottom of your Zoom  
14 application. There might be a raise hand, lower hand  
15 button directly there, so you don't have to go to the  
16 reaction button. And for those of us -- for those of you  
17 who are joining by dialing the number in via phone, you  
18 can dial star nine to raise your hand and we will -- after  
19 Brian's presentation, we'll activate your mic and you can  
20 provide your comments. The comment time for this item is  
21 about 10 minutes and we will adjust the number of time  
22 based on the number of commenters.

23           Thank you.

24           CHAIRPERSON ANASTASIO: Great. Thank you, Arash.  
25 So without further ado then, I'd like to introduce Dr.

1 Brian Moore, who is the Supervisor of Community Planning  
2 Section from CARB OCAP.

3 (Thereupon a slide presentation).

4 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

5 Thank you. And it's great to see you all again  
6 and I appreciate the chance to update you on our program.

7 --o0o--

8 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

9 So this program update I'll just split it into  
10 two parts. The first will be on our annual program  
11 update, kind of looking backwards on what has been  
12 accomplished over the last year. And then the second half  
13 is going to be on our statewide strategy revision process.  
14 So we call that guidance document the Blueprint and we are  
15 developing draft versions of our second blueprint,  
16 Blueprint 2.0 right now.

17 --o0o--

18 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

19 To give you a little idea of where are in the  
20 program. Oh, and again I should state I think I forwarded  
21 the links to Arash, but we have an annual report out that  
22 you all can take a look at and I can get it to you again,  
23 if you'd like to see it that is detailed information on  
24 progress over the past year.

25 But just from a high level, right now we have 19

1 communities that have been selected by the Program to  
2 develop emissions reduction programs or community air  
3 monitoring plans. And of those 19, 18, so almost all of  
4 them, are developing emission reduction programs. And  
5 then we have one that is just doing air monitoring.

6 As you can see from the list, they're located  
7 throughout the state. The right side of this figure shows  
8 where these communities -- these 18 communities that are  
9 developing these emissions reduction programs are in the  
10 process. So you can see that we have -- the big takeaway  
11 is we have seven that are entering their last year, their  
12 fourth year of implementation before they hit that  
13 five-year milestone, where we're going to really take a  
14 close look at how those programs are doing.

15 --o0o--

16 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

17 From an incentive side of things, so our Program  
18 does get a sizable incentive budget to see this Program  
19 implemented, and get early emissions and exposure  
20 reductions. So the left side there shows money spent  
21 through November 2022 by sector in the Community Air  
22 Protection Program. So you can see, you know, on the  
23 on-road locomotive, marine vessels, there's some big  
24 investments, as well as off-road ag.

25 The one thing I did want to call attention to was



1 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

2 So on the flip side to seeing emissions  
3 reductions, we also have a really robust variety of  
4 exposure reduction projects that have been implemented in  
5 these communities. And many of these have been -- taken  
6 advantage of that five million in community-identified  
7 project design. So an example would be like a school  
8 notification systems, either enhancing them, upgrading  
9 them, or making sure they continue. Residential and  
10 school air filtration projects have been really popular.  
11 And so these are -- these are types of projects that  
12 don't -- aren't captured in the emissions reduction  
13 estimates, right, because we're not really reducing  
14 emissions, but we are definitely reducing exposure,  
15 especially to sensitive populations, like school children.

16 Actually, in some of these, we do see emissions  
17 reductions like paving projects, you know, that retain  
18 dust -- road dust. We actually can estimate reductions  
19 with those types of projects as well.

20 --o0o--

21 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

22 So now, I'm going to kind of shift gears. And  
23 this next section is about looking forward to how we're  
24 going to revise our statewide guidance. So on this slide,  
25 on left side, we have the three bills that are now in law

1 that kind of guide the Program, so that would be 617,  
2 which was the initial one. And actually AB 197 kind of  
3 calls back to Moira's presentation where that actually led  
4 to enhancing CARB's emissions inventories and what we're  
5 required to report from facilities on their emissions. So  
6 that data is rolling in as well and should help a lot with  
7 estimating exposure.

8 And then 1749, actually two main things. It  
9 happened extend if the air district, and community, and  
10 CARB will agree, the time required to create the emissions  
11 reduction ram. That was a big concern is that these  
12 community members and air districts had one year to meet  
13 up and develop an emissions reduction program, a CERP.  
14 And that just seemed to be too short. You know, you're  
15 meeting once a month. That's 12 meetings at the most. So  
16 the Legislature gave us an additional year if all parties  
17 agree. So that's 1749.

18 On all -- an action also for the larger air  
19 districts requires them to post their permitting for  
20 facilities, which has also been a data source that many  
21 community members have wanted and is very helpful. So  
22 that kind of helps on the transparency side that we'll see  
23 those permits posed.

24 And then this kind of indicates that, you know,  
25 we take those laws, and then our statewide strategy is

1 just implementation guidance. So CARB's attempt with air  
2 district and community partners to take these laws and  
3 make sure they happen. And we wrote the first guidance in  
4 September of 2018. And this September five years later,  
5 we're looking to revise that guidance.

6 --o0o--

7 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

8 And we are doing that because the statute  
9 actually requires us to revise guidance every five years.  
10 So that would be this September. And a big part of that  
11 is just taking all the direction we've gotten over the  
12 last five years to try to improve the current components  
13 of the program as well as add some new components to help  
14 us reach more communities in a more resource efficient  
15 way. So this wheel here just shows in the dark blue the  
16 requirements and guidelines we've written for -- in our  
17 first 2018 guidance document blueprint. The light blue  
18 shows new sections that we have added, and those  
19 highlighted and kind of gold are three mechanisms or  
20 pathways that we are suggesting to leverage CARB resources  
21 to get benefits to more communities across the state that  
22 are in need, rather than this kind of official, you know,  
23 selecting communities, you know, one off, one, or two, or  
24 three every year. We want to be faster with rolling out  
25 benefits across the state.

1                   --o0o--

2                   OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

3                   And this is just kind of a high level look at our  
4 funding. This is one of -- one of the drivers of trying  
5 to be more resource efficient and nimble with getting out  
6 emissions reduction strategies is that if you can see  
7 there the implementation funds that we get from the  
8 Legislature have been pretty flat since the inception of  
9 the Program. There was a bump that was very welcomed last  
10 year, where we got an extra 10 million from the  
11 Legislature to really get a couple communities up and  
12 running on their development process, but that was not  
13 continued. So we've been kind of flat funding and we're  
14 trying to reach more communities, so that's why we're  
15 trying to be a little more creative with how we're using  
16 our resources.

17                   --o0o--

18                   OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

19                   So when we look in the revising our new draft  
20 guidance document, we really focusing on like these three  
21 points. One, we want to make sure that we recommit and  
22 finish off and improve the current process, which is just  
23 kind of selecting communities for a CERP and air  
24 monitoring development. You know, kind of our historical  
25 pathway. And we also want to affirm our commitment to



1 non-discrimination. Like we're required by federal and  
2 State law, right, to follow civil rights directions. So  
3 that's a part in this new Blueprint that is implicit in  
4 the first, but not explicitly written. So we thought that  
5 was extremely important, and we're told it was recent --  
6 really important by our community members.

7           And we also want to also State CARB's commitment  
8 to equity in the way we rollout our regs and incentive  
9 programs. And then the final one there is to provide  
10 multiple pathways to get emissions reductions outside of  
11 the traditional CERP and CAMP pathways. That's that third  
12 point.

13                           --o0o--

14           OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

15           The way we've structured this draft version of  
16 our -- our new version of our Blueprint, it's in two  
17 parts. And part one is actually available for public  
18 comment now. And if any of you are interested, I can pass  
19 it on as well. So that teal part outlines part one, which  
20 is basically a five-year strategic plan, so looking -- you  
21 know, what's our vision of how this program is going to  
22 change over the next five years, what's the mission of the  
23 Program, and how are we going to actually implement that  
24 vision.

25           Part two in the blue is more nuts and bolts

1 implementation. And we're kind of breaking that into two  
2 parts, the kind of conventional CERP and CAMP pathway, you  
3 know, how are we going to improve that process, and then  
4 also on the right side, we get into some of -- about three  
5 main new alternatives for communities to bring resources  
6 to clean up the air in their communities through new  
7 pathways, which I'll get into in a second.

8 --o0o--

9 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

10 And this slide kind of just breaks down those  
11 two -- those two blue goals from the one before on part  
12 two. So the top lighter blue one is the idea we really  
13 want to ensure that the CERPs that are out there and being  
14 developed are completed, right? And we're trying to  
15 rewrite guidance to make sure that we're getting valuable  
16 information from those CERPs and we're helping the air  
17 districts and the community -- and all actually State and  
18 local partners complete all the actions in those plans.  
19 So that's kind of our -- the top part of that figure.

20 And the bottom is this new pathways idea, where  
21 we really want to focus on other communities. We've had  
22 over 65 communities routinely apply for the Program and be  
23 nominated by air districts that we just have not been able  
24 to bring into the kind of historical CERP and CAMP  
25 pathway. So we really want to focus ways we can bring

1 resources to these 65 communities, and a large part of the  
2 new Blueprint kind of details some ideas we have about  
3 that.

4 --o0o--

5 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

6 So when we look at that alternative pathway, we  
7 want to focus on those five -- those 65 communities. You  
8 can see them here. So the yellow dots are current, you  
9 know, CERP and CAMP communities and the blue dots  
10 represent these 65 consistently nominated communities  
11 around the state. So we really want to focus our  
12 resources on engagement with community members, looking at  
13 other new pathways to bring resources. And what we see,  
14 we see them right here, one is we wanted to look at  
15 pathways that CARB actually has some discretion over where  
16 we have some legislative authority.

17 So one is community air grants, which we can  
18 actually use to do a lot of work in these communities.  
19 Another is community-focused enforcement. We've been  
20 really successful or it looks like we are going to be in  
21 Del Amo down south and also in West Oakland. Our  
22 Enforcement Division is actually partnering with community  
23 members to develop community-focused enforcement plans.  
24 So that's something that we at CARB can do now.

25 And we also want to really partner with other

1 State agencies to help bring more resources when like  
2 there's concerns that maybe sit a little outside air  
3 pollution, whether it's land use or water, things like  
4 that. So that's one idea. And we are also looking to  
5 expand those CAPP incentives guidelines, so that they can  
6 be used more creatively by communities. So that's another  
7 thing we're doing right now is revising those CAPP  
8 incentive guidelines. So through the CAPP incentive  
9 guidelines, our community air grant program and through  
10 community-focused enforcement, those are three main, I  
11 guess you'd say, levers we're going to use to try to reach  
12 out to these 65 communities over the next five years.

13 --o0o--

14 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

15 And a timeline development for the Blueprint 2.0,  
16 so we're starting at the top there, June. This is where  
17 we're at now. We're going to release a draft version of  
18 the document and allow comment -- public comment on it  
19 before we even get to the final draft. So we're adding  
20 kind of a pre-step with receiving comment. And part one  
21 is that overall vision of Blueprint 2.0, the draft, is  
22 released, and ready, and we're receiving comment now.

23 We've opened up a public comment period, and  
24 starting in July, we're going to have a -- we're doing a  
25 lot of targeted outreach as well as workshops. So we have

1 three workshops planned over July. One will be actually  
2 delivered in Spanish, or Spanish -- monolingual Spanish  
3 speaking community members and that will go all through  
4 July. Then in August, we'll take all that feedback and  
5 direction from our public outreach, develop the final  
6 craft, and that will also be released again for comment in  
7 August with a public docket. And then in September, that  
8 is when we are planned -- late September around the 27th  
9 or 28th, that is when our CARB Board will meet to consider  
10 this new Blueprint 2.0, sorry, our updated guidance  
11 document. And there will also be space for public comment  
12 period at that Board meeting.

13 --o0o--

14 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

15 And I think that is -- that's all I have, if  
16 there are any questions.

17 CHAIRPERSON ANASTASIO: Great. Thank you very  
18 much, Brian. Yeah, let's start with Panel questions and  
19 comments and then we'll get to public oral comments.

20 Panel members, any comments?

21 Ahmad, go ahead.

22 PANEL MEMBER BESARATINIA: Thank you, Cort.  
23 Thank you, Brian, for this overview. Very helpful. I  
24 have two questions. One is with regard to the community  
25 nomination. Can you let us know a little bit about the

1 process how we ensure that all communities get a fair  
2 share to be nominated and what are the determining factors  
3 there.

4           And the second thing with regard to school  
5 notification program. I was wondering what does it  
6 entail? What is the coverage of that program? Can you be  
7 a little bit more specific about that?

8           OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

9           Sure. Sure. So the first part. The current  
10 nomination system is that CARB, we do a statewide  
11 assessment looking at communities throughout the state,  
12 using a bunch of resources, like the Healthy Places Index,  
13 CalEnviroScreen, the emissions data we have, so just look  
14 at the overall burden throughout the state. Also,  
15 communities and community-based organizations themselves  
16 will self-nominate for the Program. So they'll notify us  
17 and their local air district, as well as the local air  
18 district will also put forward communities they feel that  
19 are in need. So through a kind of quantitative  
20 assessment, emission burden, and then as well as more  
21 qualitative -- you know, do they have community groups  
22 that have the infrastructure and are ready to go to work  
23 with the air districts, does that air district have  
24 resources to implement the Program, things likes that. We  
25 develop a list of recommendations for communities.

1           And that -- and that process has been difficult  
2 and there's a competitive nature to it that is definite  
3 ideal, so that's why our plan over this next five years is  
4 to look at those 65 communities that have been consistently  
5 nominated for the Program and really focus on bringing  
6 resources to them. And that list isn't going to be  
7 static. As we get more communities interested in the  
8 program through outreach, we'll be adding to that list.  
9 And so that is -- that is -- that is the idea. We want to  
10 get away from this process of only nominating or being  
11 able to select, you know, two or three communities a year  
12 for this official development of these CERPs and CAMPs.

13           And about -- well, the second question was about  
14 the school flags program. I think that one specifically  
15 was happening down south. I can get you more information,  
16 but I think it detailed improving on the real-time data  
17 they were receiving for the flag program. And it's about  
18 like putting a notification, whether it's like LED boards  
19 around the school or using more traditional colored flags  
20 up a flag poll to let all students and faculty know when  
21 it's safe to be outside for physical activity and when  
22 kids should maybe be brought in for PE.

23           You know, so that -- that's the idea with those  
24 school flag programs. And there was funding before kind  
25 of inconsistently, but one community -- I don't want to

1 misspeak, but it was one in Southern California actually  
2 put together a flag project to make sure that their  
3 schools all had it up and running in a more current  
4 notification system.

5           PANEL MEMBER BESARATINIA: Thank you. It's very  
6 helpful. Just a quick note, is there any mechanism in  
7 place to reward communities who participate in this  
8 program successfully and excel at the end of this period,  
9 whatever year is required for this program, to reward  
10 them, kind of give them some incentives?

11           OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

12           I'm not sure -- we haven't thought about anything  
13 at the end, but the hope is that when these communities  
14 come into the program, we're able to bring resources  
15 through incentives and enforcement mechanisms to them  
16 achieve their goals. And really the Air District should  
17 be partnering as well, so -- and that -- well, and that's  
18 one thing about our current incentive program, only  
19 communities that have been selected for a CERP are allowed  
20 to develop those specialized projects, the  
21 community-identified projects. One of our thoughts with  
22 revising our CAPP incentive guidelines is to allow more  
23 communities to take advantage of those types of projects,  
24 so we can see projects more tailored to the needs of the  
25 community.



1           Now, initially, the Program to get early  
2 benefits, we're like, hey, you have a program up and  
3 running, like heavy-duty truck replacement, you know, at  
4 the air strict, go ahead and use this money on those  
5 current programs, so we can get some really early  
6 emissions reductions. And now we're kind of tilting it  
7 back to the idea like, hey, what kind of community  
8 identified projects have we seen that are effective.  
9 Let's let more communities take advantage of those. So  
10 that's -- ad again, I want -- I want to emphasize, this is  
11 all -- these are all draft concepts. So over the next,  
12 you know, month and a half of public workshops, and  
13 interact with all our stakeholders, you know, we may see  
14 new ideas or tweaks to a lot of this, but that was  
15 definitely one place we at CARB thought we have -- we have  
16 the power to revise our incentive guidelines, so we're  
17 going to try to make them a little more open.

18           PANEL MEMBER BESARATINIA: Thanks very much.  
19 Very helpful.

20           CHAIRPERSON ANASTASIO: Great. Thank you, Ahmad,  
21 and Brian.

22           Joe.

23           Joe, you're muted.

24           PANEL MEMBER LANDOLPH: Yeah. Brian, it's a very  
25 nice program. It sounds like it's going very well. I

1 have two quick questions. One is are the communities  
2 getting good scientific input from toxicologists or cancer  
3 researchers as to what compounds should be gotten rid of,  
4 and if so, who can give this to -- who gives this to them?  
5 So why don't you deal with that one first. That's an easy  
6 one.

7 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

8 I'd say they'e getting alright advice. You know,  
9 it's just been Heather Bolstad that's been helping us out.

10 No, I'm kidding.

11 OEHHA is very involved with a lot of our  
12 communities, not only Heather's team, but we also have  
13 researchers like Lily Wu. And so OEHHA has been doing a  
14 lot of work with us to get that type of information in  
15 community members' hands. And we have tried to give  
16 really detailed -- it's a mix -- well, I want to keep  
17 this -- we have a lot of community members that are very  
18 well versed, right? And so that's, I guess, one of the  
19 challenges of the program, that know a lot of this stuff,  
20 and have been following the Air Toxics Program for a long  
21 time and would probably be great staff and management at  
22 CARB, right? And we have others that are -- that are new  
23 to it.

24 So through work with OEHHA and our Research  
25 Division at CARB, we try to create simple enough tools --

1 and the thing -- you may have all experienced this, you  
2 know, we make a simple tool, but they're like look at this  
3 outline case, or this kind of -- we end up caveating a lot  
4 of our tools to death sometimes, you know, but we have  
5 tried to develop toxic-weighted emissions tools that are  
6 available online to give an idea of a way to prioritize  
7 toxics. We try to get really community-focused and  
8 specific inventories, so they can see the major sources in  
9 their area. So there is that educational component at the  
10 beginning of the Program that air districts also  
11 participate in. And actually many -- I think it might be  
12 Stockton and some others have actually developed technical  
13 advisory committee, you know, so they've reached out to  
14 academics, and local experts, and maybe included  
15 toxicologists from air districts, and emission modelers to  
16 help advise the committee through a subcommittee -- a  
17 committee subcommittee.

18 PANEL MEMBER LANDOLPH: Okay. Thank you. And  
19 then the second one is do you have a list or can you refer  
20 off the top of your head to any compounds or substances  
21 that have come out and have been significantly reduced in  
22 the communities that you're proud of so far?

23 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

24 Well, from the criteria's perspective, we have  
25 seen some pretty appreciable PM reductions, you know, as

1 far as health impacts. And we've seen them on a range  
2 through these incentive programs. Especially if you don't  
3 count the exposure reduction ones, we see a pretty good  
4 return on investment on PM reductions. We also I wish I  
5 was better versed. I work mainly with Central Valley and  
6 Bay Area communities.

7 But I know in the south, they're really looking  
8 at a lot of metals with a lot of their strategies. Like  
9 the ethylene oxide, they'll be very interested in. You  
10 know, so that's something that's come up, but I can point  
11 you to -- we have a lot of tools that break down strategy  
12 by strategy the type of emission reductions we're getting.  
13 So I can forward this on to Arash to share with the group  
14 and you can look where we're at so far.

15 PANEL MEMBER LANDOLPH: Okay. Thank you very  
16 much.

17 CHAIRPERSON ANASTASIO: Great. Thank very much,  
18 Joe.

19 Any other Panel comments or questions?

20 I had a question for you, Brian, and it's related  
21 to something you said in your last comment. And the  
22 question is how do assess success?

23 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

24 So our plan -- well, this is the original plan.  
25 One of the ways we're streamlining and reporting is that

1 initially we did give guidance about how you would  
2 track -- you would track progress, right? And we want to  
3 really leave it really open so communities could really  
4 track things the way they wanted to. And air districts,  
5 and they all have very different sources of concern and  
6 different geography, which worked well. But then as  
7 looking at the Program as a whole was difficult to  
8 compare. Like we mentioned -- you mentioned early, like  
9 they had different units, they had different time spans,  
10 you know, five-year goals, lifetime reductions.

11           So it was very hard to take that kind holistic  
12 approach of how the Program was doing. So one of the big  
13 changes we're suggesting for this new round is that we're  
14 eliminating a lot of the reporting, but we want to report  
15 more on what's valuable. So we're -- we are suggesting  
16 strongly that every action that's taken in a CERP has its  
17 own target, you know, for their five-year milestone, and  
18 there's a unit of measure, so this is a measurable target,  
19 so that we can report on percent progress. So we can --  
20 so the idea is that maybe we can't, you know, add up PM2.5  
21 reductions for all the communities, because they're  
22 measuring differently, but we can at least say now we have  
23 at least a percent completion, right?

24           So then we will know that, hey, you have that  
25 target. You didn't hit it at five years. Well, now

1 you've hit that mark, now we're going to come up with a  
2 specific plan to how we're going to finish that, right?  
3 And a lot of these are living documents. Sometimes a  
4 community. A good example is a lot of communities have  
5 school air filtration measures and they put a lot of money  
6 to that and a lot of effort. But then with COVID relief  
7 and wildfire relief, a lot of these schools are getting  
8 new HVAC systems. So you'll see where these communities  
9 redirected that money to very popular like residential  
10 indoor air filtration, or lawn and garden trade-out  
11 programs.

12           So every year, in the end report, these  
13 communities with the air districts get to adjust. You  
14 know, the inform us on like where they're at then what  
15 changes they've made to their original plan. But our idea  
16 is that very simple, you have -- you have a target. It's  
17 measurable. You know, where are you in that?

18           So the idea is that by the end of the Program,  
19 whether it goes a little beyond five years, that you  
20 will -- there will be -- there will be rationale that the  
21 air district and community agree upon why action was not  
22 completed or there's a plan drawn out to get that action  
23 to completion is the plan.

24           So hopefully we'll be able to at least report  
25 back on like percent completed for every action and every

1 plan. And some plans 70, 80 actions. You know, we want  
2 to really be able to track them at an individual level.  
3 So I just -- last thing, our idea is that like if you have  
4 a plan, like you need to be able to know if you finish it,  
5 right? So if you -- if you -- if you develop an action,  
6 you should have a target and a way to track it or maybe  
7 that action hasn't been well developed enough, you know,  
8 to be -- to be included in the plan.

9 CHAIRPERSON ANASTASIO: Yeah, thank you. Any  
10 thoughts about health-based measures of outcome or  
11 changes, you know, hospital admissions, school absences?

12 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

13 So, yeah, I think there has been in -- we've seen  
14 the Bay Area take that route. I mean, I wouldn't argue,  
15 but just my work, I would say there's so much information  
16 linking like PM exposure to premature mortality, that that  
17 is a health-based measure, if we can reduce PM. You know,  
18 that's something we can measure, right, to -- and that's  
19 just my personal opinion. But also -- and then also,  
20 something like -- there has been, something like hospital  
21 based emissions to me isn't a health outcome. It's like  
22 kind of a health care utilization method. And so there's  
23 a lot of things that go into whether somebody goes to the  
24 ER for asthma, you know, and not related to asthma  
25 severity.

1           And so with things like that, we can track, but  
2 you've really got to do -- you would need to -- and if a  
3 community wants to do this, we've seen it, put money and  
4 resources into having a well controlled study that takes  
5 into account all the variables. You know, we had to -- we  
6 saw changes in asthma ED visits when the Affordable Care  
7 Act came out, because now more people were seeing primary  
8 care physicians and treating their asthma, you know,  
9 rather than having to go to the emergency room.

10           So we -- the community is very interested in  
11 health metrics. And the Bay Area is actually looking  
12 at -- one of their goals is to reduce cancer risk, so --  
13 and that's more a mathematical process, right? So they  
14 are going -- they are looking at emissions and then  
15 atmospheric modeling, you know, and exposure to then  
16 estimate the reductions in cancer risk. But there is --  
17 there is a ton of interest in better, more granular health  
18 care and health care utilization like data for sure. And  
19 actually, our Research Division has some research projects  
20 looking at that.

21           CHAIRPERSON ANASTASIO: Gotcha. That's great.  
22 Thank you.

23           Any other Panel comments?

24           All right. Seeing no more Panel comments, we're  
25 going to open it now to public oral comments. And I'm



1 going to rely on Arash here to tell me.

2 DR. MOHEGH: Yeah, I don't see any hand raised.  
3 We just checked it and it was working, so I don't think we  
4 have any comments.

5 CHAIRPERSON ANASTASIO: Okay.

6 DR. MOHEGH: I'm just going to put in the chat  
7 the link to --

8 CHAIRPERSON ANASTASIO: Oh, we do now have one,  
9 yes.

10 DR. MOHEGH: Okay. Let me turn on the clock.  
11 I'm going to set it for three minutes, since we have the  
12 one.

13 CHAIRPERSON ANASTASIO: Sure, that sounds good.

14 DR. MOHEGH: Um-hmm.

15 CHAIRPERSON ANASTASIO: And can you unmute --

16 DR. MOHEGH: Yeah.

17 CHAIRPERSON ANASTASIO: -- or allow -- yeah. And  
18 then Linus, you can unmute now and provide your comment.

19 LINUS FARIAS: Okay. Great. Thank you. I hope  
20 you can hear me. I'm Linus Farias. I am actually  
21 speaking on behalf of CCEEB, which is the California  
22 Council on Environmental and Economic Balance. We're a  
23 group that's worked on the AB 617 program for many years.  
24 And, you know, involved with a lot of the industries that  
25 are associated within -- some of them in AB 617

1 communities. And so I appreciate this presentation.

2 I had a couple of quick questions for Brian. One  
3 is in the slide you have statewide emissions reductions,  
4 you have masses in terms of tons of reduction of PM, NOx,  
5 and ROG. I wanted to find out if those numbers are total  
6 numbers, over what time, and what percentage of those --  
7 does that represent the percentage reduction in these 617  
8 communities or is it kind of a percentage of like is that  
9 a gross number there? That's one of my questions.

10 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

11 Got it. So, yeah, I should have explained that  
12 slide better. So those are estimated lifetime emission  
13 reductions of the projects funded with incentive funds  
14 from 2017 to November of 2022. And if you look at that  
15 slide -- I don't know if you have the PDF. I can't share  
16 my screen right now.

17 LINUS FARIAS: Yeah, I'm looking at it, yeah.

18 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

19 Okay. Yes, so those are lifetime emissions from  
20 those projects that are shown on the left side where we  
21 split up the money by source. And the percentages show  
22 that of those emissions, 30 -- like -- let's say like if  
23 we look at tons of PM, 36 percent of those emissions are  
24 happening within those 18 communities that so far have  
25 been selected through our traditional pathway in the

1 Program, and 64 percent are happening in that -- in the  
2 air districts and in other disadvantaged communities, but  
3 not officially selected ones. So it kind of gives you an  
4 idea of where that benefit is happening throughout the air  
5 districts.

6 LINUS FARIAS: Okay. So this is filtered by the  
7 actual just disadvantaged communities, that's the numbers  
8 for those, the reductions in all communities that occur?

9 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

10 Right. Right. So it's all like -- and that's by  
11 the California State definition. So you're talking like  
12 SB 535, you know, and CalEnviroScreen definition of a  
13 disadvantaged community, you know, based on census tracts.

14 And if you want to, Linus, I think that Arash put  
15 it in there. It's the annual report link. It has more  
16 detailed information about the progress of the emission  
17 reductions over the last year. I think we break it out by  
18 air district in that -- in that report, so -- or you can  
19 email me too. Like I should drop my email -- I don't know  
20 if I can.

21 LINUS FARIAS: Yeah, it's in -- it's in the slide  
22 deck there. So that's great. I'll shoot you a message.

23 And quick thing in the 25 seconds here left, when  
24 do you anticipate the part two of the Blueprint document  
25 to be released?

1 OCAP COMMUNITY PLANNING SECTION MANAGER MOORE:

2 I'm hoping really soon. It's under EO review.  
3 Our executive office has it, and what's this, the 16th?  
4 Hopefully in the next week. And I've already submitted  
5 the Spanish -- the version for Spanish translation. So  
6 we're happening to -- hoping to have both of those really  
7 soon. Probably the English version in a week and  
8 hopefully the Spanish version within 20 days.

9 LINUS FARIAS: Excellent. Thank you.

10 CHAIRPERSON ANASTASIO: Great. Thank you, Linus  
11 for your question. I'm looking. I don't see any other  
12 questions. So any members of the public, if you have any  
13 items, if there are any questions, please raised your  
14 hand.

15 DR. MOHEGH: I don't see any other and hand  
16 raised.

17 CHAIRPERSON ANASTASIO: Okay.

18 DR. MOHEGH: I'm just going to remind everyone  
19 that you can submit your written comments in the links  
20 that we provided earlier. I just reposted them in chat.  
21 You can find it in our website and also in the chat. And  
22 the portal is open until July 1st.

23 CHAIRPERSON ANASTASIO: Great. Thank you, Arash.  
24 Alright, seeing no additional oral comments,  
25 we'll conclude that section, which bring us to our final

1 item, consideration of administrative matters. Any  
2 thoughts from the Panel? Anything that you didn't get a  
3 chance to say that you'd like to say now?

4 No. Okay. Great. Second, we do not yet have a  
5 next meeting planned, but we will be working on that  
6 hopefully soon to get that going.

7 Third, I'd like to thank James, our intrepid  
8 court reporter for all of his work behind the scenes.

9 And fourth, I'd like to thank Arash for really  
10 organizing all of this and running the meeting through  
11 Zoom. I appreciate all the work that you've done.

12 DR. MOHEGH: Thank you, Cort.

13 CHAIRPERSON ANASTASIO: And with that, I'm  
14 looking for a motion to adjourn.

15 I see none. Okay. Well, we'll go for another  
16 hour then.

17 (Laughter).

18 PANEL MEMBER BESARATINIA: Everybody is waiting  
19 for another one.

20 CHAIRPERSON ANASTASIO: Yeah.

21 PANEL MEMBER BLANC: I move to adjourn.

22 CHAIRPERSON ANASTASIO: Alright, let's vote. All  
23 in favor?

24 (Hands raised).

25 CHAIRPERSON ANASTASIO: Fantastic. The motion

1 passes. We will adjourn. Thank you very much panelists  
2 for all of your work. Beate, have a good night.

3 PANEL MEMBER RITZ: Thank you.

4 CHAIRPERSON ANASTASIO: And thanks to everyone  
5 for participating today.

6 (Thereupon the California Air Resources Board,  
7 Scientific Review Panel adjourned at 12:41 p.m.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

