

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT
IDENTIFICATION COMMITTEE

JOE SERNA JR.
CAL/EPA HEADQUARTERS BUILDING
1001 I STREET
COASTAL HEARING ROOM
SACRAMENTO, CALIFORNIA

TUESDAY, OCTOBER 21, 2010

10:07 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

COMMITTEE MEMBERS

Dr. Dorothy T. Burk, Chairperson

Dr. Ellen B. Gold

Dr. Calvin Hobel

Dr. Kenneth L. Jones

Dr. Carl Keen

Dr. Hillary Klonoff-Cohen

Dr. Linda G. Roberts

Dr. La Donna White

STAFF

Mr. Allan Hirsch, Chief Deputy Director

Dr. George Alexeeff, Deputy Director

Ms. Carol Monahan-Cummings, Chief Counsel

Dr. Jim Donald, Chief, Reproductive Toxicology and
Epidemiology Section

Ms. Amy Dunn, Safer Alternatives Assessment and
Biomonitoring Section

Dr. Poorni Iyer, Staff Toxicologist, Reproductive and
Ecological Toxicology Section

Dr. Ling-Hong Li, Staff Toxicologist, Reproductive
Toxicology and Epidemiology Section

Ms. Cynthia Oshita, Proposition 65 Implementation

Dr. Lauren Zeise, Chief, Reproductive and Cancer Hazard
Assessment Branch

APPEARANCES CONTINUED

ALSO PRESENT

Ms. Sarah Janssen, Natural Resources Defense Council

Mr. Stanley Landfair, McKenna, Long & Aldridge

Ms. Renée Sharp, Environmental Working Group

INDEX

	<u>PAGE</u>
I Welcome and Opening Remarks	1
II Consideration of Chemical as Known to the State to Cause Reproductive Toxicity	
A. Methyl Isocyanate	
- Staff Presentation	6
- Public Comment	29
- Committee Discussion and Decision	31
III Discussion of the Next Prioritization Data Screen	
- Staff Presentation	74
- Committee Discussion and Decision	77
IV Procedures for Presentation of Public Comments, Committee Discussions, and Committee Votes During Meetings	
- Background and Introductory Remarks	83
- Committee Discussion	91
V Petition to Reconsider the Designation of NTP- Center for Evaluation of Risks to Human Reproduction as an Authoritative Body	
- Background and Introductory Remarks	107
- Committee Discussion	109
VI Staff Updates	
- Chemical listings and Safe Harbor Level Development	122
- Proposition 65 Litigation	124
VII Summary of Committee Actions and Closing Remarks	126
Adjournment	128
Reporter's Certificate	129

1 Panel, is Amy Dunn and Dr. Poorni Iyer.

2 So we have a list of agenda items for you. The
3 decision item for the day is going to be consideration of
4 methyl isocyanate as known to cause reproductive toxicity.
5 And then we have several information and discussion items,
6 which include a discussion of the next prioritization data
7 screen. And then Committee meeting procedures and a
8 petition to reconsider the designation of the NTP CERHR as
9 an authoritative body. And then finally after that, our
10 routine items involving staff updates, litigation updates,
11 that kind of thing.

12 So we'll go through just quickly basic
13 housekeeping items. In the event of an emergency, the
14 audience, it's -- the two exits are behind you and then
15 you would turn to the right and walk down the stairs and
16 walk out of the building here.

17 For people on the dais, I guess it's a little
18 more complicated. But the best thing to do is to walk out
19 the doorway behind here and follow the corridor to the
20 right, and that will get you to that stairway and out of
21 the building.

22 Not that we expect anything, but actually today
23 is the Great California Shakeout Day. And there's
24 supposed to be a statewide earthquake drill at exactly
25 10:21 a.m., so in 11 minutes. I have been told there's

1 not going to be any alarm here that will interrupt our
2 meeting. But if anyone does go outside or senses people
3 walking around and hearing discussions about earthquakes,
4 it's part of the drill. So there's no reason to get
5 alarmed.

6 And right. Also, for people in the audience,
7 there is a drinking fountain and restrooms are located out
8 the doors at the back of the room. For people on the
9 dais, there are restrooms and drinking fountains again in
10 the back exit there. And downstairs, there is a lunch
11 shop, if anyone needs to get something to drink or to eat.

12 So then with that, Carol, did you have some
13 opening comments or should I just turn the meeting over to
14 Dr. Burk.

15 CHIEF COUNSEL MONAHAN-CUMMINGS: Good morning. I
16 just want to make a couple comments before -- good
17 morning. I just want to make a couple comments before the
18 actual agenda item starts this morning, in terms of your
19 discussion of a particular chemical for possible listing.

20 So before you start your deliberations today, I
21 wanted to just touch on a couple points and then answer
22 any questions you might have.

23 I know that many of you are on a number of
24 committees and advisory groups. And generally, this one
25 only meets once a year. Because of that, we have included

1 in your general materials the guidance that this Committee
2 adopted in 1993 to help them focus and you focus on the
3 information that is most relevant to your decision in the
4 context of Prop 65.

5 These are criteria that you should be applying to
6 your decision today. You should note that a chemical can
7 be shown to be a developmental or reproductive toxin based
8 on either animal or human evidence. You aren't required
9 to have both.

10 Also, the guidance can help you to determine the
11 weight of the evidence for or against a listing of a
12 particular chemical. If you page through the document,
13 you'll see that consideration of actual or expected human
14 exposure to the chemical or the effects of any possible
15 warnings for exposures to the chemical are not discussed
16 there. These issues are not relevant to your decision
17 today and neither should be part of your deliberations.

18 You often receive comments or hear arguments from
19 stakeholders regarding the clearly-shown standard,
20 established in Prop 65. And I know that, at the last
21 meeting in particular, you received a number of comments
22 in that regard.

23 People may tell you that the decision you're
24 making is a legal decision, but that's not the case. It
25 is a scientific question that can have a legal effect.

1 Legal standards like "beyond a reasonable doubt"
2 or "preponderance of the evidence" are not standards that
3 you need to apply here. Prop 65 requires that you apply
4 your own scientific judgment to the question whether a
5 given chemical has been clearly shown through
6 scientifically valid testing according to generally
7 accepted principles to cause reproductive or developmental
8 toxicity.

9 You were appointed to this Committee by the
10 Governor because you are experts in your fields. Your
11 scientific expertise is what needs to be applied here, and
12 not your knowledge of the law or the economics or any
13 other field.

14 I also encourage you to take advantage of the
15 OEHHA staff's, scientific staff's expertise and
16 familiarity with the information that will be presented to
17 you today, particularly if something is not clear. You're
18 always welcome to ask questions.

19 So at this point, are there any questions from
20 the Committee concerning those comments?

21 Hopefully, all of you did receive the guidance.
22 And I think it was like one of the first tabs in your
23 materials.

24 Okay, thank you.

25 CHIEF DEPUTY DIRECTOR HIRSCH: Okay. So with

1 that, I will turn the meeting over to Dr. Burk.

2 CHAIRPERSON BURK: Good morning, everyone.

3 Thanks to all the Panel members for coming today. We're
4 all here, so we definitely have a quorum.

5 And thank you, Carol, for that little reminder of
6 our responsibility.

7 So the next item on the agenda is consideration
8 of methyl isocyanate as a chemical known to the State to
9 cause reproductive toxicity. And as usual, we start out
10 with staff presentations. And we have Dr. Poorni Iyer and
11 Amy Dunn. I don't know which one of you, but take it
12 away.

13 (Thereupon an overhead presentation was
14 Presented as follows.)

15 DR. IYER: Well, so good morning. My name is
16 Poorni Iyer, and I'm a staff toxicologist --

17 DR. DONALD: Microphone.

18 DR. IYER: Okay, good morning. My name is Poorni
19 Iyer and I'm a staff toxicologist with the Office of
20 Environmental Health Hazard Assessment. And this morning
21 I'm going to be presenting the evidence on the
22 developmental and reproductive toxicity of methyl
23 isocyanate, also known as MIC. MIC is a highly reactive
24 chemical, which is a carbamylating intermediate, and this
25 is the basis for its use in the manufacture of carbamate

1 pesticides and other industrial chemicals. It is also
2 found in tobacco smoke and exposure to MIC may also
3 occur --

4 --o0o--

5 DR. IYER: -- following applications of some
6 pesticides that are used in California as it is a
7 breakdown product.

8 It is a severe pulmonary irritant, and is
9 extremely toxic to humans after acute short-term exposure.
10 Effects of MIC on reproduction and development are based
11 on exposures to humans and livestock Bhopal, India in
12 1984. And in an attempt to understand the effects of this
13 chemical, animal studies were conducted in laboratory
14 species, the findings of which are going to be presented
15 today.

16 --o0o--

17 DR. IYER: Following inhalation exposure,
18 radiolabelled MIC was distributed throughout all body
19 tissues, but the majority was retained in the lungs with
20 detectable radioactivity in the uterus, placenta, and
21 fetus.

22 MIC was cleared slowly from the blood within
23 three days. And about 93 to 98 percent of absorbed MIC
24 was shown to be eliminated in the urine within 3 days.

25 --o0o--

1 DR. IYER: As far as the metabolism, the
2 metabolites of MIC include methylamine, dimethylamine,
3 trimethylamine and dimethylurea.

4 From in vitro data, the fetal toxicity of MIC
5 does not appear to be exerted through the methylamines and
6 is partly independent of maternal toxicity.

7 It may result from the transfer of MIC across the
8 placenta and interaction with fetal tissues. Also, SMG, a
9 conjugate of methyl isocyanate, MIC, and glutathione,
10 exerted embryotoxic and dysmorphogenic effects and may
11 contribute to systemic toxicity of MIC.

12 --o0o--

13 DR. IYER: Reviewing the non-DART effects. Acute
14 effects include bronchitis and bronchial pneumonia,
15 respiratory tract irritation, difficulty breathing, and
16 eye problems, which include loss of vision, loss of visual
17 acuity, and cataracts, as well as nausea, gastritis, fever
18 and chills.

19 Animal studies have reported pulmonary edema,
20 upper respiratory tract irritation, respiratory lesions,
21 and weight loss from acute inhalation exposure to MIC.
22 And the LC50 levels in rodents, following a six-hour
23 exposure were in the 6 to 12 ppm range.

24 Results from in vitro studies indicate that MIC
25 has the capacity to affect chromosome structure, but not

1 to induce gene mutation. Chromosomal effects by MIC
2 appear not to be dependent on any exogenous source of
3 metabolism.

4 No studies in animals after chronic exposure to
5 MIC are available. In the studies in which animals were
6 exposed once by inhalation, no tumors were significantly
7 associated with MIC. No other information on the
8 carcinogenic effects of MIC in humans is available

9 --o0o--

10 DR. IYER: Moving on to studies in animals.
11 While there have been anecdotal documentation that a large
12 number of cattle, as well as dogs, cats, and birds were
13 killed at Bhopal, findings from the literature on studies
14 conducted in the laboratory species are being presented
15 today.

16 The experimental data available on the toxicity
17 of MIC primarily aid in understanding the effect of MIC as
18 a major component in the chemical cloud released in 1984.
19 The studies that were available in the literature were
20 conducted both in mice and rats.

21 No deaths among the adult mice were observed at
22 the doses administered. The slope of the dose responsive
23 curve for MIC-induced toxicity is quite steep, with
24 exposures of mice to MIC at concentrations slightly higher
25 than 3 ppm resulting in fatalities in studies where such

1 --o0o--

2 DR. IYER: In the study by Schwetz et al. in
3 1987, in this study 30 male and female mice group were
4 mated following 4 consecutive days of exposure for 6 hours
5 per day to MIC vapors at 0, 1, or 3 ppm.

6 Mating trials were conducted during weeks 1, 8
7 and 17 following exposure. And the females were permitted
8 to deliver their litters, and the pups were observed until
9 21 days of age.

10 In this mating trial study, the authors noted
11 that concentrations slightly higher than 6 ppm had caused
12 significant lethality in mice.

13 As far as the findings, no significant adverse
14 effects were observed in mating trials conducted on male
15 and female mice exposed to MIC vapors, and there was no
16 effect on body weight, demeanor, fertility or litter size.

17 --o0o--

18 DR. IYER: In the same study in what the authors
19 termed the perinatal toxicity study design, here, to
20 evaluate the effects of sublethal concentrations of
21 inhaled MIC on development in mice exposed during
22 gestation, groups of mice were exposed to inhaled vapors
23 of MIC at 0, 1, or 3 ppm for 6 hours a day during the
24 gestation days 14 through 17. The females were permitted
25 to deliver their litters and the pups were observed until

1 21 days of age. No effect on maternal survival, body
2 weight, demeanor or length of gestation were noted.

3 Compared to controls, there was an increase in
4 the number of dead pups at birth in both the 1 and 3 ppm
5 MIC groups. There was also increased mortality among the
6 neonates for these dose groups throughout lactation as
7 well. And therefore there was an increased -- there was a
8 significant decrease in neonatal survival with this
9 increased mortality.

10 No information on the persistence or presence of
11 MIC milk was available.

12 --o0o--

13 DR. IYER: In another study conducted by Varma,
14 et al. in 1987, to simulate the Bhopal incidence, the
15 animals were exposed to MIC vapors only once for 3 hours
16 on a specific day of gestation. Either on gestation day 8
17 to 2, 6, 9 or 15 ppm or on gestation day 14 to 9 or 15
18 ppm. And standard teratology procedures were conducted on
19 gestation day 18.

20 --o0o--

21 DR. IYER: MIC vapor was found to be more toxic
22 to the mother on gestation day 14 than on gestation day 8.
23 Exposure to gestation day 14 to MIC at 9 ppm caused higher
24 mortality than exposure on gestation day 8. Suggesting
25 therefore a time specific sensitivity. And whether this

1 is a reflection of the time of development or the stage of
2 pregnancy it's not quite clear.

3 --o0o--

4 DR. IYER: Also in the study, there was a
5 concentration dependent decrease in body weights of
6 pregnant mice, and relatively selective fetal toxicity.
7 The single exposure of pregnant mice to MIC for 3 hours
8 resulted in a concentration dependent increase in embryo
9 loss at all dose levels of MIC exposure. There was
10 complete resorption in more than 75 percent of animals at
11 9 and 15 ppm MIC exposure levels. There was an increase
12 in visceral abnormalities and a decrease in fetal and
13 placental weights, as well as fetal skeleton size.

14 There was a decrease in the length of the
15 mandibles, about 20 percent decrease in length of the
16 mandibles and bones of the extremities. And the observed
17 decrease in length of bones noted in fetuses of MIC
18 exposed mice via inhalation may be indicative the skeletal
19 formation and may support the findings that will be
20 presented later on.

21 --o0o--

22 DR. IYER: Also included in the study by Varma et
23 al., was in addition to inhalation exposure, there was
24 exposure via I.P., or intraperitoneal injection. The
25 author stated that the fetal toxicity of MIC was produced

1 after I.P. injections, indicating that pulmonary
2 irritation was not essential for toxicity.

3 Moreover, since hypoxia resulted in a different
4 set of abnormalities, the findings suggest that pulmonary
5 involvement and attendant hypoxia may not be the sole
6 cause of the fetal toxicity of MIC.

7 --o0o--

8 DR. IYER: Moving on to the study by Singh et al.
9 In this study, in the rat, females were exposed prior to
10 mating and standard teratology procedures were conducted
11 on gestation day 20. The rate of resorptions increase in
12 a dose-dependent manner. Also, does-dependent was the
13 decrease in fetal weight. And as far as teratology
14 findings, several anomalies were observed.

15 However, in this study, individual data were not
16 provided and statistical significance of the findings was
17 also not known.

18 --o0o--

19 DR. IYER: Moving on to other relevant
20 information on developmental toxicity, embryos exposed to
21 MIC vapor, both in utero or in vitro exhibited a
22 concentration-dependent decrease in growth in culture.
23 Exposure to MIC significantly decreased maternal plasma
24 progesterone levels in mice that lost, but not in mice
25 that retained, pregnancy.

1 And authors from these studies concluded that
2 fetal toxicity of MIC is partly independent of maternal
3 toxicity and may result from the transfer of MIC across
4 the placenta and interaction with fetal tissues.

5 --o0o--

6 DR. IYER: The authors also reported that the
7 results from one definitive study suggest that the fetal
8 toxicity of MIC is not exerted through methylamines, the
9 known metabolites of MIC. However, in other cultured
10 embryo experiments, decrements in crown-rump length,
11 yolk-sac diameter, head length and embryo survival were
12 observed.

13 Also, exposure of a conceptus in utero resulted
14 in more toxicity than exposure of the gonadal cells prior
15 to mating.

16 Other commentaries have also concluded that on
17 the whole respiratory complication and the resulting
18 hypoxia were bound to affect fetuses as much it did the
19 mothers.

20 --o0o--

21 DR. IYER: Reviewing the effects on the female
22 reproductive system, MIC vapor resulted in a decrease in
23 body weights of pregnant mice, as well as placental
24 weight. There was a significant dose-dependent increase
25 in the number of implants absorbed. Exposure to MIC

1 significantly decreased maternal plasma progesterone
2 levels in mice that lost, but not in mice that retained
3 pregnancy.

4 In the rat, no adverse effects on reproduction
5 were noted after exposure of female rats to MIC 70 days
6 prior the mating.

7 --o0o--

8 DR. IYER: Reviewing the effects on the male
9 reproductive system. There was a transient decrease in
10 mating performance of MIC exposed male mice cohabited with
11 untreated females. There was a loss of spermatozoa and
12 degenerative changes in spermatocytes were observed.

13 No effect on the incidence or distribution of
14 resorptions in the pregnant females mated to the treated
15 males. And the authors reported that there was no
16 evidence of a dominant lethal effect in exposed male mice.
17 And the data are presented in the HIM materials which show
18 that there is a trend on week 2. However, statistical
19 significance was not reported.

20 --o0o--

21 DR. IYER: Summarizing the animal data. For
22 developmental effects, the animal data suggests an effect
23 on fetal loss subsequent to in utero exposure; a
24 significant decrease in neonatal survival; adverse
25 skeletal effects, including a shortening of bones.

1 MS. DUNN: In December 1984, there was an
2 accidental release of methyl isocyanate in Bhopal, India.
3 The accident occurred at a pesticide manufacturing plant
4 operated by Union Carbide. From a large tank
5 approximately 30 metric tons of methyl isocyanate escaped
6 over a one hour period. The gas spread like a cloud over
7 the densely populated area, and an atmospheric inversion
8 kept the cloud in place for several hours.

9 Approximately 100,000 people were severely or
10 moderately exposed and more than 400,000 people were
11 mildly exposed. In the first three days, somewhere
12 between 2,500 and 5,000 people died from the exposure.

13 --o0o--

14 MS. DUNN: The mean concentration of methyl
15 isocyanate in the gas cloud was estimated as 27 parts per
16 million. This is only an average. Some people were
17 exposed to much higher levels. As a comparison, the
18 occupational health threshold limit value, or TLV, is .02
19 parts per million, 1,000 times lower than the estimated
20 average exposure.

21 As was mentioned earlier, there is a possibility
22 that additional contaminants may have been present in the
23 gas cloud. No measurements were made during the accident.
24 However, given the extremely high volume of methyl
25 isocyanate that was released to the atmosphere, it's

1 reasonable to assume that the predominant, if not sole
2 exposure, faced by those who encountered the gas cloud was
3 methyl isocyanate.

4 Individuals were exposed via the respiratory
5 tract, skin, and through ingestion of their saliva.
6 Because the accident happened during the middle of the
7 night, many people were sleeping, and some awoke in a
8 panic and ran trying to escape the extreme irritant
9 effects of methyl isocyanate. This activity increased
10 their exposure to the chemical.

11 --o0o--

12 MS. DUNN: A number of studies are available on
13 developmental effects associated with methyl isocyanate
14 exposure due to the Bhopal disaster. Eight studies of
15 pregnancy outcome and neonatal mortality were identified
16 and are shown on this slide.

17 Two studies of effects after birth related to in
18 utero exposure were also identified, and I will describe
19 those in a few moments.

20 Of the 8 studies of pregnancy outcome and
21 neonatal mortality, all found that those in the affected
22 areas had elevated pregnancy losses. The two earliest
23 reports by Shilotri et al. and by Varma 1987, as well as
24 the investigation reported by Dhara and Dhara lacked
25 robust controls or had limited reporting.

1 The study by Kanhere was a somewhat different
2 type of study that looked at human placentas. These
3 investigators found that the placenta from full-term
4 pregnancies in gas-exposed women had significantly lower
5 mean weight than those from unexposed women. These
6 investigators reported a higher percentage of negative
7 histological changes, such as calcification in the
8 placenta of exposed women.

9 Four of the pregnancy outcome studies calculated
10 specific rates or provided comparison rates in control
11 populations. These are indicated with an asterisk on this
12 slide.

13 --o0o--

14 MS. DUNN: This table shows the four studies that
15 calculated specific rates. The study by Bhandari et al.
16 is the most robust study in terms of the type of
17 information collected and published. Bhandari et al.
18 reported the difference in spontaneous abortion rates
19 between women in the affected and control areas was
20 statistically significant at the .001 level.

21 For the other studies, results of statistical
22 analyses comparing rates of early loss in women from the
23 affected versus control areas are not reported by study
24 authors. You can see, however, that the increased rates
25 in affected women in the other studies are comparable to

1 MS. DUNN: This chart shows the follow up for
2 five years after the gas disaster -- the results of the
3 follow up for five years after the gas disaster by the
4 Indian Council for Medical Research.

5 These investigators recorded spontaneous abortion
6 rates in women in Bhopal. The different color lines on
7 the chart correspond to women from the different areas
8 distinguished by the severity of the effects suffered in
9 that area during the gas disaster, as a surrogate for the
10 exposure level.

11 You can see here on the left side of the graph,
12 immediately following the gas disaster in 1984, there was
13 a widespread in the rates that appears to be related to
14 area of residence. We saw those numbers in the table on
15 the last slide, 52 percent in the severely affected area.

16 In subsequent years, the rates in the affected
17 areas continued to be elevated in relation to the control
18 area with some variation from year to year that may be
19 related to the somewhat inconsistent follow-up carried out
20 by these investigators over the five-year period.

21 However, the rates in the areas severely or
22 moderately affected by the gas continued to be
23 significantly higher than rates in the control area,
24 throughout the five years of follow up, with a single
25 exception of the rate in the moderately affected area in

1 These investigators found significantly decreased
2 size for males exposed in utero, in terms of weight,
3 height, mid-arm circumference and head circumference.
4 This study, while limited by the small number of subjects
5 exposed in utero was well controlled for potential
6 confounders.

7 --o0o--

8 MS. DUNN: In a study published recently, Mishra
9 et al. examined immune function in individuals exposed in
10 utero during the first trimester of pregnancy. These
11 measurements were made when the individuals were age 24
12 years. All of the blood parameters listed on the slide
13 were significantly elevated at the .001 level in those
14 exposed. The authors conclude that in utero exposure to
15 methyl isocyanate during the first trimester, "has caused
16 a persistently hyper-responsive cellular and humoral
17 immune state in affected individuals". They intend to
18 follow exposed individuals to identify clinical
19 implications, if any, of this immune hyper-responsiveness.

20 --o0o--

21 MS. DUNN: Turning now to the evidence of female
22 reproductive toxicity, there are two studies of menstrual
23 dysfunction and gynecological complaints not related to
24 pregnancy outcome. Shilotri et al. examined gynecological
25 complaints in exposed women soon after the accident and

1 reported finding cervical inflammation and dysplasia that
2 led them to call for periodic follow up regarding
3 potential carcinogenesis.

4 The brief report on the Medico Friend Circle
5 study reported, reported by Dhara and Dhara, notes
6 alteration in menstrual cycle duration in women exposed to
7 the gas cloud without comparison to an unexposed
8 population.

9 Of the three relatively recent review articles,
10 two, Varma 2005 and Mishra et al., include anecdotal
11 reports of, "menstrual problems in girls affected by the
12 gas".

13 The third review article, Sharma 2005, notes that
14 those exposed to methyl isocyanate "continue to suffer
15 from reproductive and other disorders".

16 With regard to the pregnancy outcome studies
17 described above, the increased rates of spontaneous
18 abortions seen in these studies may reflect female
19 reproductive toxicity, as well as or instead of direct
20 effects on the fetus.

21 In particular, the finding of continued increased
22 rates of spontaneous abortion in the two studies that
23 followed women for years after the gas exposure both found
24 that these women continued to experience higher rates of
25 spontaneous abortion.

1 MS. DUNN: In summary of the human data on methyl
2 isocyanate, the findings all come from studies of people
3 exposed to the gas disaster in Bhopal. There are multiple
4 studies showing adverse impacts on pregnancy outcome. And
5 it appears these affects persisted over years following
6 the accident.

7 There are two studies showing postnatal effects
8 seen in those exposed in utero, including effects on
9 physical growth and on immune function. Clinicians in the
10 field continued to report findings of gynecological
11 problems in exposed women in Bhopal. And neither of the
12 on two studies available on male reproductive toxicity was
13 adequate to identify an effect.

14 --o0o--

15 MS. DUNN: Finally, bringing together the
16 findings of the animal and human studies of methyl
17 isocyanate, I will briefly summarize the evidence.

18 With regard to developmental toxicity, both
19 animal and human studies demonstrate an effect on survival
20 of the exposed conceptus. This is seen in terms of fetal
21 losses and resorptions in animal studies and increased
22 rates of spontaneous abortion in human studies.

23 Elevated rates of neonatal mortality were also
24 seen in both animal and human studies. There is also
25 evidence of effects on growth postnatally, with a

1 shortening of bones seen in animal studies and a shorter
2 stature seen in human studies.

3 --o0o--

4 MS. DUNN: The increased rates of fetal loss and
5 neonatal mortality, seen in both animal and human studies,
6 may also possibly reflect an effect on female reproductive
7 toxicity. In particular, the continued elevated rates of
8 spontaneous abortion seen in years following the exposure
9 in Bhopal may indicate an effect that is mediated by
10 female reproductive toxicity.

11 In addition, both animal and human studies found
12 decreases in placental weight in those exposed compared to
13 controls.

14 --o0o--

15 MS. DUNN: For male reproductive effects, the
16 animal data show a reversible decrease in mating
17 performance and loss of spermatozoa with no dominant
18 lethal effects. The available human studies were not
19 adequate for detection of a transient effect on
20 spermatogenesis.

21 --o0o--

22 MS. DUNN: This concludes our presentations on
23 methyl isocyanate developmental and reproductive toxicity.
24 We would be glad to respond to any questions you may have.

25 CHAIRPERSON BURK: Do any of the Committee

1 members have questions at this time?

2 Ken.

3 COMMITTEE MEMBER JONES: Thanks, Dotty. Can you
4 say something about how much use there is of this agent in
5 California. I know you mentioned Kern County, but
6 elsewhere in California, and how much of a problem it is
7 here?

8 DR. IYER: Well, it is a breakdown product of
9 pesticides that are used in California. And so there's a
10 chance of exposure. And its present in the HIM I've kind
11 of talked about how much it might actually -- you know,
12 how relevant it is.

13 COMMITTEE MEMBER JONES: Okay.

14 DR. IYER: And it's also present in tobacco
15 smoke.

16 CHAIRPERSON BURK: Other questions?

17 That doesn't preclude you from asking later as we
18 go through this.

19 I don't have any cards, so I'm assuming -- are
20 there any public comments?

21 Oh, well, would you bring your card up, please.

22 CHIEF DEPUTY DIRECTOR HIRSCH: There were no
23 written comments that were received during the written
24 comment period.

25 MS. SHARP: Hi. I'm Renée Sharp. I'm the

1 California Director of the Environmental Working Group.
2 And I just wanted to make a very short comment, which is I
3 cannot imagine a situation that is more cut and dried than
4 this one.

5 It is unfortunate that we -- that such a
6 disaster, which had grave impacts on human health, would
7 provide us the opportunity to have such cut and dried
8 data. But since we have it, I think it's just -- I just
9 kind of want to make the point that, you know, you have a
10 situation here where there is clear human evidence and we
11 know there's exposure in California. So I don't think
12 there should be any question about listing.

13 Thank you.

14 CHAIRPERSON BURK: Thank you. That was Renée
15 Sharp, Environmental Working Group.

16 And this is Sarah Janssen, NRDC.

17 MS. JANSSEN: That's right. Good morning. My
18 comments will also be short. I agree with Renée Sharp
19 from EWG that this is a pretty cut and dried case for
20 listing. And I also just wanted to reiterate that I was
21 quite struck from the information in the first
22 presentation on animal studies about the differing effects
23 depending on the timing of exposure during gestation. And
24 I think this is another example of many of the chemicals
25 that come before this committee where this is the case,

1 where fetal exposures have long-term implications and
2 where the timing of exposure is really important.

3 So again, I urge you to support listing this
4 chemical and thank you for your attention.

5 CHAIRPERSON BURK: Okay, thank you. And I assume
6 that's the end of the public comments.

7 So we'll begin our discussion here. I would say
8 maybe we should -- well, first, let me say, I didn't
9 assign anybody anything this time, which I know is perhaps
10 not unexpected, but I thought that it was a fairly
11 digestible Hazard Identification Materials that we
12 received, so that we should each feel free to comment on
13 our areas of expertise. And I hope you will all chime in.

14 So I'd like to start with developmental toxicity,
15 cause I think -- let's start with the human studies and
16 see. If we can possibly use our guidance this time and
17 speak in terms of sufficiency of evidence, human versus
18 animal, and so forth, and try to mention specific
19 endpoints, I think we can discuss this fairly judiciously.
20 I use that term loosely. Remember, it's not a legal
21 hearing. This is your scientific judgment.

22 All right. Could I start by asking Dr.
23 Klonoff-Cohen just to comment on the epidemiology studies,
24 since that I know is your area of expertise.

25 COMMITTEE MEMBER KLONOFF-COHEN: I actually

1 thought that the summary that was provided was really
2 thorough and very well done. And I don't have much to
3 add, to be honest.

4 I think regardless of what the limitations were
5 in each of the studies. And there were certainly numerous
6 limitations in every study, the striking thing is, in
7 fact, that there were consistent findings across the
8 studies. I mean, such as -- and you demonstrated this
9 very nicely, in terms of have you looked at the
10 spontaneous abortions in the four studies, that each and
11 every one of them had limitations, yet they all found
12 things. And I'm talking about the Bhandari, Kapoor, Varma
13 and ICMR study.

14 If you go onto the follow-up studies after the
15 gas leak, the same thing in terms of -- and you covered
16 all this very nicely -- in terms of the Kapoor study and
17 the ICMR study, which is the one that had the graph where
18 you showed the different colors, once again supported it.

19 I think that if we move on -- do you want me to
20 move on or --

21 CHAIRPERSON BURK: No, let's stick with those
22 right now, and try to do this kind of systematically.

23 COMMITTEE MEMBER KLONOFF-COHEN: Yeah. Do you
24 want to --

25 CHAIRPERSON BURK: Would you say - let's put out

1 a motion almost - that the human evidence would be
2 sufficient in this case to support listing?

3 I'm trying to work from the guidance. We'll talk
4 about the animal as a back-up to that.

5 COMMITTEE MEMBER KLONOFF-COHEN: Right. I think,
6 as I said before, I mean, each and every one of the
7 studies -- certainly their designs were somewhat flawed in
8 certain ways. And yet, I think that the results all
9 complemented one another and all showed that there was an
10 effect. So I would think so, yeah.

11 CHAIRPERSON BURK: Okay. Does anyone agree or
12 disagree with that?

13 I see Dr. White nodding her head. So we'll go
14 down the row here.

15 COMMITTEE MEMBER WHITE: Yes, definitely.

16 CHAIRPERSON BURK: All right. So we get
17 agreement. Are there any other discussion about the human
18 studies?

19 Let's at least look at the animal studies as to
20 whether they support the findings.

21 Dr. Roberts.

22 COMMITTEE MEMBER ROBERTS: Yes. Let me flip to
23 the page again. I think it supports it for the percent
24 dead. I'm looking at page 33.

25 CHIEF COUNSEL MONAHAN-CUMMINGS: Dr. Roberts, I

1 don't think we can hear you. If you could maybe put the
2 mic up closer and make sure it's on.

3 COMMITTEE MEMBER ROBERTS: It's got a green
4 light. It has a green light, so I hope it means it's on.

5 CHAIRPERSON BURK: Yeah. And you just have to
6 put your mouth really close to the microphone.

7 COMMITTEE MEMBER ROBERTS: The animal data,
8 especially from the Schwetz study, seems to support also
9 by having an increase -- a dose-response type of increase
10 in the offspring -- dead offspring, stillborns, or early
11 mortality.

12 I'm not quite as convinced by the -- it looks
13 like it has an effect upon fetal growth also. I'm not
14 convinced I would call it necessarily specific or
15 selective fetal toxicity, because much of what I see in
16 the bones being shortened is what I would expect to see in
17 a smaller fetus. But there are several other findings
18 where ribs were absent that would be not simply a fetal
19 growth retardation.

20 CHAIRPERSON BURK: Okay. Any other comments
21 about the animal studies?

22 What I'm hearing is you feel it supports the
23 weight of evidence?

24 COMMITTEE MEMBER ROBERTS: Yes.

25 CHAIRPERSON BURK: Is there any discussion of the

1 maternal toxicity issue, which is something that comes up
2 periodically in our discussions. I mean, this is a
3 situation that is a little different than our usual sort
4 of chronic exposures to things. Most of the designs of
5 the study seem to be more of an acute exposure, which
6 would mimic the Bhopal incident. But I don't know how
7 that exactly translates into, you know, a lower level of
8 more chronic exposure.

9 COMMITTEE MEMBER KEEN: Yeah. That's probably
10 the only thing that's a -- concern is not quite the right
11 word, but sorting out is there direct teratogenic effects
12 of the methyl isocyanate versus maternal toxicity? I
13 don't think an overwhelmingly strong case is made. There
14 are a few references in the experimental animal literature
15 that says food intake wasn't affected, but the data aren't
16 shown, and which sometimes causes a mild bit of concern,
17 because in this case, it may have only been a single day.
18 One day of severe food restrictions, enough to cause some
19 of the delayed skeletal ossification. That's very clear
20 from experimental animal literature. So one is left with
21 the situation of having to make some assumptions.

22 With that said, the human data, particularly the
23 seeming persistence of reproductive complications past the
24 acute time period would argue that there are some effects
25 above and beyond maternal toxicity.

1 CHAIRPERSON BURK: Good, thanks. Any other
2 comments on developmental toxicity?

3 COMMITTEE MEMBER JONES: Yeah, just for my
4 edification. Is there precedence for shortening of bones
5 in an animal model correlating with short stature in
6 humans?

7 CHAIRPERSON BURK: That's a good question. And I
8 found that the most intriguing, particularly in the human
9 study it was just in the boys.

10 COMMITTEE MEMBER JONES: In the boys, right.

11 CHAIRPERSON BURK: You know --

12 COMMITTEE MEMBER JONES: Are we suggesting
13 that -- I don't think we are suggesting or anybody is
14 suggesting this is a skeletal dysplasia that's occurring
15 in males. So I'm wondering how that short bones in any
16 way is consistent with short stature in -- postnatal short
17 stature in boys, humans.

18 COMMITTEE MEMBER ROBERTS: I don't know of a
19 correlation. I was wondering with only three males in the
20 exposed group, how strong that data actually would be,
21 even with statistical significance.

22 CHAIRPERSON BURK: Yeah, that's certainly an
23 issue. The numbers are very small, but did staff have a
24 comment on this?

25 MS. DUNN: Well, it might be of interest to the

1 Panel to know that the authors of the study human growth
2 mentioned that one of the degradation products of methyl
3 isocyanate is trimethylamine, which has been reported to
4 produce selective growth retardation of male progeny of
5 mice associated with a decrease in serum testosterone.

6 CHAIRPERSON BURK: So if there was a specific
7 effect on testosterone, that would possibly explain the
8 specific male effect.

9 MS. DUNN: Right. They were pointing to that as
10 an explanation why, in the males and not the females, they
11 found the effect.

12 CHAIRPERSON BURK: Yeah. I think that's
13 intriguing, but I don't know that we can, you know, list
14 that as an end point of concern.

15 All right. Any other comments about
16 developmental toxicity?

17 Let's move on to female reproductive toxicity.
18 You know, in this case, again, we have the issue of
19 increased spontaneous abortions falling into both of these
20 categories in our guidance, kind of potentially being an
21 effect on the female as opposed to an effect on the fetus
22 specifically.

23 And it would appear that the continuing elevated
24 rates of spontaneous abortion might support us listing
25 under female reproductive toxicity.

1 Any comments on that?

2 Dr. Hobel.

3 COMMITTEE MEMBER HOBEL: Yes. I think that one
4 of the important things to consider here is that there is
5 information in both the animal literature about the
6 potential effect of stress. They did measure
7 corticosteroid in some of the animal models that was
8 elevated.

9 And in some situations, actually corticosteroid
10 had lower levels, but again you get into the issue of
11 stress being associated with increased corticosteroid
12 levels, but as you have also chronic stress, the levels
13 will be lower.

14 And I think one of the issues in humans is that
15 the tremendous amount of stress that women went through
16 with exposure with pulmonary problems, tremendous high
17 incidence of mortality in adults. And as you know, that
18 stress affects the hypothalamic pituitary adrenal access,
19 and affects ovulation, and leads to permanent, sometimes,
20 chronic stress with anovulation and problems with
21 pregnancy.

22 And you know, we now are very interested in fetal
23 programming, but we're also now interested in what happens
24 to adult people, where you have chronic stress over time,
25 that there's a permanent effect on one's health. So if

1 you have a lot of psychosocial stress, history of loss of
2 pregnancies, whether it's abortion or pre-term birth, that
3 that increases your long-term stress response that can
4 affect reproduction.

5 So I think that the amount of stress of these
6 people and their continuing high frequency of diseases,
7 whether it's ocular, skin, or pulmonary problems leads to
8 a much higher frequency of chronic stress, which can
9 affect reproduction.

10 CHAIRPERSON BURK: Any other comments on that
11 topic?

12 Yes.

13 COMMITTEE MEMBER KEEN: It's probably just worth
14 noting that I think consistent with what Dr. Hobel has
15 just suggested is the lack of apparent dose differences or
16 exposure differences in the data over several years. I
17 mean, if one had to be a little fine, something a little
18 bit disquieting, it's why you would not see a difference
19 between the heavily exposed versus those minorly exposed.
20 What you would anticipate though is if they're all in the
21 Bhopal area, that the level of stress may actually still
22 be quite similar.

23 So I think that would be consistent with the fact
24 that it may be tangential here.

25 CHAIRPERSON BURK: Yeah, I agree with that in a

1 way, if you just look at this kind of all as regressing to
2 the same level.

3 Are there any -- I think what I'm hearing is we
4 may not be able to say specifically that methyl isocyanate
5 has caused the spontaneous abortion persistence in females
6 and human females. But is there any data from the animals
7 that would support or not support?

8 COMMITTEE MEMBER JONES: Dotty, before you get to
9 the animals.

10 CHAIRPERSON BURK: Go ahead, please.

11 COMMITTEE MEMBER JONES: The placental weight is
12 being placed under a female reproductive effect. I don't
13 think it goes there, does it? I mean, isn't that a
14 development -- isn't that the fetus?

15 CHAIRPERSON BURK: Yeah, I think -- I believe,
16 and I can check in our guidance, that it probably is in
17 there, because -- and maybe some of the others can
18 comment, that it can be a female reproductive problem
19 if --

20 COMMITTEE MEMBER JONES: How?

21 CHAIRPERSON BURK: Well, maybe could someone
22 explain how they think that got into our -- and I want to
23 read it, because maybe I am --

24 COMMITTEE MEMBER JONES: I mean, the placenta is
25 the baby.

1 CHAIRPERSON BURK: You're right. And presumably
2 unless the placenta is --

3 COMMITTEE MEMBER JONES: Unless the uterus has
4 been affected in a way that is causing --

5 CHAIRPERSON BURK: Let's check that. Give me a
6 second to find it in here.

7 COMMITTEE MEMBER JONES: Right.

8 CHAIRPERSON BURK: So I'm going to go to the part
9 under female reproductive toxicity is defined "to include
10 effects on the adult or, where appropriate, developing
11 female organism, including, but not limited to, adverse
12 effects on reproductive structure or function".

13 All right, so not on that one -- "or impaired
14 reproductive performance, which includes increased
15 pregnancy wastage, such as miscarriage, spontaneous
16 abortion, or stillbirth, inability to conceive or adverse
17 effects on sexual behavior".

18 So I don't see that specifically listed. Unless
19 you could somehow interpret it as a -- I don't know. I
20 really don't know.

21 Can anyone help me there?

22 Staff, since you included that under female?

23 DR. IYER: Well, you know, as far the female
24 reproductive system and maintaining pregnancy, it was at
25 that level, you know, the placenta contributing to the

1 female reproductive system, as far as maintaining
2 pregnancy.

3 DR. DONALD: As in many cases, what was mentioned
4 in the presentation, it's difficult sometimes to attribute
5 an adverse outcome on the conceptus -- to a direct effect
6 on the conceptus or effect that's mediated through the
7 female reproductive system.

8 So we generally default to identifying effects
9 under both developmental and female reproductive toxicity
10 if it's not entirely clear what the etiology is of the
11 effect. So since the placenta is obviously the interface
12 between the female reproductive system and the conceptus,
13 if there's an adverse effect on the placenta, we generally
14 identify under both endpoints and essentially leave it up
15 to you to decide which or whether it falls under one or
16 both or neither.

17 CHAIRPERSON BURK: Does that help, Ken?

18 (Laughter.)

19 CHAIRPERSON BURK: I'm not sure if it does.

20 COMMITTEE MEMBER JONES: It's a little gray.

21 COMMITTEE MEMBER HOBEL: I think that there is
22 information that was presented that suggests that there
23 are certain organs that were sort of sites where this
24 chemical was deposited during exposure. And this is true
25 in animal models, and in humans that the placenta and the

1 fetus received a fair amount. And I think this is
2 probably related to the tremendous amount of blood flow
3 that occurs during pregnancy to the conceptus.

4 And therefore, it's reasonable that this chemical
5 could affect placental function. At the same time, there
6 was a lot of nutritional problems in these subjects that
7 were exposed that was never well defined. But there were
8 some comments in some of the papers about the fact that
9 they did measure this substance in the placenta of those
10 pregnancies that were lost. And they were able to measure
11 it and find it. Therefore that suggests it was there and
12 may contribute to, you know, reproductive failure.

13 So I think it's scientifically reasonable to
14 assume that this chemical does play a role in reproductive
15 toxicity. And therefore, I think it's reasonable to
16 assume that there's probably a combination of events that
17 leads to the poor outcome. And I think the amount of
18 stress that these women had, and the chronic stress over a
19 long period of time resulted in tremendous changes in the
20 reproductive potential of these people that also
21 contributed.

22 So I think it's a complex issue where there's --
23 it's multi-factorial, but it's scientifically plausible
24 that there are several things going on at the same time.

25 I also -- there was mention in one of the papers

1 that the people that lived in this area continued to
2 consume food and water that came from this area, which
3 also was contaminated and was never really studied very
4 well.

5 So there was continued exposure over time that
6 may lead to this more chronic persistence of their
7 diseases that were associated with this chemical.

8 CHAIRPERSON BURK: So would you argue that even
9 if stress is the mechanism, that that would still support
10 identifying MIC as a female reproductive toxicant?

11 COMMITTEE MEMBER HOBEL: I don't think stress was
12 a main cause, but it contributes to the long-term effects
13 of what we're dealing with. I think there's sufficient
14 evidence that there is reproductive toxicity secondary to
15 the substance.

16 CHAIRPERSON BURK: Okay. So how does that weigh
17 into, you know, sufficiency of evidence for us listing it.
18 It's tricky. I'm not trying to put you on the spot, but I
19 do believe the long-term effect, and I do think that the
20 stress idea is very plausible. What I'm not sure is if I
21 can say that MIC is directly responsible for the long-term
22 effect. Although, it's possible. We don't have any
23 animal data to back that up, which is what I would like to
24 see. So that's why it's a little fuzzier to me. If we
25 can specifically identify MIC as causing female

1 reproductive toxicity, but I will leave that all to
2 your --

3 COMMITTEE MEMBER JONES: So you would suggest
4 that as an alternative, it's stress from being in this
5 disaster that's leading to the -- I'm talking to you,
6 Dotty -- that you are suggesting that it's stress due to
7 having been in this horrible accident, over a long period
8 of time, that explains the continued spontaneous --
9 increased spontaneous abortion rate years after the
10 accident?

11 CHAIRPERSON BURK: No, I'm not -- I'm trying to
12 get an argument going. What I'm hearing from Dr. Hobel is
13 that it's long-term stress, because these people live with
14 this every day, even though it was years ago, with the
15 stress.

16 I just don't see, myself, a mechanism to say that
17 something happened then that cause the long-term increase
18 in spontaneous abortions that's directly related to MIC.
19 I don't know. I would like someone to argue it one way or
20 the other.

21 COMMITTEE MEMBER JONES: Well, are we discounting
22 cervical inflammation and dysplasia?

23 CHAIRPERSON BURK: No. See, that's what I want
24 to hear. So if there are gynecological problems that
25 persist over a long period of time, then I think it's a

1 fair problem.

2 COMMITTEE MEMBER JONES: Yeah. Well, the problem
3 is I don't think that it's really been -- I don't think
4 the cervical inflammation and dysplasia has been followed.
5 I may be wrong. I don't think in the Dhara and Dhara
6 paper that the alteration in menstrual cycle duration has
7 been adequately followed, but they certainly are both
8 female reproductive issues that I think it's plausible
9 that they are leading to this.

10 Of course, I'm not quite as worried about this
11 stress issue as others may be.

12 CHAIRPERSON BURK: Good comment.

13 COMMITTEE MEMBER KLONOFF-COHEN: Dotty, if it was
14 just stress, then -- I think stress certainly plays a
15 role. But if you look at where the people were living and
16 if you see that the distance where they're very, very
17 close, versus where they're further away, there's
18 different effects.

19 And so I don't think that the people were
20 necessarily aware of where they were living, so the stress
21 should have made all of those results equal. And yet, you
22 see a difference in terms of the closer the population
23 was, the more severe the effect.

24 COMMITTEE MEMBER KEEN: I think I just have to
25 make the observation that the data are not very

1 convincing. And even though I think -- we're almost kind
2 of saying, well, we think there may be there, if they'd
3 done the studies right, but the reality is we should be
4 judging the actual data, which has been presented in the
5 studies had they been conducted.

6 And I have to echo the concern that it was not
7 just this incident. I mean, as was pointed out, there was
8 some severe potential, we think, dietary issues that
9 persisted for several years. This is an area that has a
10 lot of problems, besides this incident.

11 So while the developmental toxicity seems to be
12 fairly straightforward and clear, I'm underwhelmed by the
13 fact that we have the data saying that there's these
14 persistent maternal reproductive effects. I just simply
15 don't see the information provided for us.

16 COMMITTEE MEMBER KLONOFF-COHEN: Does anybody
17 know what the confounders were that were adjusted for in
18 the Bhandari study, since that's so robust, and it was the
19 largest?

20 MS. DUNN: I'm sorry, I didn't hear that.

21 COMMITTEE MEMBER KLONOFF-COHEN: Do you know what
22 the variables were that they adjusted for in the Bhandari
23 study?

24 DR. ALEXEEFF: What variables were there in
25 Bhandari.

1 COMMITTEE MEMBER KLONOFF-COHEN: Which
2 confounders?

3 MS. DUNN: I can't really hear what you're
4 saying.

5 CHAIRPERSON BURK: Let me see if I can say it.
6 She wanted to know which of the confounders or variables
7 were adjusted for in the Bhandari study.

8 MS. DUNN: That's the study of spontaneous
9 abortion.

10 COMMITTEE MEMBER KLONOFF-COHEN: (Nods head.)

11 MS. DUNN: I can look it up. I don't know it off
12 the top of my head.

13 CHAIRPERSON BURK: George.

14 DR. ALEXEEFF: George Alexeeff. There was a
15 question earlier about the animal support for this
16 question. And so there is, you know, in the information
17 on the radioactivity studies in the animal data. And
18 possible Dr. Iyer could mention that.

19 CHAIRPERSON BURK: Say that again, which --

20 DR. ALEXEEFF: In the animal studies, there were
21 radioactivity studies in terms of the --

22 CHAIRPERSON BURK: Carbon 14.

23 DR. ALEXEEFF: -- the sites where MIC actually
24 accumulates. And so maybe Dr. Iyer could mention that
25 again.

1 DR. IYER: Yes. On page 10 of the HIM under the
2 pharmacokinetic section, where they've talked about
3 exactly where MIC was found. And as far as the females
4 go, you know -- as far as the fetus and the uterus, in
5 addition to all the other -- so the reproductive system
6 was definitely exposed to MIC. So if there was any
7 questions about whether it was -- whether the female
8 reproductive system was targeted or it was just a general
9 systemic effect -- if you're trying to tease that out in
10 your head, whether it was just -- the female was -- you
11 know, there was insult to the female as a body, systemic
12 toxicity versus the reproductive system in particular.

13 CHAIRPERSON BURK: I think I see what you're
14 saying --

15 DR. IYER: I don't know if that's --

16 CHAIRPERSON BURK: -- but I'm not sure that's a
17 strong case.

18 DR. IYER: I didn't know if there was a concern
19 for whether the female reproductive system was targeted or
20 it was just an overall systemic effect causing the --

21 COMMITTEE MEMBER KEEN: I'm sorry. I'm going to
22 have to disagree with that. I mean, all the C14 data
23 shows is an association. There's no causative conclusion
24 you can draw from that. So I don't think we need to
25 over -- we shouldn't over-interpret that.

1 DR. IYER: No. I didn't know if there was a
2 concern whether it had reached the female reproductive
3 system or not. And that's why I was trying to clarify
4 that.

5 MS. DUNN: So in the Bhandari study, they looked
6 at the women with regard to their socioeconomic status,
7 religion, something they called consanguinity --

8 DR. IYER: Yeah, between relatives.

9 MS. DUNN: -- and age of the woman, and their
10 previous obstetric history, as well as the gestation
11 period from which the pregnancy was lost -- during which
12 the pregnancy was lost.

13 COMMITTEE MEMBER KLONOFF-COHEN: Thank you.

14 COMMITTEE MEMBER JONES: So I'm going to -- I
15 would make the point here that there are three studies
16 here on female reproductive issues.

17 One shows cervical inflammation and dysplasia.
18 The comparison group is said not to be adequate, but they
19 had cervical inflammation and dysplasia. That certainly
20 is an effect on the female reproductive tract.

21 The second study has alteration in menstrual
22 cycle duration in exposed, without same in the comparison
23 group. That is certainly a female reproductive effect.

24 And then this other one that we're saying maybe
25 "relates to stress of gas-exposed women continued to

1 experience increased rates of spontaneous abortions for
2 years after the exposure". I think it's hard to say that
3 this is not an effect on the female reproductive tract. I
4 mean, you can suggest all kinds of alternatives, but I
5 think that this is clearly an effect on the female
6 reproductive tract.

7 CHAIRPERSON BURK: Now, Linda, did you have any
8 comments from the animal studies that would support those
9 endpoints?

10 COMMITTEE MEMBER ROBERTS: I don't think that
11 they -- is this on?

12 CHAIRPERSON BURK: Yeah. I'm just talking about
13 female reproductive toxicity. Can we say -- what I'm
14 looking for is sufficiency. And I hear from human, there
15 are several endpoints. Female, I wanted to know if we
16 could back that up with anything from the animal studies?

17 COMMITTEE MEMBER ROBERTS: I don't think anything
18 in the animal studies really directly relates to this in a
19 way -- they didn't do an evaluation of issues like the
20 inflammation of the vaginal area or cervix that isn't
21 typical in a study. The mating study didn't have effects.
22 That would be the closest I think we could come to a
23 comparison to normal female cyclicity.

24 They do have the increase loss, you know, either
25 the resorption, stillborn, perinatal death. So that would

1 be similar to the spontaneous abortion portion.

2 CHAIRPERSON BURK: Okay. Any other comments
3 about female reproductive toxicity?

4 All right. Let's take a look at male
5 reproductive toxicity.

6 I'll allow you to discuss this as long or not as
7 you want, but ultimately your vote will be your vote. So
8 I think we've heard the discussion.

9 All right, would the male repro tox -- in
10 summary, the human data, I would say, is inadequate and in
11 no way sufficient to make any conclusions.

12 So then we come to the animal data. And I'm, you
13 know, particularly intrigued by the effect on spermatozoa
14 disappearing, and then coming back.

15 Is that sufficient evidence of male reproductive
16 toxicity?

17 Dr. Hobel.

18 COMMITTEE MEMBER HOBEL: Yes, I would think so,
19 because it was very dramatic. There was almost complete
20 destruction of the cells within the epididymis. And then
21 that recovered after the exposure. So that is fairly
22 clear to me that it had an effect on spermatogenesis, but
23 it's not permanent.

24 Now, the big question is, it's mentioned in the
25 literature, is that is there some effect on the genetics,

1 on the genes. And you know, there has been reported some
2 chromosomal changes, but there could be some epigenetic
3 changes that are permanent that could affect reproduction
4 later on in the lifecycle, but that has not been studied.

5 So we don't know if there's any permanent effect
6 from that very short period of time, when there was marked
7 alteration in the amount of spermatozoa.

8 So I think that suggests there is evidence there
9 that MIC does have an effect on spermatogenesis, but it's
10 short term.

11 COMMITTEE MEMBER ROBERTS: A question for staff,
12 can you -- in looking at the Arora and coauthor, 1989
13 study, can you translate for me the 134 milligram per
14 meter cubed into ppm's, just so I'm looking at it
15 consistently. I think that's in the HIM study.

16 DR. IYER: I think it comes up to about 27 or 28
17 ppm, but I'll have to go back and run the thing. I think
18 I did it when I was reviewing it, but it was at a higher
19 level.

20 CHAIRPERSON BURK: So that's a very high dose.

21 COMMITTEE MEMBER ROBERTS: But similar to what I
22 guess they had in Bhopal.

23 One of the reasons I'm asking is that I know
24 we've talked about stress and such, and we were seeing
25 some of these effects appear and disappear. I know there

1 was a study years ago, in which -- and it was an industry
2 study. And I'm not exactly sure who all was involved with
3 it.

4 But they were finding decreased male organ
5 weights and histology findings following dermal
6 application of a material that was progressively
7 corrosive. So it's, you know, an irritant, severe
8 irritant. You're applying the material on the same skin.
9 The skin gets more damaged and more damaged. And these
10 organs got smaller and there were male reproductive
11 effects.

12 And in order to determine if it was a direct
13 effect of the material or if it was related to stress on
14 these rabbits, there was a follow-up study using a variety
15 of different materials that were very severe skin
16 irritants, and they found the same finding.

17 So I'm not as convinced on this one, if the dose
18 was that high, that that might not have been sufficient
19 effect, stress-wise, to be secondary to be causing an
20 effect on males, that as the stress goes, you know, the
21 finding may go.

22 It wasn't as quite as convincing to me as some of
23 the other findings we have.

24 CHAIRPERSON BURK: Any other comments on male
25 reproductive toxicity?

1 I think the problem in this chase, is there's
2 just not a lot of evidence to look at, and you will need
3 to decide if what we have is sufficient.

4 COMMITTEE MEMBER WHITE: In looking at the
5 criteria for male reproductive toxicity, I just again read
6 through the criteria, and I don't believe we have
7 enough -- we have enough information to really conclude
8 that there is male reproductive toxicity.

9 Sure, there was a significant decrease in the
10 spermatozoa. But then after, what, 14 days or so, they
11 begin to see the spermatozoa. And the head of the sperm
12 actually did change shape, but there was nothing
13 significant with that.

14 So I'm not sure, based on the studies that we've
15 seen, that there is genetic damage to the spermatozoa or
16 its precursors. Even just looking at that, I didn't quite
17 see that, based on our criterion.

18 Impaired sperm and/or seminal fluid production or
19 impaired or altered endocrine function. Everything that
20 we saw in those studies were very transient. We could say
21 perhaps there was a transient toxic effect, but that was
22 it. It was transient.

23 So I'm not quite sure how that would fit into our
24 criteria. I don't know if someone can tell me.
25 Otherwise, I would appreciate the education.

1 CHAIRPERSON BURK: Yeah, I agree. I think the
2 problem -- I mean, I believe it. And I think it's one
3 study that does show an effect. I would just like to have
4 more than one study, I guess that's --

5 COMMITTEE MEMBER JONES: So what if you get hit
6 with this thing every 15 days?

7 CHAIRPERSON BURK: Yeah.

8 COMMITTEE MEMBER WHITE: Well, yeah, then that
9 might change.

10 CHAIRPERSON BURK: Well --

11 COMMITTEE MEMBER JONES: What if you're a worker
12 in the state of California or in Kern County and you're
13 getting exposed to this agent every 15 days, then
14 certainly you've had an effect on your reproductive.

15 CHAIRPERSON BURK: Sure, yeah. No, I am not
16 arguing one way or the other. I'm just trying to get a
17 good discussion going. So I can see that -- the problem
18 is I'm looking at it as sufficiency of evidence, based on
19 what we have. And I say we have no human unfortunately.
20 They just didn't do the studies adequately.

21 But we do have at least one animal study that
22 clearly, to my mind, shows spermatozoa disappearing. It's
23 reversible, because of, you know, the way they did the
24 dosing.

25 Other studies too had no dominant lethal, so

1 there weren't, presumably, chromosomal anomalies in there.
2 It's not mutagenic. Well, I think you'll have to make
3 your own decisions about it, but you could certainly argue
4 that there is one very clear study.

5 COMMITTEE MEMBER WHITE: That there was an
6 effect, sure.

7 COMMITTEE MEMBER JONES: Well, what about the
8 Agarwal and Bose study, or however you say the names, in
9 which there was this reduction in reproductive
10 performance, so it was transient.

11 CHAIRPERSON BURK: Right. It's transient, and
12 the authors attribute it to general stress, not
13 specifically to the chemical. So I'm just playing the
14 devil's advocate here, just to have a thorough discussion.

15 Any other comments from this end on that? I
16 really appreciate everyone chiming in here though. It's
17 much more interesting this way.

18 (Laughter.)

19 DR. DONALD: Dr. Burk, if it would be helpful to
20 the Committee, we have Dr. Ling-Hong Li in the audience
21 who's our expert in male reproductive toxicity, who could
22 perhaps give you some additional information on the
23 transient nature, or otherwise, of the effect, if you'd
24 like.

25 CHAIRPERSON BURK: I think we would welcome that.

1 DR. LI: Yeah. My name is Ling-Hong Li. This is
2 on, right?

3 And I just want to make a few comments. You
4 know, this is -- I didn't work on this project. I heard
5 your discussion. Several issues.

6 One is, is the effect secondary to stress or
7 general toxicity? Well, if you look at the study, the
8 morphology or histopathological changes sloughing of germ
9 cells from epithelium. You'll kill all the animals you
10 won't see -- you would not -- see those kinds of effects.

11 There are several chemicals that cause this
12 effect and been observed, phthalates, hexanedione, glycol
13 ethers. So I want to make that point.

14 And this is very severe is dramatic. It has been
15 shown by chemicals and other general toxicity. Go to the
16 lethal reaches as has been shown.

17 Secondly, you're talking about the reversibility,
18 the transient. If you look at the exposure, you have
19 three studies, four studies, 8 minutes, 4 hours, 4 days.
20 If you use the other chemicals, phthalates, glycol ethers,
21 give them a 1 hour, 2 hour shot, you would see the same
22 thing. It's a general phenomenon with the male repro
23 system. It's a dynamic system. If your exposure is
24 chronic, repeated, you don't ask the question how about
25 you have 15-days exposure, what could happen? You give it

1 one shot, then the system will recover. If you give it
2 chronic, repeated exposure, who knows, we don't have the
3 data on that. So I want to make that point.

4 The third thing is dominant lethal studies. What
5 you do is you expose the animals one time, then you mate
6 the treated males to the control females week by week.
7 Now, you have one exposure, right, 8 minutes, 4 hours,
8 what would you expect?

9 You would not expect a reduction in performance
10 or in pregnancy mating trial or implantation loss every
11 week. You would only possibly see reduction in the week
12 that is corresponding to the damage in the window, right.
13 That should be the window week 2 or week 3 -- or late week
14 1 until early week 3.

15 Now, if you look at those two studies, look at
16 just week 2, there's a reduction clearly there. If you
17 look at the studies, it's clearly there, but it's not
18 statistically significant. Now, you go back through the
19 studies again, you have one study, you have 3 pregnancies
20 in week 2. That's a small number. How could you detect
21 that -- detect a change with that three numbers, but you
22 already see the trend of reduction.

23 Go to the other study, let's use 3 ppm, very low
24 dose, it's for 4 days, compare it to the other one more
25 than 13 ppm.

1 So what I'm saying is that you have a limited
2 number of studies, but if you look at the nature of the
3 studies, I think the evidence is right there very clear to
4 me.

5 Thank you.

6 CHAIRPERSON BURK: Thank you. That was very
7 helpful, I think. Does anyone have any other questions
8 about -- before he gets away?

9 COMMITTEE MEMBER ROBERTS: I do have one. If I'm
10 looking at page 57, Table 21, the number of pregnant
11 animals for the dominant lethal. And I see you had, in
12 week number 2, the percentages of pregnant from the
13 control 1 ppm and 3 ppm were 93 percent, 93 percent, and
14 83 percent. And I believe that was the week you were
15 mentioning that had a finding in your opinion?

16 DR. LI: Yes, that's one. If you look at it, you
17 have 1 ppm, you have 3 ppm, right? You compare 3 ppm to
18 the control, whether it caused a reduction. It's less
19 than 90 percent to compare the two. More than 95 percent
20 pregnancy.

21 Now, you look at the resorption, also in week 2,
22 you'll see the same thing. This is low dose, 3 ppm.
23 Because there is another study that also I call it a
24 dominant lethal study. It is a mutagenicity study, right,
25 with a positive control.

1 Now, you look at week 2, you look at it as a high
2 dose exposure, the reduction is obvious.

3 DR. IYER: I think that's the right table you
4 were looking at, the 83 versus 93, yeah.

5 COMMITTEE MEMBER ROBERTS: The reason why I'm
6 wondering is if we go down to week number 3, the
7 percentages across from 0, 1, and 3 ppm were 97, 83, and
8 97.

9 DR. IYER: Yeah, it goes back up, but at 83,
10 which is the --

11 COMMITTEE MEMBER ROBERTS: So do you feel that
12 the --

13 DR. LI: Let me look at this.

14 COMMITTEE MEMBER ROBERTS: Okay.

15 DR. LI: Yeah. Okay, this is one study. What
16 this is a 3 ppm, or one 3 ppm study. There's another
17 study. I don't know which it -- that used the higher
18 dose. I think it's 30 minutes exposure. It's a much
19 higher dose than this one.

20 COMMITTEE MEMBER ROBERTS: That was the 27 ppm
21 one approximately?

22 DR. IYER: Yeah.

23 DR. LI: Yeah. I look at that one. I look at
24 the week 2. By week three, basically, the animal has
25 already -- the spermatogenesis has already recovered,

1 because if you think about germ cells is just one week,
2 just one liter take 4 to 7 days to reach the epididymis,
3 then the mating.

4 So by week 3, you already have the new sperm
5 coming in. Also, if you look at the other study, 27 ppm
6 study, it's very interesting. You look at the morphology,
7 the sperm morphology, they're okay. If you look at the
8 sperm density, it's increased. Why? Because you have all
9 the sloughed-off sperm, you know, stored in the
10 epididymis.

11 I would bet the motility would be down, but it's
12 also not reported in the studies the motility of the sperm
13 in the epididymis.

14 Okay, so if you really look at the data, it's
15 consistent. It's consistent. What I'm saying is the
16 pregnancies, the index, the resorption, I mean, you have
17 small numbers of the low dose. I hope you have -- people
18 have done, you know, a better job. You know, increases in
19 animals or look at it more carefully, or even analyze
20 it -- do the analysis week by week, not just line them up.
21 You have 7 weeks. You put everything together. You're
22 going to lose any difference, yeah, that's what I'm
23 saying.

24 Ultimately, it's your opinion that matters. This
25 is my observation, personal, you know.

1 Thank you.

2 CHAIRPERSON BURK: I don't think we have a table
3 for that other one. And I don't think that's one of the
4 articles that I printed out, so we will take his word for
5 it, I think.

6 But thank you again. That was very helpful. And
7 particularly that bit about the morphological changes in
8 the sperm, not just that they were missing. That stress
9 wouldn't likely cause the morphological changes.

10 Okay. Are there any --

11 DR. IYER: I have the two articles in case you're
12 interested.

13 CHAIRPERSON BURK: Okay. Well, so could you
14 verify that -- or is there a table in there that shows
15 that the resorptions by the week after --

16 DR. IYER: The resorptions you have in the HIM.
17 That's the table that Linda was looking at.

18 CHAIRPERSON BURK: Okay. So what's the other --

19 DR. IYER: The other two articles were the
20 articles by Arora and the other one by Bose, I believe.

21 CHAIRPERSON BURK: Agarwal --

22 DR. IYER: Agarwal and Bose, yeah.

23 CHAIRPERSON BURK: That's the one I think he was
24 saying was the higher dose.

25 DR. IYER: Yeah, that's the one with the higher

1 dose.

2 CHAIRPERSON BURK: Okay.

3 CHIEF COUNSEL MONAHAN-CUMMINGS: Dr. Burk, would
4 you like to take a short break so that the Committee
5 members could look at that information --

6 CHAIRPERSON BURK: I would.

7 CHIEF COUNSEL MONAHAN-CUMMINGS: -- or do they
8 feel like they need it?

9 CHAIRPERSON BURK: I think it's time for one
10 anyway, so why don't we take 10.

11 CHIEF COUNSEL MONAHAN-CUMMINGS: We can get you
12 copies and then leave some for the public, if they're not
13 already in the back, okay.

14 CHAIRPERSON BURK: Okay, thanks. We'll resume at
15 say 10 of.

16 CHIEF COUNSEL MONAHAN-CUMMINGS: No discussion
17 among yourselves.

18 CHAIRPERSON BURK: No, we're not discussing it
19 among ourselves. We're taking a break for the court
20 reporter.

21 (Thereupon a recess was taken.)

22 CHAIRPERSON BURK: Okay. Everyone is back. I
23 think we'll continue our discussion of male reproductive
24 toxicity. And we have received copies of two papers.

25 The Arora and Vijay... whatever, which was the

1 one on testicular histomorphology. And we've also
2 received a copy of the Agarwal and Bose, which is an
3 assessment of germ cell mutagenicity and reproductive
4 effects in rats.

5 So has anyone had time to kind of digest these
6 and reach any conclusions?

7 I know Linda made a comment.

8 COMMITTEE MEMBER ROBERTS: Yeah. The comment was
9 just in the first paper the Arora and coauthor from 1989.
10 Dr. Iyer has the calculation that it wasn't 27 ppm
11 exposure, it was 57 ppm. So very, very high. And in
12 their discussion of the paper, the authors noted that
13 exposure to methyl isocyanate might have affected this
14 stage elongation of the nuclei in the spermatid, due to
15 stress and hypoxia because of the severe respiratory
16 disturbance induced by MIC.

17 And I am not clear if -- it doesn't look like
18 they actually evaluated respiratory disturbance or any
19 microscopic changes to the lungs in this particular study.
20 It looks like they only looked at the male organs. Is
21 that a correct or is that the same interpretation you all
22 have?

23 DR. IYER: They didn't look at anything else that
24 they reported. I guess they focused on the male repro.

25 CHAIRPERSON BURK: But Dr. Li told us that the

1 type of changes that we're seeing with the morphology and
2 so forth did not suggest stress, but were more in line
3 with several other came chemicals --

4 DR. LI: Yes.

5 CHAIRPERSON BURK: -- that have been --

6 DR. LI: Yes.

7 CHAIRPERSON BURK: Tested.

8 DR. LI: If you look -- I don't have the paper,
9 the paper that showed the histopathological evaluation. I
10 think there are four figures. The first one is a control.
11 The second one is the day 3 after 30 minutes exposure.
12 And if you look at the middle of the tubule, that's a
13 chunk of the tissue. That does not belong to this tubule,
14 okay.

15 That's the epithelium sloughed off from somewhere
16 else washed over here. Sloughing of germ cells is one of
17 the most severe damage in the testes. It has been shown
18 by several very leading researchers in the world, Kim
19 Boekelheide, Bob Chapin, it will be caused by chemical
20 insult.

21 And the stress, let's say you have 80 percent of
22 food restriction conducted by a group by Carni et al. --
23 what was his name Eddy? -- and the further restriction or
24 severe, you know, stress, you could cause a reduction in
25 sperm, but not sloughing of germ cells. That's what I'm

1 saying.

2 CHAIRPERSON BURK: Okay. Is everyone okay with
3 that? I take you -- I think you are an expert in this and
4 I agree, that severe stress might cause a reduction in
5 sperm, but probably wouldn't cause sloughing of tissue in
6 this manner. That's what I'm hearing.

7 Okay. And then the -- any other comments on that
8 paper?

9 Sorry.

10 And then we have Agarwal and Bose, which also did
11 a dominant lethal study. The table we have in our
12 materials is from the Schwetz. So what we're looking for
13 in Agarwal and Bose, I think would be their Table 1, where
14 they have untreated controls, EMS exposed and then MIC
15 exposed. And what I heard Dr. Li say before is that we're
16 seeing the implantation rate go from 8.4 to 6 and then
17 back to 8.7. Was that what you were referring to before,
18 so that it's a specific timing kind of thing --

19 DR. LI: Week 2.

20 CHAIRPERSON BURK: -- in a way sort of thing.

21 DR. LI: Yes, by the timing of spermatogenesis,
22 what you have this one in a 30-minute exposure, what you
23 look for is a reduction or damaging in week 2 or 3,
24 depending on the time, you know -- I mean, it's
25 continuous. It's mated -- the animals were mated every

1 day, every week.

2 CHAIRPERSON BURK: Right.

3 Well, that one does seem to me to be consistent
4 with the Schwetz table that we have, just seeing that drop
5 at one point.

6 Again, I don't know how statistics work on this,
7 but, you know, anyway.

8 COMMITTEE MEMBER ROBERTS: And I guess I still
9 have the question with the Schwetz paper that if 83
10 percent is a significant drop at week 2 for 3 ppm, why
11 isn't 83 percent considered a significant drop at 1 ppm
12 the following week. To me, it just -- that makes it look
13 like there's some variation in mice. And having worked
14 with mice before, they're --

15 DR. LI: What I'm saying is that I don't know if
16 that paper did it week by week in a statistical analysis,
17 but what I'm saying is that, in that study the exposure is
18 much lower one at 3 ppm, right. And then if you
19 postulated there is an effect, the hypothesis is the
20 effect should be small.

21 I don't know if the drop has reached a
22 statistical significance. But what I'm saying is there's
23 a trend, and it's consistent with the histopathological
24 change. That's what I'm pointing out, yeah.

25 COMMITTEE MEMBER KEEN: If I could comment

1 though. I'm still a little uncomfortable. We do
2 statistics and that's how we test a hypothesis. There was
3 no statistical difference here. They clearly state that.
4 And so I'm very uncomfortable with us saying well, you
5 know, maybe if -- we're almost torturing the data set by
6 saying well maybe there's a trend, because I could just as
7 easily say, well, the trend that I see is that
8 implantation frequencies are higher in the MIC exposed
9 animals compared to untreated controls, because the
10 untreated controls are 7.2, 7.6, 7.2. And the MIC-exposed
11 are 8.4, 8.7, 8.0. I mean so --

12 DR. LI: You are talking about --

13 COMMITTEE MEMBER KEEN: That's why we do
14 statistics. I really -- I find to talk about a trend when
15 if I do slightly different comparisons, the trend is, is
16 that the MIC actually had more implantations than the
17 untreated controls.

18 DR. LI: I totally agree with you the statistical
19 analysis is necessary, is essential. What the trend that
20 I'm talking about is not that one study week by week.
21 What I'm talking about is different studies observed the
22 same direction of the effect.

23 COMMITTEE MEMBER KEEN: Yeah, I agree. I just
24 think that we can't -- we can't be that selective about
25 data which are not statistically significant. If we're

1 going to talk about trends, then we have to look at the
2 whole picture, so I would hesitate as to go down that road
3 personally.

4 DR. LI: It's your call.

5 CHAIRPERSON BURK: All right. Any final comments
6 on any of the issues before we vote?

7 Dr. Gold.

8 COMMITTEE MEMBER GOLD: I should have just
9 probably said this when we were talking about
10 developmental toxicities, but -- and maybe this is just a
11 little bit of icing on the cake, but in the early sixties
12 the Surgeon General established criteria for assessing
13 causality in epidemiologic studies, and there have been
14 other people that have done it since then. And I think we
15 can apply it to these data, particularly in the human
16 studies, to sort of make the case. And since we're here
17 to assess the science, I thought I would just sort of do
18 one minute on that.

19 And so in terms of looking at the strength of the
20 association of the exposure to the outcome, I'm talking
21 particularly about the spontaneous abortions now. I think
22 that even if you look at the sort of modestly affected and
23 the low affected and the moderately affected, you see
24 really sizable differences from the control group. And by
25 the way, the loss rates in the control groups are sort of

1 what you would expect, which says they probably pick
2 pretty good control groups.

3 And I agree with the comments that were made
4 about the limitations. I tell my students there's no such
5 thing as a perfect epidemiologic study. I haven't seen it
6 in over 30 years of doing this kind of work. But I think
7 the strength of the association -- I think the fact that
8 we see sort of a dose response that helps build the case
9 of causality, the fact that the exposure came before the
10 outcomes helps build the case, and then the consistency
11 across the study.

12 So I just thought I would bring in those kinds of
13 measures that we use when we're assessing causality in
14 epidemiologic study. I think it helps build the case of a
15 causal effect here of the exposure in relationship to
16 pregnancy loss.

17 I think the things about female reproductive
18 toxicity, you know, maybe those arguments are not as clear
19 cut there, but I think very -- if we're going to talk
20 about pregnancy loss, and particularly spontaneous
21 abortions, I think those criteria are pretty clearly met in
22 the studies that we have before us.

23 CHAIRPERSON BURK: Thank you. Are we ready to
24 vote?

25 All right. I will read the votes separately for

1 each endpoint.

2 Has methyl isocyanate been clearly shown, through
3 scientifically valid testing, according to generally
4 accepted principles, to cause developmental toxicity?

5 All those voting yes, please raise your hand.

6 (Hands raised.)

7 CHAIRPERSON BURK: All right 1, 2, 3, 4 -- I see
8 8. So 8 yes.

9 Five votes -- five yes votes are required to add
10 a chemical to the list.

11 Okay. Has methyl isocyanate been clearly shown,
12 through scientifically valid testing, according to
13 generally accepted principles, to cause female
14 reproductive toxicity?

15 All those voting yes, please raise your hand.

16 (Hands raised.)

17 CHAIRPERSON BURK: Okay, 8. So I don't have to
18 ask for the no's.

19 And finally, has methyl isocyanate been clearly
20 shown, through scientifically valid testing, according to
21 generally accepted principles, to cause male reproductive
22 toxicity?

23 All those voting yes, please raise hand.

24 (Hand raised.)

25 CHAIRPERSON BURK: Okay. I see one.

1 All those voting no, please raise your hand.

2 (Hands raised.)

3 CHAIRPERSON BURK: All right, 7.

4 So we have voted to add methyl isocyanate to the
5 Prop 65 list for developmental toxicity and female
6 reproductive toxicity.

7 Okay. If I can find my agenda. We will move on.

8 CHIEF COUNSEL MONAHAN-CUMMINGS: Dr. Burk.

9 CHAIRPERSON BURK: Yes.

10 CHIEF COUNSEL MONAHAN-CUMMINGS: I just wanted to
11 note that the agenda for the meeting that was published on
12 the web and sent to the public is different than the one
13 that you received today on this next subject, the
14 discussion of the next prioritization screen.

15 And, in fact, we hadn't publicized that there
16 would be any public comments on that. When we've had
17 those discussions before, for example, at the CIC
18 Committee more recently, it was just a discussion among
19 the Committee and the staff to giving the Committee's
20 advice to the staff about the prioritization.

21 So I just want to make it clear that that item
22 actually is a discussion item. There's no decision that
23 needs to be made and no public comment is necessary.

24 CHAIRPERSON BURK: Let me make sure, it's a
25 discussion item only, and there will be no public

1 comments?

2 CHIEF COUNSEL MONAHAN-CUMMINGS: Right. It
3 wasn't on the agenda that was published, and so we
4 shouldn't take public comment on it.

5 (Thereupon an overhead presentation was
6 Presented as follows.)

7 CHAIRPERSON BURK: Okay. So I guess we will
8 start with a staff presentation and then a discussion of
9 the next prioritization data screen.

10 And Dr. Jim Donald is speaking.

11 DR. DONALD: Thank you, Dr. Burk.

12 I'll begin by reiterating briefly. In 2007, we
13 had developed a list of candidate chemicals for
14 consideration by the Committee, based on our
15 prioritization process published in 2004.

16 Using that process, OEHHA applied an
17 epidemiologic data screen to chemicals in our DART
18 tracking database. The screening criterion was
19 identification of at least two analytic studies of
20 sufficient quality.

21 Use of that criterion resulted in a list of eight
22 candidate chemicals. Three have previously been brought
23 before the Committee and a fourth has been presented
24 today. Hazard identification materials are almost
25 completed for a fifth chemical. And one chemical has been

1 For this round of prioritization, the tracking
2 database has been updated with a substantial number of
3 additional chemicals that have come to our attention since
4 the last round of prioritization.

5 --o0o--

6 DR. DONALD: So as I mentioned, we previously
7 screened for chemicals that had relevant epidemiologic
8 data in humans, and we anticipate potentially using that
9 screen again in the future.

10 For the next screen though, we'd propose using a
11 process that would identify chemicals that are known to
12 occur in humans, but which were not found by a previous
13 screen to have at least the specified amount of
14 epidemiologic data.

15 We'd also propose using a subsequent screen to
16 select a subset of chemicals that also have a substantial
17 amount of relevant toxicological data from animal studies.
18 And our goal would be to identify important chemicals that
19 have direct relevance to humans, but at the same time
20 allowing us to use our staff resources more efficiently.

21 --o0o--

22 DR. DONALD: For the exposure screen, we would
23 begin by reviewing compiled data sources, such as the
24 National Health and Nutrition Examination Survey.
25 Depending on the number of chemicals identified through

1 this approach, we may also use computerized searches of
2 the open literature. We would expect this screen to
3 identify most chemicals that occur in humans, though it
4 would potentially omit chemicals with human exposures that
5 have not yet been identified or chemicals for which human
6 is known to occur, but which have not yet been measured in
7 human tissues.

8 --o0o--

9 DR. DONALD: Since the goal of the process is to
10 identify a manageable number of candidates for
11 consideration by the Committee, we will chose a cutoff
12 number of studies that will yield approximately 8 to 15
13 candidates. We expect that this can likely be completed
14 in a relatively short period of time. We do recognize
15 that it may miss chemicals of emerging concern that have
16 not yet been included in these databases or which more
17 recent studies have not been added resulting in chemicals
18 not reaching the number specified in our criterion.

19 And I'd be happy, at this point, to take any
20 questions the committee might have.

21 CHAIRPERSON BURK: Go ahead.

22 COMMITTEE MEMBER ROBERTS: So in the toxicity
23 screen, you'd be looking for studies -- or for chemicals
24 that have at least six repro developmental publications or
25 tests?

1 DR. DONALD: Right. As I said, we're trying to
2 take a very large number of chemicals and get down to
3 quite a small number. So we'd like to leave that a little
4 bit open, so that we can adjust the number of studies to
5 end up with the sort of range of chemicals that we're
6 looking for. We're guessing it's somewhere in the range
7 of 6 to 10 studies as a cutoff would probably achieve
8 that.

9 COMMITTEE MEMBER ROBERTS: I'm thinking that some
10 of the more popular chemicals might have a very long list
11 of references to take a look at, and some of the others,
12 particularly the ones that might have come out and had
13 testing more recently through like the high production
14 volume chemical testing program, might only have two or
15 three, but they might be very good studies that could be
16 used.

17 DR. DONALD: Yes, and we --

18 COMMITTEE MEMBER ROBERTS: I like the
19 flexibility.

20 DR. DONALD: We recognize that. Whatever
21 criterion we apply, obviously we're going to eliminate the
22 vast number of chemicals. That's the purpose of the
23 process. So there are, as you know, provisions in our
24 prioritization process for bringing other chemicals to
25 Committee that have a compelling public health reason to

1 do so. So we're hoping that if there are any really
2 obvious cases where we missed something that should come
3 forward, we do have an alternative way of bringing it to
4 you.

5 CHAIRPERSON BURK: Any other comments?

6 Ken.

7 COMMITTEE MEMBER JONES: So Jim, could you just
8 clarify some things here. This is what --

9 DR. DONALD: I can't hear you --

10 COMMITTEE MEMBER JONES: I'm sorry. So you have
11 said that we have really exhausted all of the chemicals
12 for which there is good human epidemiologic data, is that
13 correct, did I understand you correctly?

14 DR. DONALD: Not exactly. I said that we have
15 pretty much exhausted the list of chemicals that past the
16 screen the first time we ran it, which was several years
17 ago.

18 COMMITTEE MEMBER JONES: Yes.

19 DR. DONALD: There are a couple of chemicals left
20 that haven't come before the Committee yet, and we
21 recognize that there are ongoing studies that will
22 probably identify additional chemicals that would pass
23 that criterion. And that's why we've proposed to run that
24 screen again in the future.

25 COMMITTEE MEMBER JONES: Right.

1 DR. DONALD: But for practical reasons, because
2 we still have a couple of candidates that are primarily
3 based on epidemiologic data, and we only have a relatively
4 small number of staff with expertise in that area, we
5 think it would be more efficient if we could also identify
6 some other candidates where the bulk of the data are from
7 animal studies, so that we can use our staff resources
8 more efficiently to bring chemicals to the Committee in a
9 more timely way.

10 COMMITTEE MEMBER JONES: All right. Thanks.

11 CHAIRPERSON BURK: Any other comments?

12 Linda.

13 COMMITTEE MEMBER ROBERTS: This wouldn't preclude
14 or push pharmaceuticals out of the way, would it, from the
15 exposure screen?

16 DR. DONALD: There's nothing explicitly in the
17 process we've proposed that would do that, no.

18 COMMITTEE MEMBER ROBERTS: Okay.

19 DR. DONALD: The criteria would be applied
20 equally to any chemicals.

21 CHAIRPERSON BURK: That was a good question.

22 I think the --

23 DR. DONALD: I'm sorry. Can I add one thing to
24 that answer? Part of our process is that if there are
25 other mechanisms for listing chemicals, administrative

1 mechanisms, that the chemical appears to be applicable to,
2 then we would generally use those mechanisms to save the
3 Committee's time for chemicals that do not fall under
4 those mechanisms. So for some pharmaceuticals potentially
5 there would be other mechanisms that they could be listed
6 through, that would not result in them coming before the
7 Committee.

8 COMMITTEE MEMBER ROBERTS: The question kind of
9 came out of my ignorance about part of NHANES, because I
10 typically get drawn in on it, if there are concerns about
11 industrial chemicals, and exposures and I've never really
12 looked at it from whether or not it gathers any data for
13 pharmaceutical type materials.

14 So thank you.

15 DR. DONALD: Okay. If that's a matter of
16 particular concern, I can have our staff who are most
17 familiar with NHANES address that for you.

18 COMMITTEE MEMBER ROBERTS: I'm actually pretty
19 much okay with what you've told us. So thanks.

20 COMMITTEE MEMBER KLONOFF-COHEN: So I just wanted
21 to clarify something, so then are there only going to be
22 animal studies that we're reviewing now or is this just a
23 process in order to identify further, yeah?

24 DR. DONALD: It's the latter. As the chemicals
25 that we bought before you that were identified based

1 initially on epidemiologic data also generally have animal
2 data. There may be cases where chemicals for which there
3 are predominantly animal data have some epidemiologic
4 data. It's also possible that the data maybe entirely in
5 animals, but you know we won't know until we've run the
6 screen.

7 CHAIRPERSON BURK: All right. I don't see
8 anybody else wanting to comment. So I guess --

9 DR. DONALD: So I think, at this point, we're
10 asking for a recommendation from the Committee as to
11 whether we should employ this screen that we've suggested
12 to you.

13 CHAIRPERSON BURK: All right. So you want us to
14 vote or just a consensus.

15 CHIEF COUNSEL MONAHAN-CUMMINGS: No. No. It's
16 not a -- it's just a -- if you could generally give
17 advice. Does this seem like a good approach or would you
18 rather that we looked at something else? That's generally
19 what we're looking for. It doesn't have to be a vote.

20 CHAIRPERSON BURK: Well, what I'm sensing from
21 the group is that it's fine, we support that, particularly
22 the effective use of resources and time and so forth.

23 I personally would like to have you run the human
24 data screen again at some point, because I still think
25 that we -- I think we appreciated the prioritization

1 process, you know, that we've just been through, and
2 wouldn't want to lose that ultimately, but I think we
3 understand how you need to use staff time more
4 effectively.

5 DR. DONALD: Thank you.

6 Okay. The next agenda item I believe Allan
7 Hirsch will introduce Items 4 and 5.

8 CHIEF DEPUTY DIRECTOR HIRSCH: We can do that,
9 but just a question for you. Given it's 20 after 12, it
10 would be your decision, as a panel, if you wanted to take
11 a lunch break or if you wanted to proceed.

12 CHAIRPERSON BURK: Well, let me ask. I believe
13 the next two agenda items are Committee discussion only
14 with no public comment. So I don't expect that to take a
15 great deal of time. So I guess I'll ask, is anyone really
16 famished or would you rather just push on?

17 I think we push on. I think we're in agreement
18 there.

19 CHIEF DEPUTY DIRECTOR HIRSCH: All right. That's
20 our first discussion, great.

21 Okay. So for Item 4. This item has its origins
22 in a letter that Dr. Denton received from several
23 non-governmental organizations, NGOs, on July 22nd, 2009.
24 That was a week after your last meeting. And the letter
25 contains several specific criticisms of the way that the

1 meeting was run.

2 OEHHA and Dr. Burk met with representatives of
3 these groups in April. And the attitude that we had was
4 not that we needed to rehash last year's meeting, but
5 simply that we're always willing to listen to constructive
6 criticism, and, you know, and see if there are ways to
7 improve our processes.

8 So Dr. Denton responded to the NGOs in a letter
9 dated September 1st, 2010. And in it Dr. Denton said, we
10 cannot do some of the things that the NGOs asked for, but
11 she did say that OEHHA would make some changes to improve
12 the clarity of the information that we present to you.

13 And specifically to the item before you, Dr.
14 Denton also conferred -- she conferred with Chairwoman
15 Burk and Dr. Burk wanted to bring three specific items
16 relating to meeting procedures to you today for
17 discussion.

18 These are items that would affect the Committee's
19 deliberations at future meetings. So Dr. Burk felt it
20 would be desirable for you to discuss those.

21 Lastly, just to be -- just for the sake of
22 completeness, Dr. Denton last week received a letter from
23 the NGOs with some further thoughts on meeting procedures,
24 as well as a letter from the American Chemistry Council
25 that rebutted the NGOs' original July 2009 letter. And

1 you should have copies of all of that correspondence and
2 it's on our website as well.

3 So again, this is a discussion item only. And
4 our Chief Counsel, Carol Monahan-Cummings, will give a
5 short presentation on the three items concerning meeting
6 procedures.

7 (Thereupon an overhead presentation was
8 Presented as follows.)

9 CHIEF COUNSEL MONAHAN-CUMMINGS: Thank you.
10 We're going to just get a couple slides up here. It's
11 unusual for a Chief Counsel to do slides, but I thought it
12 might be more interesting for you than just listening to
13 me.

14 As Mr. Hirsch has mentioned, there's two
15 discussion items -- two other discussion items, besides
16 the prioritization item we already had, on the agenda
17 today. And I know this is a relatively unusual thing for
18 this Committee to have discussion items, rather than
19 decision items, but it's not that uncommon for other
20 groups, you know, city councils or other groups that are
21 subject to the open meeting laws to have discussions that
22 are giving advice or just kind of kicking around some
23 ideas that don't really require public comment and are
24 really just advice items.

25 In this particular case, on the procedures, what

1 we're looking at is I think that Dr. Burk wanted some
2 discussion among the Committee members about some
3 potential changes you could make to your procedure if you
4 think that they'd be useful. You could always try them
5 out and if they don't seem to be comfortable then you
6 could go back to something else, but these were issues --
7 procedure issues that were brought up in the NGOs' letter.

8 And again, we're not asking you to make a binding
9 decision or make a vote or anything today, we'd just like
10 some discussion and then Dr. Burk can take that and
11 perhaps discuss it also with Dr. Denton, in terms of
12 conduct of the future meetings. And we can help support
13 any changes you might want to make.

14 --o0o--

15 CHIEF COUNSEL MONAHAN-CUMMINGS: Some of the
16 issues that you might want to consider today have to do
17 with the format of presentations; some discussion around
18 public comment periods; and your voting protocol. Some of
19 the -- as Dr. -- or Mr. Hirsch -- anyway, we've got so
20 many doctors around here.

21 (Laughter.)

22 CHIEF COUNSEL MONAHAN-CUMMINGS: -- mentioned, we
23 did have a couple of discussions with the individuals that
24 sent the letter to Dr. Denton. And there's a mention in
25 there that sometimes Committee members may -- it may be

1 difficult to go through a lot of data first and then go
2 back and vote on individual endpoints. It's not an issue
3 so much for the CIC, since they only have one endpoint to
4 look at.

5 One of the suggestions that we had is that we
6 might -- we usually present our information endpoint by
7 endpoint like we did today. For the most part, we'll go
8 through developmental and then female and then male, given
9 that -- if there's some data to discuss. And in some
10 circumstances, if there's a lot of information on any of
11 those, it may be useful to you to have a presentation of
12 the information on one endpoint, and then go to the public
13 comments and then your discussion and your decision on
14 that particular endpoint before going to the next one.

15 It's certainly not a requirement. You wouldn't
16 have to do it in every case, and it might not be
17 appropriate in every case, where there's not a lot of data
18 to consider, but it's a suggestion you might want to
19 consider.

20 We think that it could allow the members to
21 assess the evidence for each endpoint separately, and you
22 know, may be more -- in more detail. The con to it is
23 that it could result in some redundancy, because some of
24 these things overlap, as you can see from the meeting
25 today.

1 Next slide.

2 --o0o--

3 CHIEF COUNSEL MONAHAN-CUMMINGS: In terms of
4 public comments and public comment periods for the
5 meetings, you all when you first started on the
6 Committees, and maybe periodically since then, have heard
7 me comment on the Open Meeting Act. And we gave you a
8 copy of it, the Bagley-Keene Open Meeting Act sometime
9 ago. I was kind of considering putting it in your
10 materials, but it's kind of a long document.

11 But in any event, the Open Meeting Act does
12 require a public comment period either during or -- during
13 the Committee's discussion or prior to its decision on
14 items that are -- you know, if you're actually making
15 decisions, say you're voting on something.

16 The Open Meeting Act also allows you to limit
17 public comment. And in certain circumstances, you may
18 need to do that just based on the volume of -- or the
19 number of people wanting to make comments and the rest of
20 the items on your agenda.

21 I checked with other boards at CalEPA, there's
22 only two left now, the Air Board and the Water Board, and
23 both of them place time limits on public comments. The
24 most common is three minutes. That is variable depending
25 on some of the issues that are being presented, number of

1 people that want to comment, that sort of thing. But the
2 most common is three minutes.

3 The Water Board often publishes notice in advance
4 that comments will be limited to say three to five
5 minutes, so that people know that they, you know, they
6 don't spend a whole bunch of time on a 20-minute
7 presentation and then they come in and have to compress
8 it.

9 As far as I could tell, there's similar rules
10 with federal advisory committees, like the CDC or U.S.
11 EPA. They do limit the comment periods on their committee
12 meetings and often it's about three minutes.

13 If you're familiar with the legislature, it can
14 be one minute or less. And so, of course, they have
15 different issues than the ones that you all tend to look
16 at.

17 Next slide.

18 --o0o--

19 CHIEF COUNSEL MONAHAN-CUMMINGS: We do have some
20 suggestions in terms of -- and I think we've done this in
21 the past for both committees is keeping related speakers
22 together. Sometimes a particular industry group or a
23 particular group of NGOs need to speak together to just
24 present a coherent presentation. And in terms of
25 logistics, that seems like a good approach.

1 There are a couple questions that we wanted to
2 present in regard to that, and you saw it at your last
3 meeting, and that is should individual speakers be allowed
4 to cede their allotted time to others. And that is not
5 allowed by most other groups that I had spoken with.
6 There's a bit of room for manipulation on that, depending
7 on the number of people that a particular group brings to
8 a meeting. You can send in a whole bunch of cards and
9 then combine them all together and let somebody speak for
10 an hour, which is really not the intent of a three-minute
11 limit on comments.

12 So from our perspective, I'm not going to
13 recommend anything on any other ones, but I would you
14 recommend that you not allow the ceding of time.

15 And then I had already mentioned about whether or
16 not we should let the -- you know, at least let the public
17 know that there will be time limits set in advance, but
18 that there would be certainly variability, in terms of,
19 you know, if you have a hundred commenters versus two.
20 And lastly is just kind of an item of interest that I just
21 ran across relatively recently.

22 --o0o--

23 CHIEF COUNSEL MONAHAN-CUMMINGS: And that is in
24 terms of voting. Most groups still do the type of voting
25 that you do here, where the chair asks the question, and

1 there's a voice or hand vote, in terms of what the answer
2 is. And each of the Committee members can look at the
3 others and see what they're doing right then.

4 There's been a change at FDA on some of their
5 advisory committees to go to a ballot vote, which is one
6 where the Chair would pose the question, but you would
7 check off a box, you know, yes or no, on the ballot. And
8 then those would be collected and announced by the chair.

9 Their stated reason for doing that is that they
10 think it allows panel members to cast their votes without
11 an immediate influence by other member's votes, you know,
12 particularly if someone is more forceful than others.

13 But it's certainly not, again, anything that you
14 have to do, but I just wanted to bring that up as an
15 interesting recent development in some advisory groups.
16 So with that, I know we showed a number of different items
17 up here, but I'd turn the meeting back to Dr. Burk and you
18 all can have a discussion on it. If you need me to go
19 back to any of the slides, just let me know, or if you
20 have questions at this point.

21 CHAIRPERSON BURK: Thank you, Carol.

22 Again, this is strictly for Committee discussion.
23 Any input that you have would be great. We're not going
24 to vote on these things, but I'd like to get your input.

25 So there's three things that have been proposed.

1 The first one would be that we take each chemical by
2 endpoint, hear the presentation, discuss, you know, hear
3 public comments and vote. I think the advantage, I agree,
4 would be that, you know, we could perhaps focus more on
5 each endpoint by endpoint and not be overwhelmed with
6 everything at once. So just anybody have any input on
7 that, pro con?

8 COMMITTEE MEMBER KEEN: Yeah, I actually
9 disagree. I like it the way we do it, and for a very
10 specific reason. If we do it endpoint by endpoint, you
11 lose the possibility -- what do we do if we suddenly find,
12 for example, in endpoint number 3, it's clearly
13 demonstrated that we're having maternal toxicity, but that
14 wasn't shown for endpoint 1. Do we go back and suddenly
15 say, "Oh, I want to change my vote or rethink my vote."

16 I personally don't think it's that difficult for
17 us to keep the facts straight for a period of an hour to
18 two hours. So I like the current system, because many of
19 these endpoints they're not singularities. They really do
20 cross over each other and we should be able to look at the
21 totality, in my opinion.

22 CHAIRPERSON BURK: Okay. Any other comments on
23 that?

24 George.

25 DR. ALEXEEFF: Excuse me. George Alexeeff.

1 Yeah. One of the things that came up and it's
2 going to come up in the next meeting for the next
3 chemical, sulfur dioxide, the study design for some of the
4 studies are very complicated. And, you know, it's in our
5 mind we're not sure if it's helpful for us to, for
6 example, bring someone to explain how these studies are
7 conducted, these air pollution studies with multiple
8 variables and how they calculate it and stuff like that.
9 Some of you may be familiar with it, others may not.

10 And so if we started to do that kind of thing,
11 and we kind of ran out of time towards the end of the day,
12 what would be the best way to kind of carry it over, like
13 to the next meeting?

14 So that's why we thought maybe on certain
15 chemicals, endpoint by endpoint may be appropriate, if it
16 seems like there's going to be a lot of discussion about
17 how they came up with that endpoint. And we wanted to
18 bring -- make sure we had other experts available to
19 explain the details of the study design, which may be kind
20 of different from what you're normally used to seeing.
21 That was one thought that we had.

22 And the next one, sulfur dioxide, could go more
23 than a day, because there's a lot of studies. I forget
24 how many. Many, many, many epi studies, and they're all
25 very complicated. Not all, but many of them are very

1 complicated with multiple exposure chemicals. So part of
2 it was just to lay all that out.

3 CHAIRPERSON BURK: Well, hearing that, does
4 anyone have any other -- I have to say, if something is
5 going to go for two days, I think we would have to break
6 it up. I just can't imagine us listening to a whole
7 presentation and then all the comments and then trying to
8 sort it out. So that's just my take.

9 COMMITTEE MEMBER ROBERTS: This is not to address
10 sulfur dioxide, because I'd be recusing myself on that
11 anyway. But in situations where we have a huge amount of
12 information, that might be a case where you try to bundle
13 at least all the developmental tox parts of it together,
14 all the female -- almost in sort of a mega-way of what
15 we're doing right now, where we try to at least discuss
16 one of the voting endpoints at a time, as opposed to
17 within a developmental tox or within a female repro, which
18 endpoints seem to be affected.

19 It seems to me like that's something where we can
20 be kind of flexible on, and really do whatever makes the
21 most common sense.

22 DR. ALEXEEFF: I couldn't quite hear everything
23 you said, Linda, so could you say it again, what your
24 concept of the bundling was, just so we can understand it.
25 Because part of it is as the staff prepare their

1 presentation, that would affect, you know, how the thing
2 is kind of laid out.

3 COMMITTEE MEMBER ROBERTS: I'll reiterate. This
4 is a comment in general for those situations where we
5 might have a very large amount of information, that I
6 could see how being able to focus the presentation and
7 focus the discussion on one of the voting endpoints at a
8 time. So we can get through all of that before proceeding
9 to the next one, so that all of the information pertinent
10 to development tox might be in one period of hours, female
11 reproductive tox in the next period of hours, male
12 reproductive tox going on to midnight or whatever, you
13 know, that that would be fine.

14 And I really do like the idea if each of us is
15 familiar with different types of standard studies, and
16 particularly some of my academic colleagues here are
17 familiar with the more complicated research approaches,
18 but if in situations where you come across where test
19 design is pertinent to understanding it, and it almost
20 always is, and if it's not likely to be familiar to the
21 eight of us up here, I think it would be very useful to
22 have somebody who can explain that to us, so that we can
23 understand how that impacts the biology.

24 COMMITTEE MEMBER WHITE: So then in that respect,
25 would we still vote on that endpoint or would we just --

1 we wait or how would that work? I mean, I agree, to
2 reduce as much confusion as possible when we have lots of
3 data for a significant chemical, if we do it endpoint by
4 endpoint, we want to reduce the risk of cross-over
5 information that may compel us to want to go back to
6 change a vote. That increases confusion. It reduces
7 efficiency, and then we -- that's a nightmare.

8 So then my question would be, if we do it
9 endpoint by endpoint, based on the chemical, would we want
10 to vote at that time or would we want to vote the second
11 day or however long it takes us to get through those
12 endpoints.

13 COMMITTEE MEMBER ROBERTS: My preference would be
14 voting when we're done with all discussion at the end of
15 it.

16 I find I often will be swayed by something that I
17 hear in a different part of the discussion.

18 CHIEF COUNSEL MONAHAN-CUMMINGS: Question. If
19 you were to do it that way, would it be helpful,
20 particularly if it's a two-day meeting, to have a short
21 summary of each of those endpoints just before the vote,
22 so that you can kind of remember what was presented, you
23 know, the prior day or would that be too redundant?

24 CHAIRPERSON BURK: I don't know. I think what
25 I'm hearing is that when we have a chemical like today, we

1 are happy to get it all at once and vote all at once. If
2 we have something with many, many studies, we might like
3 to have the presentations bundled by endpoint. But I
4 think what I'm hearing is we would still vote at the end,
5 in order to have the big picture.

6 And I would have to assume that everyone would be
7 forming their decisions as they went along, but still
8 potentially open to changing them. You know, whether we'd
9 need a summary from staff, I don't know. I would think it
10 might be nice to have a summary perhaps from Committee
11 members as to, you know, why they're voting the way
12 they're voting, let's say, i.e., sufficiency of evidence
13 in the various categories and so forth.

14 DR. ALEXEEFF: George Alexeeff again. One
15 comment.

16 So as Carol alluded to, and probably, as you
17 recall, when we surveyed you for time for this meeting, we
18 were trying -- if we were going to bring that chemical, we
19 thought it would be a two-day meeting, so we would try to
20 structure it the days next to each other or close to each
21 other, depending upon people's calendars, if they could
22 get two days next to each other, that's the best way to do
23 it. That's what we did also for the CIC, when we thought
24 it would go over to two days. So that would be one way,
25 so that it wouldn't be a long time between the

1 information.

2 The other thing that was brought up in the
3 comment letters from the petitioners was the concern for
4 discussion. And this morning, you had a great discussion,
5 of course, after going through everything. But the
6 concern was that if you were up to, you know, a five
7 o'clock time point and we had spent all day presenting
8 this stuff to you, then you felt like you had a little bit
9 of -- not enough time to discuss, but you had made it up
10 in your -- you had your thoughts, so you're maybe able to
11 vote, but the discussion wasn't clear to your thought
12 processes, because we ran out of time.

13 So one of the concerns -- one of the thoughts
14 would be that if you went through each endpoint, you could
15 begin some of the discussion, at least, after the
16 presentation of that endpoint, maybe without voting. So
17 maybe that's something to discuss, if that makes sense or
18 not. So that it's clear that you've had your questions
19 answered, you've thought about it maybe, in your mind
20 you've made some preliminary thoughts and then we could
21 move on to the next endpoint, if that's helpful.

22 CHAIRPERSON BURK: Yes. Does that sound
23 reasonable? I would say so. I think we would want to --
24 when we're talking bundling the things, that would include
25 our discussion, that's the way I'm hearing it. It just

1 wouldn't necessarily end with a vote.

2 Now, the issue again, I would assume, it would
3 include public comments on the topic, but that's
4 another thing, unless I --

5 CHIEF COUNSEL MONAHAN-CUMMINGS: I think --

6 CHAIRPERSON BURK: You know, in other words, we'd
7 focus on each part at a time, but would not necessarily
8 vote until the end on each of it -- each of the endpoints.

9 CHIEF COUNSEL MONAHAN-CUMMINGS: Right. So
10 you're required to either have public comment during your
11 deliberations or prior to the vote, so whichever one would
12 be most helpful to you. You know, if you leave all the
13 public comments to the end, there again going to go back
14 to some other stuff. But you know, it's entirely up to
15 you guys.

16 And it could be that, you know, this is just
17 something that needs to be decided on a case-by-case basis
18 on each agenda. But I think that Dr. Burk just wanted
19 some input on what you all might want to see for future
20 meetings.

21 CHAIRPERSON BURK: Okay. Well, that was good.

22 On the topic of public comments, again, I mean, I
23 have to speak for myself. At the last meeting, I know we
24 tried to make it fair. So I'm just saying the idea of
25 allowing pro-listing and anti-listing to get equal weight

1 is certainly acceptable and actually something that, you
2 know, we tried to facilitate.

3 But from the Committee's point of view, I'm just
4 more interested in your feedback on the length of time
5 that we should allot to comments and so forth.

6 Dr. White.

7 COMMITTEE MEMBER WHITE: Having commented before
8 a Senate committee hearing previously, which I think
9 landed me here, it got such rave reviews, I suppose, three
10 minutes was all we were allowed. And in three minutes, I
11 was able to give my comment with much passion and clarity.
12 My recommendation would be that we do keep it to three
13 minutes. I think if -- or a short amount of time. I was
14 shocked myself that I was able to give my comment in three
15 minutes. And I finished at three minutes and the timer
16 was shot.

17 So I know if I can do it, and I'm not anymore
18 brainy than anyone else, then I know that those who are
19 passionate about what they're commenting on can do the
20 same. You can say a whole lot in a short amount of time,
21 and you can say nothing in an extended amount of time.

22 So that would be my recommendation is that we
23 keep the time very short, because if we have -- we have
24 had chemicals where we had large numbers of commenters.
25 And I think that whether we have two people or a hundred

1 people, I think we should keep the time consistent. And I
2 also think that time should be published. That's just my
3 humble opinion.

4 CHAIRPERSON BURK: So time published ahead of
5 time as to how --

6 COMMITTEE MEMBER WHITE: Yes.

7 COMMITTEE MEMBER KEEN: And I agree, but of
8 course there is the devil in the details, in the sense
9 that we just had a scenario painted for us where a meeting
10 might last over two days, where we do endpoint by
11 endpoint. And so I think we'd have to up-front say, does
12 that mean there's three three-minutes, or one
13 three-minutes, I mean, because it would be, in my mind,
14 inappropriate to have one three-minute and then expect
15 somebody -- it may not even be a two-day meeting that's
16 next to each other. So I think we'd have to have that as
17 a caveat, but state it up front, so it doesn't surprise
18 people and we have bricks thrown at us.

19 COMMITTEE MEMBER GOLD: This actually partially
20 reflects back to what the previous discussion about
21 whether to group them. And I like the idea of grouping
22 the endpoints, but I can envision a study, one study,
23 that's looking at one agent and looks at multiple
24 endpoints. And what I would like to see avoided is sort
25 of redundancy in reviewing the study designs and

1 limitations and all that three times, and then having
2 commenters, both on the Panel and from the public repeated
3 three times.

4 So I think -- I support the idea of flexibility,
5 but I think we ought to avoid redundancy to the extent
6 possible.

7 CHAIRPERSON BURK: What I'm hearing so far is
8 keep the comment period short, and announce it ahead of
9 time, you know, depending. And I suppose it could vary.
10 I would also like to suggest that the Committee always has
11 the prerogative to ask questions to a commenter,
12 particularly if they're presenting some scientific
13 evidence that we don't know about. That doesn't count on
14 their time. That's our time.

15 Some mechanism for avoiding redundancy. And I
16 know, in some ways, I think I've heard that, at least in
17 the state, it's often done that if someone agrees with
18 someone all they have to do is get up and say I agree with
19 so and so, and they don't have to talk for three minutes,
20 but they get on the record that way.

21 You know, my personal feeling is I spend more
22 time reading the things that are submitted ahead of time.
23 And as far as I know, there's no limit on it that, so I
24 would encourage somebody, if they have something to say,
25 to send it in writing.

1 But am I hearing anything on the concept of
2 ceding time?

3 COMMITTEE MEMBER KEEN: Opposed to it.

4 CHAIRPERSON BURK: Okay.

5 COMMITTEE MEMBER WHITE: He said he's opposed to
6 it?

7 CHAIRPERSON BURK: He says he opposed to it.

8 COMMITTEE MEMBER WHITE: I'm opposed to it.

9 COMMITTEE MEMBER JONES: I'm opposed to it.

10 CHAIRPERSON BURK: All right. So that one is
11 pretty clear.

12 The other comment that was presented in the
13 letter was about asking each presenter to state their
14 financial interests. And my understanding is that isn't
15 required by any law. But I would say if any of you want
16 to know that, you know, I'd be happy to ask. They don't
17 have to answer, I guess. But is that something you want
18 me to try to do more of?

19 COMMITTEE MEMBER GOLD: I'll just say in the
20 medical school setting, where I am, this is increasingly
21 the case, so that any seminar, any presentation, there's
22 usually disclosure at the beginning. And I'm also
23 accustomed to seeing it in other sort of advisory panel
24 settings as well, and also professional meetings now.
25 Well, for a long time I think there's been disclosure of

1 whether you have support from, you know, certain --
2 wherever. You know, whether it's just federal support or
3 whether it's industry support.

4 So I'll just say that there is an increasing
5 trend to this sort of form of disclosure. I'm not sure I
6 feel strongly one way or the other at this point about
7 this panel.

8 CHIEF COUNSEL MONAHAN-CUMMINGS: Just for
9 clarification, the Open Meeting Act specifically says you
10 cannot require someone to state their name, affiliation,
11 or any other information if they want to speak in front of
12 the group. It doesn't say you can't ask.

13 So if you want to ask a question or follow-up,
14 you know, on a particular study, you know, who was that
15 funded by, that sort of thing, it's entirely up to you
16 whether you ask that. But you can't say well then you
17 need to sit down, if you're not going to answer the
18 question or something, because we can't require it.

19 CHAIRPERSON BURK: And then the other item was
20 the idea of taking a paper ballot vote. The idea being
21 that each person it would be read out by their name, but
22 their vote might not be specifically influenced by looking
23 around and seeing how other people were voting. So there
24 would be more independent voting, I guess.

25 Any thoughts on that one way or the other?

1 I heard it just adds time.

2 COMMITTEE MEMBER WHITE: It just adds time.

3 CHAIRPERSON BURK: Okay.

4 COMMITTEE MEMBER JONES: What would be the idea,
5 you would put your name down on the vote.

6 CHAIRPERSON BURK: Yeah.

7 COMMITTEE MEMBER JONES: You would put your name
8 down and your vote or you'd just do it anonymously or
9 what?

10 CHAIRPERSON BURK: No. It wouldn't be anonymous.
11 The idea would be everyone would just have a ballot. And
12 when it called for the vote, they'd check yes, no or
13 abstain, pass it in, with their name on it, and it would
14 be read out. So the only idea there really is that
15 instead of the appearance of looking around to see, you
16 know, how other people are voting, you would be voting
17 individually, but still on the record.

18 Again, you didn't have any problem voting
19 differently, and I have done it in the past. You know, I
20 personally feel confident in the way I vote. But some of
21 this is the appearance that we're giving to others, and
22 that's why this was brought up. You know, I'd say it's
23 perceptions that --

24 COMMITTEE MEMBER JONES: Perception is always
25 important.

1 CHAIRPERSON BURK: Yeah, I know, and that's why
2 we're bringing it up.

3 So I'm not hearing anyone strongly for it or
4 against it.

5 COMMITTEE MEMBER WHITE: My question is -- has
6 this in the history of this body, has this come up
7 previously how we vote?

8 CHAIRPERSON BURK: Not that I'm aware of.

9 CHIEF COUNSEL MONAHAN-CUMMINGS: No, not the
10 process itself.

11 COMMITTEE MEMBER WHITE: Not the process.

12 CHIEF COUNSEL MONAHAN-CUMMINGS: I don't know
13 what perception people have had before of whether or not
14 people change votes based on who's, you know, next to them
15 or whatever. But I brought it up, primarily because, you
16 know, it was FDA and they had, you know, this idea that it
17 might help people be more independent, in terms of their
18 approach. I don't know if it's larger groups, or, you
19 know, they've had some issue that we haven't here or
20 whatever, it's just kind of an interesting concept. And
21 so it hasn't come up to my knowledge specifically before.

22 COMMITTEE MEMBER WHITE: Okay. Thank you.

23 CHAIRPERSON BURK: I think we've -- it's just a
24 proposal to address a perception that there might be
25 someone that's kind of driving everyone else in a

1 particular direction. I would like to hope that we could
2 all have our own opinions and feel free to express them.

3 All right, so I'm not hearing anything much on
4 that, one way or the other.

5 Okay. So I think that pretty much covers Agenda
6 Item number 4. Did you want to address number five or --
7 go ahead.

8 CHIEF DEPUTY DIRECTOR HIRSCH: So if we've
9 finished Item number 4, we'll move to Item number 5.

10 In this item, on August 5th, OEHHA and
11 specifically Dr. Denton received a petition from the
12 American Chemistry Council asking you, your Committee, to
13 rescind the designation of the NTP CERHR as an
14 authoritative body. Dr. Denton conferred with Chairwoman
15 Burk who decided to place this item on the agenda as a
16 discussion item.

17 So this will give you an opportunity to discuss
18 whether you wish to reconsider the designation of the NTP
19 CERHR as an authoritative body at a future meeting. So we
20 have provided you with copies of the petition, as well as
21 various letters that we have received, both in support and
22 opposition to the petition. And we have placed those on
23 our website as they have come in.

24 So I just want to clarify, because of the
25 letters, we did not announce a written comment period on

1 this item. But since this is America, and people have a
2 First Amendment right to send you anything that they wish
3 to talk -- that they wish, we felt that in the interests
4 of transparency that it was important to make sure that
5 you received those letters, and to ensure that they're
6 available to the public on our website.

7 So, you know, these letters give you a sense of
8 the interest in this item among certain stakeholders. But
9 again, this is strictly a discussion item for you today.

10 So with that, Carol Monahan-Cummings had a short
11 presentation on this subject.

12 (Thereupon an overhead presentation was
13 Presented as follows.)

14 CHIEF COUNSEL MONAHAN-CUMMINGS: This is more
15 talking than I've done in any previous meeting I think.

16 As Allan noted, this is the discussion of the
17 American Chemistry Council petition on NTP CERHR. That
18 group was designated as an authoritative body by a
19 unanimous vote of the DART Committee back in 2002.

20 By regulation, this Committee can revoke or
21 rescind the designation of an authoritative body, if the
22 Committee no longer considers the body to have expertise
23 in identifying chemicals as causing reproductive toxicity.

24 The Committee Chair and OEHHA are seeking your
25 advice as to whether or not we should consider putting

1 this petition on a future agenda. Obviously, we don't --
2 we haven't had a public comment period yet. There's -- we
3 would want to do that and also spend a fair amount of time
4 putting together materials, perhaps inviting speakers, if
5 you wanted to consider it.

6 And so we didn't want to do that work if there
7 wasn't interest in the group on reconsidering this. So
8 what we -- what we don't want to do today is have you
9 consider the merits of the petition, so much as just the
10 concept of whether or not it's something that you'd like
11 to consider at some point in the future.

12 We do have, at this point, plan to have a meeting
13 of the DART Committee in spring, because we should be
14 ready with sulfur dioxide by then, and we may be able to
15 link this up with that one, depending on the amount of
16 work involved, and that sort of thing.

17 So essentially, that's all I wanted to say and
18 answer any questions you might have regarding the approach
19 here. I do apologize for the -- again, for all of the
20 reining in of comments that you received, but I think it
21 came a bit from the fact that for this Committee at least,
22 there's not usually a discussion item, so much as there's
23 decision items. And so people are used to sending in
24 comments. And so they did, even though they weren't
25 solicited.

1 CHAIRPERSON BURK: So the question is for us, do
2 we wish to consider the request to rescind NTP CERHR as an
3 authoritative body at a future meeting. Does anyone have
4 any feelings on it one way or the other?

5 COMMITTEE MEMBER ROBERTS: I'm very reluctant to
6 rescind them, but I'm kind of concerned that after we
7 voted, what, 7 to nothing that it didn't meet listing,
8 that there would be a portion of the report that would be
9 interpreted as indicating that it did meet listing. That
10 part I have a concern about. I'm not sure it's using the
11 CERHR in an appropriate manner.

12 CHAIRPERSON BURK: Well, you know, I will remind
13 you of what our responsibility is in the code. "As an
14 advisory body to the Governor and the lead agency, the
15 DART Identification Committee may undertake the following
16 activities:" And number 2 is "Identify bodies which are
17 considered to be authoritative and which have formally
18 identified chemicals as causing reproductive toxicity".

19 So we decide who's authoritative, but we don't
20 necessarily get involved in the process that follows from
21 that. And I think that's what you're expressing concern
22 about, understanding how OEHHA then uses that designation
23 to --

24 COMMITTEE MEMBER ROBERTS: Well, I guess what I
25 would like to -- am I loud enough, I hope?

1 I guess what my concern about is, is that CERHR
2 writes a really nice thorough report after putting
3 together experts. And they identify chemicals as having
4 some degree of concern. And those are somewhat subjective
5 form of identification. And as I looked at it,
6 previously, my feeling was that it was not really
7 identifying a chemical in a listing type of format at all
8 of those levels. That negligible concerns should be
9 something that is not a listing conclusion is minimal.
10 You know, I'm not sure that that would fit with
11 the -- yeah, either the intent of Prop 65 or our intent as
12 identifying them as an authoritative body. I'm not sure
13 if anybody else --

14 CHAIRPERSON BURK: Yeah. So what are you
15 recommending, that we discuss it as an authoritative body
16 or try some other approach to --

17 COMMITTEE MEMBER ROBERTS: I'd like to have a
18 clear understanding of what they mean when they say that
19 they have identified something as having, say, minimal
20 concern? I forget their other criteria, but they've got
21 five of them I believe.

22 CHAIRPERSON BURK: All right. So is that a
23 possibility, Jim, that --

24 DR. DONALD: Well, as a matter of clarification,
25 while CERHR does identify levels of concern, that is not

1 what OEHHA uses in identifying whether formal
2 identification has occurred. We never have and we have no
3 intention of doing it in the future.

4 What we use is their weight of evidence
5 identification. And we only use cases where they have
6 identified clear evidence of adverse developmental or
7 reproductive toxicity. So the level of concern is
8 essentially hazard -- excuse me, not hazard, risk
9 characterization. They're comparing the hazard they've
10 identified with the potential exposure and coming up with
11 a level of concern.

12 We only deal with the level of hazard that they
13 have identified based on their weight of evidence
14 evaluation.

15 COMMITTEE MEMBER KEEN: Yeah.

16 CHAIRPERSON BURK: Well, again, we don't want to
17 debate the merits of the petition. I guess what we really
18 just want to know is, is this something we should put on
19 the agenda for a future meeting?

20 COMMITTEE MEMBER KEEN: Yeah. I think it's
21 difficult for me to envision removing them. But with that
22 said, given the flurry of letters from both sides that
23 have come in, even when they weren't solicited, suggests
24 that, as far as the public is concerned, it's an issue
25 that perhaps does merit some discussion.

1 CHAIRPERSON BURK: Okay. Do others agree? I
2 mean, it will involve, and I would ask, you know, what
3 sort of information we would like to have to carry out
4 this discussion or in the future. And, I mean,
5 information that we would request beyond what public
6 comments would bring in, I'm sure. I don't know.

7 CHIEF COUNSEL MONAHAN-CUMMINGS: Could I also
8 clarify, in particular for Dr. Keen, are you concerned
9 about the process OEHHA uses in the authoritative body
10 process or are you concerned about the conclusions or the
11 process that NTP uses to develop their documents?
12 Because, you know, the presentations would be entirely
13 different in those two cases.

14 COMMITTEE MEMBER KEEN: I personally don't have
15 any concerns. And I'd be very surprised to -- if I were
16 to change my opinion of how I view them and their
17 documents right now, which is in a very positive fashion.

18 My comment was more that this seems to be an
19 issue that folks have not sorted out in their own mind.
20 And I think the Prop 65 process is critical. And just as
21 we, in the previous discussion, were dealing with the
22 perceptions of many NGOs and how they thought the
23 process -- if this is a significant point, then I think it
24 perhaps merits some discussion.

25 It's not because I personally have a concern for

1 them. So I'm giving you a bit of an evasive answer,
2 because I'm quite satisfied with the process that they
3 use, though I think for some Committee members and
4 certainly some members of the public to have a better
5 understanding of how they arrive at it, may be beneficial
6 to the whole Prop 65 process.

7 CHAIRPERSON BURK: Well, I hear that as two
8 different things though, because if we decide to hear the
9 petition, what we're deciding is, are they an
10 authoritative body or not. And we've already determined
11 that they are. And I, you know, personally am only
12 hearing everyone say yes they are authoritative, so
13 without making any kind of decision.

14 The discussion, is there a way we could do that
15 in a more informational way, you know, more educational
16 way as opposed to having huge amounts of pro and con
17 public comment on the authoritativeness of NTP CERHR. I
18 don't know. I don't know. I personally am trying to
19 avoid a huge amount of work for some inevitable, maybe,
20 decision. But I'm open to anyone that has an opinion on
21 this?

22 Dr. Hobel.

23 COMMITTEE MEMBER HOBEL: Yeah. I think that we,
24 as a Committee, have the right to look at anything we want
25 to look at, in terms of making a decision. And I brought

1 the document from our last meeting. I've been through it.
2 I think it provides reasonable information. I made a lot
3 of notes last time. And I think it's information that we
4 use in our decision making. So I think we have that right
5 to look at it.

6 And the source, I think, is good. And if there's
7 biases in it, that's up to us to decide whether there's a
8 bias or not. But I think it has tremendous value for us
9 to use in our deliberation and assessment.

10 CHAIRPERSON BURK: Well, I agree, but I think the
11 actual petition is to remove them as an authoritative
12 body, which is a separate listing process than the DART
13 Identification Committee process. So there's several ways
14 that a chemical can get on the list.

15 COMMITTEE MEMBER HOBEL: It's a resource.

16 CHAIRPERSON BURK: And us using their
17 information, I don't think anyone is disputing that. I
18 think maybe it is unclear how this works, but there's a
19 separate listing mechanism that OEHHA can use, where they
20 take chemicals formally identified by bodies that we
21 designate as a authoritative and then they can list on
22 that mechanism.

23 CHIEF COUNSEL MONAHAN-CUMMINGS: You know, and
24 also, it sounds like there may be some confusion about
25 that particular process more than, you know, questions

1 about this particular authoritative body. And so a
2 suggestion would be that we would be happy to give the
3 Committee, you know, an overview of each of the
4 processes -- there's four -- for listing chemicals and you
5 can -- you'd be able to see where they are similar, where
6 they're different.

7 You all are actually -- have been involved in the
8 authoritative body process in a number of different ways.
9 You identify the chemicals -- or I'm sorry, you identify
10 the authoritative bodies. You also can -- we can refer
11 chemicals to you if they don't seem to meet the criteria
12 in the regulation that we've adopted. You had a lot of
13 input, in terms of what the regulations says about the
14 criteria for listing chemicals, and so -- for
15 authoritative bodies.

16 And so it might be useful for you to see that, in
17 terms of understanding the process. It also would be an
18 educational process for the public, because I think that
19 they may have a certain level of misunderstanding of how
20 those documents are used. Each authoritative body has a
21 little bit different approach, and a different format, and
22 things like that, that our office has to kind of sift
23 through. And we've got, you know, procedures for doing
24 that.

25 So we could -- we'd be happy to do a presentation

1 like that for you, either before or whatever, if that
2 would be more helpful or something, in terms of the
3 process rather than the actual designation of an
4 authoritative body.

5 COMMITTEE MEMBER GOLD: So maybe it was my
6 confusion when I read the petition, but it sounded to
7 me --

8 DR. ALEXEEFF: I couldn't quite hear you.

9 COMMITTEE MEMBER GOLD: It may have ben my
10 confusion when I read the petition, but it seemed to me
11 that there was confusion in the petition between
12 requesting that they be -- you know, reconsideration of
13 this authoritative body versus how OEHHA uses the
14 authoritative body. And so I think those two things are
15 getting confused.

16 And I'd like to try and separate them. And I
17 think the educational process that you're suggesting would
18 perhaps help to clarify that, and -- but given that
19 con -- I just don't see the reason for the petition, per
20 se -- I mean, for reconsidering the authoritative body. I
21 think having some education about how it gets used might
22 be helpful.

23 CHAIRPERSON BURK: Any other comments?

24 COMMITTEE MEMBER WHITE: I would just like to say
25 I agree. I'm somewhat ignorant with respect to the

1 various -- the four various ways that chemicals get
2 listed. And I couldn't even imagine thinking about
3 rescinding anything without having enough education.
4 Thank God I don't practice medicine that way.

5 (Laughter.)

6 COMMITTEE MEMBER WHITE: Without enough
7 information. So I too agree, we need an education. We
8 need to be educated, and then go from there. I think that
9 would be fair.

10 CHAIRPERSON BURK: So I think what I'm hearing is
11 we would defer our decision on whether to hear the
12 petition or not until we get some education.

13 COMMITTEE MEMBER WHITE: Yes.

14 CHIEF COUNSEL MONAHAN-CUMMINGS: You can
15 basically table the discussion on the NTP petition, and
16 then, you know, we can work on -- and if you all today or
17 if you think about kinds of questions that you would like
18 us to address, we'd certainly put some materials together
19 for you in advance.

20 And are you interested in all of the other
21 listing mechanisms or would it be your reference just to
22 look at authoritative bodies at this point?

23 CHAIRPERSON BURK: Oh, I think a quick overview
24 of the four listing mechanisms would be useful, and then
25 maybe more information on specifically the authoritative

1 body mechanism. And I personally can think of some
2 questions that I have, so I would hope that we could
3 submit those.

4 CHIEF COUNSEL MONAHAN-CUMMINGS: Sure. That
5 would be fine. You might want to -- don't send them to
6 anybody else, but say to me. And then we won't be having
7 any problems with reply to all. Although, it just would
8 be a discussion item once again, in terms of, you know,
9 the Committee understanding the process and kind of an
10 educational session, rather than any decision making.

11 MR. LANDFAIR: Dr. Burk, will the Chair entertain
12 comment on this?

13 CHAIRPERSON BURK: No, sorry. We decided to have
14 this discussion. And I can see a lot of people out there
15 chomping at the bit. So I know that even if we do this as
16 an educational process, there's still going to be folks
17 that are going to want to comment on it.

18 MR. LANDFAIR: Well, what I have to state for the
19 record is that the petitioner has placed before the
20 Committee a formal legal petition asking, in essence, for
21 adjudication of its right --

22 CHAIRPERSON BURK: A formal legal --

23 MR. LANDFAIR: This is a formal legal petition.

24 CHAIRPERSON BURK: There will be no public
25 comment and we're not a court of law.

1 MR. LANDFAIR: And we are being denied the
2 opportunity to be heard.

3 CHIEF DEPUTY DIRECTOR HIRSCH: This is a
4 discussion item only. And maybe Carol can clarify this,
5 but we've run into legal problems if we start taking
6 public testimony.

7 MR. LANDFAIR: We recognize it's been placed on
8 as a discussion item. However, you were presented with a
9 formal petition to, in effect, decide an important matter
10 which affects the rights of parties who have an interest
11 before the Board. So by placing it before the Board as a
12 discussion item --

13 CHAIRPERSON BURK: Yeah, I understand that, but
14 we've decided to table it.

15 MR. LANDFAIR: -- and then deciding not even to
16 entertain comment from those affected, you have
17 effectively denied us an opportunity to be heard and due
18 process of law.

19 CHIEF DEPUTY DIRECTOR HIRSCH: What the Committee
20 is discussing here is having an informational item at a
21 future meeting prior to making a decision on whether they
22 want to hear this petition. So, in my opinion, there's
23 been no -- not hearing public comments, there's always the
24 opportunity to present that later.

25 MR. LANDFAIR: Then it seems as our petition has

1 just been deferred ad infinitum and effectively denied.

2 CHIEF COUNSEL MONAHAN-CUMMINGS: No, it's been
3 tabled for the moment.

4 MR. LANDFAIR: That's kind of what I said.

5 CHIEF COUNSEL MONAHAN-CUMMINGS: And, Stan, there
6 is no public comment right now. So I'd appreciate if you
7 would --

8 MR. LANDFAIR: Well, I recognize I'm extending
9 beyond the limits of courtesy, and I recognize that and I
10 will yield.

11 Thanks very much.

12 CHIEF DEPUTY DIRECTOR HIRSCH: All right.

13 CHAIRPERSON BURK: George.

14 DR. ALEXEEFF: Dr. Burk, just to get back to
15 where we were, in terms of understanding the authoritative
16 body process, as Carol had mentioned, each authoritative
17 body that you've designated kind of comes with different
18 kinds of reports and kind of puts together their level of
19 evidence differently.

20 So what we could do, if you'd like, we could
21 either just focus on NTP and say how we interpret their
22 information, in terms of the authoritative body listing
23 process, or we could give you information about the other
24 authoritative bodies as well on how we interpret their
25 documents, like EPA, and et cetera, FDA whatever.

1 So I just kind of -- we would do it briefly. We
2 wouldn't try to -- but it would just sort of give you a
3 sense as to the types of things we look for in the
4 documents as to whether they've made a decision and what
5 type of decision they've made.

6 CHAIRPERSON BURK: Yeah. I think I'm hearing
7 that we would like to hear that briefly.

8 All right. I think that's the end of our
9 discussion.

10 So the next agenda item is staff updates. And
11 Cynthia Oshita is coming forward.

12 MS. OSHITA: Good morning -- or good afternoon, I
13 guess now.

14 Since the Committee last met in July, OEHHA has
15 administratively added 29 chemicals to the Prop 65 list,
16 19 were added as chemicals known to cause reproductive
17 toxicity, and the other 10 were added as chemicals known
18 to cause cancer.

19 And I will not recite all 29 chemical names, but
20 instead we've included a summary table with the latest
21 additions, and the respective effective dates. And
22 they're in your meeting materials behind the staff updates
23 tab.

24 There presently are three chemicals that are
25 under consideration for administrative listing, being

1 methanol as causing reproductive toxicity,
2 4-Methylimidazole and metam potassium as causing cancer.
3 And each of these chemicals are in the Notice of Intent to
4 List phase. We've received comments on each of the
5 chemicals, and those comments are under review.

6 In addition, on three separate occasions since
7 last July, OEHHA announced the proposed administrative
8 listing of yet some other chemicals. One of the chemicals
9 as causing reproductive toxicity, that's BPA. Comments
10 were received on BPA and those are under review.

11 The other two chemicals were under consideration
12 for causing cancer. Those are epoxiconazole and DEF.
13 Those two are in the Notice of Intent List phase right
14 now. Comments were received on epoxiconazole. We are
15 reviewing those comments. And then an extension to the
16 public comment period was granted for DEF and that will be
17 closing on November 15th, 2010.

18 Today, OEHHA will also post a notice announcing
19 the proposed administrative listing of yet six more
20 chemicals, that they are under consideration for causing
21 cancer. And the public comment period will close on
22 December 21st, 2010.

23 Turning to the safe harbor levels. Since last
24 July, OEHHA has proposed to adopt two new Maximum
25 Allowable Dose Levels. Those are for DIDP, and hexavalent

1 chromium. The rule-making package for DIDP is currently
2 with the Office of Administrative Law for review and
3 approval.

4 We did receive one comment on the Maximum
5 Allowable Dose Level for hexavalent chromium. And so its
6 rule-making package will be finalized and submitted to the
7 Office of Administrative Law in the near future.

8 We are also proposing -- we have also adopted two
9 No Significant Risk Levels, one is for para-chloroaniline
10 and the other one is for para-chloroaniline hydrochloride.
11 These levels became effective on August 12th, 2010.

12 And then currently we have also proposed two new
13 No Significant Risk Levels. Those would be for
14 2,4,6-Trinitrotoluene, or TNT, and glycidol. We did not
15 receive any comments on either. And so their rule-making
16 packages will be finalized and submitted to the Office of
17 Administrative Law as well in the very near future.

18 Thank you.

19 CHAIRPERSON BURK: Thank you. And now Carol
20 Monahan-Cummings will talk about Prop 65 litigation.

21 CHIEF COUNSEL MONAHAN-CUMMINGS: Here I am again.

22 We have three pending cases related to Prop 65
23 listings or proposed listings. Two of them are in the
24 Court of Appeal different districts. One was a case
25 brought by the California Chamber of Commerce challenging

1 our authority to list chemicals under, what we call, the
2 Labor Code mechanism under Prop 65, which I'll explain to
3 you at the next meeting what those are.

4 And then there's another case that is pending in
5 the Court of Appeal that relates also to Labor Code
6 listings under a little different process, and that has to
7 do with styrene and vinyl acetate. And those are fully
8 briefed and ready for the courts to hear, but we haven't
9 had a briefing schedule issued by the courts yet.

10 The one case that's pending in the trial court is
11 the Sierra Club versus Schwarzenegger case, which I've
12 mentioned to you a number of times. It's been pending, I
13 think, since 2007 perhaps. And we are in the discovery
14 stage of that case still. It mostly affects our other
15 listing processes, including authoritative bodies, Labor
16 Code and CIC processes. But this Committee -- and
17 actually the CIC members have been named in that action
18 not you.

19 But it affects this Committee to the extent that
20 it also discusses the prioritization process that we
21 adopted in 2004.

22 That case, as I mentioned, is in the discovery
23 phase. There's some motions that should be decided
24 shortly on discovery issues that may result in our office
25 taking a writ to the Court of Appeal. And so we may have

1 all three cases in the Court of Appeal at some point in
2 the future.

3 There are always other cases that are pending
4 Prop 65 issues, but those are the ones that directly
5 affect our office and potentially affect this Committee.

6 Does anybody have questions on those?

7 I don't believe that you are -- any of you are
8 part of the litigation hold that I have on documents, and
9 so you don't have to worry about that. That's the CIC.

10 CHAIRPERSON BURK: Thank you. Thanks, Carol.

11 Before I let Allan close, I just want to thank
12 everyone for coming today. I particularly want to thank
13 the staff for all the hard work they put into preparing
14 the materials for us. And, of course, I want to thank the
15 Committee for, I think, excellent discussion today and
16 participation.

17 And I'll turn it over to Allan Hirsch for final
18 comments.

19 CHIEF DEPUTY DIRECTOR HIRSCH: Thank you, Dr.
20 Burk. Well, just to quickly summarize what took place
21 today in the one action item, the Panel voted to list
22 methyl isocyanate on the Prop 65 list for developmental
23 toxicity and female reproductive toxicity. That was both
24 unanimous notes. You voted not to list it on the basis of
25 male reproductive toxicity.

1 And so then on the other items, the sense of the
2 Committee was the approach we suggested for prioritizing
3 chemicals in the future you seemed comfortable with.

4 On the meeting items, in terms of how you wish to
5 split your Committee discussions and votes, the sense of
6 the Committee was certainly maintain flexibility. So on
7 chemicals without, you know, a substantial volume of
8 information like today's, you could certainly keep doing
9 it the way that we did. But for large chemicals, to keep
10 open the option of having separate presentations and
11 discussions for each of the three endpoints, but wanting
12 to withhold your votes until the end.

13 On comment periods, the sense of the Panel was to
14 keep the comment period short. Three minutes was the only
15 number given, but to keep the comment period short, while
16 noting that if you have separate presentations on each
17 endpoint, that would probably connote three separate
18 comment periods too. And that OEHHA would do its best to
19 avoid redundancy in our staff presentations.

20 And you also, you know, oppose speakers ceding
21 time to other speakers.

22 You also -- the sense of the Panel was, yes, that
23 the Chair or any of you could ask a speaker for
24 attribute -- to state their affiliations and any financial
25 affiliations or disclosures that you would like them to

1 make.

2 And the sense of the Committee was also to keep
3 voice voting the way that you have been as opposed to
4 paper voting.

5 And then last, but certainly not least, the sense
6 of the Panel was to have us come back with an
7 informational presentation probably at the next meeting
8 about the four listing mechanisms, and then that would
9 proceed any further discussion that you would have about
10 whether you want to consider the petition to de-designate
11 or rescind the designation of the NTP CERHR.

12 So unless anyone thinks I've misstated anything,
13 that's certainly my summary of what happened.

14 We don't have a firm date for our next meeting,
15 but, as has been said, we're thinking in terms of next
16 spring. It's a little -- I guess you've had meetings in
17 the spring in the past. They tend to be in the fall, but
18 we are thinking of having you back next spring.

19 And that's it.

20 CHAIRPERSON BURK: All right. I think we're
21 adjourned. Safe journey home to everyone.

22 (Thereupon the Developmental and
23 Reproductive Toxicant Identification
24 Committee adjourned at 1:28 p.m.)

25

CERTIFICATE OF REPORTER

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, and Registered Professional Reporter, do hereby certify:

That I am a disinterested person herein; that the foregoing California Office of Environmental Health Hazard Assessment, Developmental and Reproductive Toxicant Identification Committee was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California, and thereafter transcribed under my direction, by computer-assisted transcription.

I further certify that I am not of counsel or attorney for any of the parties to said workshop nor in any way interested in the outcome of said workshop.

IN WITNESS WHEREOF, I have hereunto set my hand this 1st day of November, 2010.

JAMES F. PETERS, CSR, RPR
Certified Shorthand Reporter
License No. 10063