

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT
IDENTIFICATION COMMITTEE

JOE SERNA JR.
CAL/EPA HEADQUARTERS BUILDING
1001 I STREET
COASTAL HEARING ROOM
SACRAMENTO, CALIFORNIA

MONDAY, FEBRUARY 25, 2013

10:07 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

COMMITTEE MEMBERS:

Ellen B. Gold, Ph.D., Chairperson

Laurence Baskin, M.D.

Hillary Klonoff-Cohen, Ph.D.

Ulrike Luderer, M.D., Ph.D., M.P.H.

Aydin Nazmi, Ph.D.

Meredith Rocca, Ph.D., D.A.B.T.

STAFF:

Dr. George Alexeeff, Director

Mr. Allan Hirsch, Chief Deputy Director

Ms. Carol Monahan-Cummings, Chief Counsel

Dr. Marlissa Campbell, Staff Toxicologist

Dr. Jim Donald, Chief, Reproductive Toxicology and
Epidemiology Section

Dr. Allegra Kim, Staff Toxicologist

Ms. Cynthia Oshita, Proposition 65 Implementation

Dr. Martha Sandy, Chief, Reproductive and Cancer Hazard
Assessment Branch

Dr. Lauren Zeise, Deputy Director, Scientific Affairs

ALSO PRESENT:

Dr. Sarah Janssen, Natural Resources Defense Council

Mr. Steve Risotto, American Chemistry Council

I N D E X

		PAGE
I	INTRODUCTION AND ADMINISTRATION OF THE OATH OF OFFICE TO NEWLY APPOINTED MEMBERS	1
II	ORIENTATION	
	A. Committee Meeting Procedures and Bagley Keene Open Meeting Act Requirements	8
	B. Proposition 65 Overview and Listing Mechanisms	16
	C. Hazard Identification Materials Development	24
	D. Public Comments	31
III	CONSIDERATION OF CHEMICALS AS KNOWN TO THE STATE TO CAUSE REPRODUCTIVE TOXICITY	
	A. Xylene	
	Staff presentations	37
	Committee discussion	74
	Public Comments	103
	Committee discussion and decision	107
IV	UPDATE OF THE SECTION 27000 LIST OF CHEMICALS WHICH HAVE NOT BEEN ADEQUATELY TESTED AS REQUIRED	115
V	STAFF UPDATES	
	- Chemical listings via the administrative listing mechanisms and safe harbor level development	118
	- Proposition 65 litigation	120
VI	PUBLIC COMMENTS	124
VII	SUMMARY OF COMMITTEE ACTIONS/CLOSE MEETING	125
	Reporter's Certificate	127

1 P R O C E E D I N G S

2 DIRECTOR ALEXEEFF: Good morning, everyone.
3 Let's do ahead and get started with today's meeting. This
4 is the meeting of the Developmental and Reproductive
5 Toxicant Identification Committee.

6 I'm George Alexeeff. I'm the Director of the
7 Office of Environmental Health Hazard Assessment. And I
8 serve as, in this role, as Secretary to this Committee.

9 So I'd like to -- what I'd like to do is I'll
10 just introduce the members, and then I'll ask them to give
11 a little bit more introduction about themselves, but I'll
12 just -- to my left is Dr. Ellen Gold. And to the left of
13 her is Dr. Meredith Rocca. And to the left of her is Dr.
14 Ulrike Luderer. And then on my far left is Dr. Laurence
15 Baskin. And then to my right is Dr. Hillary
16 Klonoff-Cohen, and next to her is Dr. Aydin Nazmi.

17 And we have three members not present here today
18 Dr. Isaac Pessah, Dr. Tracey Woodruff, and Dr. Catherine
19 VandeVoort. So why don't I just start with Dr. Gold.
20 We'll just go in the same order that I introduced the
21 members just to give a little background about themselves.

22 CHAIRPERSON GOLD: God morning. I'm Ellen Gold.
23 And I'm Professor and Chair of the Department of Public
24 Health Sciences at UC Davis and also Chief of the Division
25 of Epidemiology in that Department. And I've been

1 interested in lifestyle and environmental factors as they
2 relate to reproductive health reproductive epidemiology
3 and women's health.

4 COMMITTEE MEMBER ROCCA: Good morning. I'm
5 Meredith Rocca. I'm the Director of Non-Clinical Safety
6 Evaluation at Janssen Alzheimer's Immunotherapy, which is
7 a pharmaceutical company. I have been doing reproductive
8 and developmental toxicology assessments on
9 pharmaceuticals for many years.

10 COMMITTEE MEMBER LUDERER: Good morning. I'm
11 Ulrike Luderer. I'm Associate Professor in the Division
12 of Occupational and Environmental Medicine at UC Irvine.
13 I'm also the Director of the Environmental Toxicology
14 Graduate Program. And my research interests are in
15 ovarian toxicology, and also developmental toxicology of
16 the ovary understanding factors that modulate sensitivity
17 to ovarian toxicity. And I'm also an occupational and
18 environmental medicine physician.

19 COMMITTEE MEMBER BASKIN: Hello. Larry Baskin.
20 I'm a Professor of Urology and Pediatrics at University of
21 California San Francisco. I'm a pediatric urologist who
22 practices clinical pediatric urology. And my laboratory
23 interest has been on congenital anomalies and preventing
24 them, specifically looking at hypospadias on undescended
25 testes and our focus has been on endocrine disruptors.

1 COMMITTEE MEMBER KLONOFF-COHEN: I'm Hillary
2 Klonoff-Cohen. I'm a Professor in the Department of
3 Family Preventive Medicine at the University of
4 California, San Diego. And I'm interested in reproductive
5 and pediatric epidemiology, as well as oncology.

6 COMMITTEE MEMBER NAZMI: Good morning. My name
7 is Aydin Nazmi. I'm an epidemiologist and a faculty
8 member at California Polytechnic State University in San
9 Luis Obispo. I'm also the Director of the STRIDE Center
10 for Obesity Research.

11 DIRECTOR ALEXEEFF: Okay. Thank you, everyone.
12 A few housekeeping issues. If there is a need to evacuate
13 or a fire drill, the exits you can see them lit up and you
14 can exit out down the stairs. And if you need to evacuate
15 the building we go to the park across the street.

16 Also, the restrooms are out the back door there,
17 and then to the far left. So the next thing I'd like to
18 do is administer the oath of office for all the members.
19 So I'll ask them all to stand, and then just to repeat
20 after me. And when I say, "I" and then there's a blank,
21 you add your own name. All right. We're all set.

22 Okay, so, I --

23 COMMITTEE MEMBERS: I --

24 DIRECTOR ALEXEEFF: Oops, I'll speak into the
25 microphone here.

1 Do solemnly swear or affirm --

2 COMMITTEE MEMBERS: -- do solemnly swear or
3 affirm --

4 DIRECTOR ALEXEEFF: -- that I will support and
5 defend the Constitution of the United States --

6 COMMITTEE MEMBERS: -- that I will support and
7 defend the Constitution of the United States --

8 DIRECTOR ALEXEEFF: -- and the Constitution of
9 the State of California --

10 COMMITTEE MEMBERS: And the Constitution of State
11 of California --

12 DIRECTOR ALEXEEFF: -- against all enemies,
13 foreign and domestic --

14 COMMITTEE MEMBERS: -- against all enemies
15 foreign and domestic --

16 DIRECTOR ALEXEEFF: -- that I will bear true
17 faith and allegiance to the Constitution of the United
18 States --

19 COMMITTEE MEMBERS: -- that I will bear true
20 faith and allegiance to the Constitution of the United
21 States --

22 DIRECTOR ALEXEEFF: -- and the Constitution of
23 the State of California --

24 COMMITTEE MEMBERS: -- and the Constitution of
25 the State of California --

1 DIRECTOR ALEXEEFF: -- that I take this
2 obligation freely --

3 COMMITTEE MEMBERS: -- that I take this
4 obligation freely --

5 DIRECTOR ALEXEEFF: -- without any mental
6 reservation or purpose of evasion --

7 COMMITTEE MEMBERS: -- without any mental
8 reservation or purpose of evasion --

9 DIRECTOR ALEXEEFF: -- and that I will well and
10 faithfully discharge the duties upon which I am about to
11 enter.

12 COMMITTEE MEMBERS: -- and that I will and
13 faithfully discharge the duties upon which I am about to
14 enter.

15 DIRECTOR ALEXEEFF: All right. Thank you very
16 much.

17 One more thing I'd like just to mention on
18 housekeeping, is this meeting is being webcast. So if,
19 you know, the Committee members will remember to speak
20 directly into the microphone. And then when we have any
21 questions or comments from the audience, please use the
22 microphone, so everyone can hear your question.

23 Okay. Just a couple more things before I turn it
24 over to the Chair here. Okay. I just have a couple
25 introductory comments.

1 First of all, over the last several years, OEHHA
2 and the DART Committee and stakeholders have debated the
3 subject of time limits for public comments without coming
4 to a lasting consensus on a balanced approach. And the
5 tentative agenda contained a note advising that public
6 comments would be limited three to five minutes per
7 person.

8 This generated concern from the public and the
9 legislature that five minutes is not adequate time to
10 discuss the scientific issues that should be considered by
11 the Committee when making a listing decision. It may also
12 be more efficient to allow two or more parties to combine
13 their time for presentation.

14 So OEHHA takes these concerns seriously, and
15 wants to provide adequate time for public comments, while
16 also ensuring that the Committee has sufficient time for
17 its deliberations.

18 So we've received a request for additional time,
19 that is 20 minutes, for the presentation by the American
20 Chemistry Council today, which the Chair granted. Also,
21 for this meeting, the three to five minute limit has been
22 dropped. Stakeholders have raised other concerns about
23 the process as well.

24 And since this is a new Committee, this is a good
25 opportunity to evaluate thoughtfully any changes we might

1 want to make on the process. OEHHA plans to consider
2 changes and will welcome suggestions from the Committee
3 and the public concerning the most productive process for
4 conducting the meetings of the Committee, and it may be a
5 good agenda item for the next meeting. And we'll work
6 with the Chair on how best to proceed.

7 So those are my introductory comments for today.

8 I will now turn it over to Dr. Gold.

9 CHAIRPERSON GOLD: Thank you. Well, first of
10 all, I want to welcome the new Committee members to the
11 first meeting the DART IC with its new membership in the
12 year 2013. Can you hear me all right?

13 It's too far away. Okay.

14 So I feel strongly that the Committee's business
15 should be made as public and transparent as possible, and
16 we will work to that end. And so for this reason, I
17 declined a request for a private meeting with interested
18 parties concerning the procedures of this Committee, and,
19 in particular, with reference to the amount of time for
20 the public comments, the time limit of three to five
21 minutes.

22 And in addition, I also, on February 21st,
23 received a letter from Gary Roberts on behalf of the SC
24 Johnson and Company, which lists a number of reasons why
25 public comments should not be limited to five minutes at

1 the March 18th meeting, next month's meeting, and a copy
2 of this letter is available at that back of the room. So
3 I will not be providing a written response to that letter,
4 but we, as you have heard, have modified the time limits
5 for today's meeting.

6 And if Mr. Roberts or others wish to raise the
7 issue further during the public comment period, that would
8 be the appropriate time to do that.

9 We also received, as you heard, a request from
10 the American Chemistry Council for additional time for its
11 presentation. And after I discussed this with Dr.
12 Alexeeff, we agreed to provide the additional time as you
13 heard. So the additional time is for 20 minutes. And any
14 other commenters will also be allotted 20 minutes. So
15 overall, we have allocated an hour for public comment
16 portion for the chemical we're taking up today, xylene,
17 but if a number of people wish to speak, we should be able
18 to accommodate them by extending the time.

19 Okay. So without further ado, I think we turn
20 now to our counsel.

21 (Thereupon an overhead presentation was
22 presented as follows.)

23 CHIEF COUNSEL MONAHAN-CUMMINGS: Good morning.
24 Can you hear me okay?

25 More or less.

1 Okay. Good morning. I just also wanted to
2 welcome the new members of the Panel and also those of you
3 that have been on the Panel for a while. And I am Carol
4 Monahan-Cummings. I'm the Chief Counsel for the Office of
5 Environmental Health Hazard Assessment. And I've been
6 with the Office for about 10 years. And I've been the
7 counsel for this Committee for 10 years also. In that
8 capacity, I do provide legal consultation for Committee
9 members regarding their work on the Committee. And you're
10 welcome to contact me anytime, if you have questions
11 about -- of a legal nature.

12 Speaking of legal things, I wanted to go over a
13 couple laws that apply to this Committee in particular.
14 And so we'll start off with the Bagley-Keene Open Meeting
15 Act.

16 Can we go to the next slide.

17 Thank you.

18 The purpose of the Bagley-Keene Open Meeting
19 Act -- and I sent you some materials in advance, so that
20 you could look at them. I'm required to give you the
21 actual text of the law, and so you should have that. And
22 I also gave you a pamphlet from the Attorney General's
23 office that explains the law in more detail. So I'm not
24 going to go line by line in that, but I just wanted to
25 highlight a few things. And we also discussed briefly

1 with the new members in our welcome phone calls the
2 general provisions of the Act.

3 So the ones I wanted to highlight is that the
4 purpose of the law is to allow the public to be informed
5 about the proceedings of public agencies. The purpose of
6 the law is not to make the meetings efficient. So really
7 it's to make sure that the public knows what you're doing,
8 and that -- it wants to ensure that the State's actions,
9 and that includes through this Committee, and
10 deliberations be open to the public.

11 And that kind of references the information that
12 Dr. Gold mentioned too, about having open and transparent
13 processes.

14 And the other purpose that I wanted to highlight
15 is to ensure that the public has input into decision
16 making. And you'll notice on our agenda today that we
17 have three -- I think it's three -- opportunities for
18 public comments. We're going to have comments after this
19 orientation material that we're presenting. We'll have
20 comments after the presentation of the information on
21 xylene, and we'll have another public comment at the end
22 of the meeting just to allow the public to make any other
23 additional comments they think are necessary.

24 Next slide.

25 So the essential requirements of the Bagley-Keene

1 Open Meeting Act are that the public must be provided with
2 reasonable notice about the location, time, and content of
3 our meetings. The general requirement is that the notice
4 of the meeting and the content of the meeting needs to be
5 published at least 10 days prior to the meeting. And our
6 agendas are generally published at least a month prior to
7 the meeting, but minimum requirement is ten days.

8 You are required, as a Committee, to meet and
9 discuss your decisions together in a public location. And
10 communications between members concerning issues that are
11 being considered by this Committee should only occur at a
12 public meeting. And that doesn't mean that you can't talk
13 to each other when you run into each other at a technical
14 meeting, or if you happen to work together on projects.
15 You can certainly do that, but what we're trying to avoid
16 is deliberations or discussions about the issues that are
17 in front of the Committee, or could be in front of the
18 Committee.

19 And then communications or discussions with third
20 parties or other Committee members need to be disclosed at
21 the meeting. And you can see an example of that from Dr.
22 Gold's comments. She disclosed that there was a request
23 from a third party for a meeting that she received a
24 letter from a third party, and she also received a request
25 through the -- I think it was a verbal request for the ACC

1 to have additional time to speak today.

2 And so that's essentially what you need to do if
3 there's a communication. You're certainly welcome to talk
4 to members of the public, including the press, or other
5 interested individuals, but it's best to go ahead and
6 disclose that at the meeting.

7 Next slide.

8 Okay. And for purposes of the Open Meeting Act,
9 there's a number of definitions of what a meeting is. It
10 includes any congregation of the majority of the members
11 of the Committee, that in this case would be five, to
12 hear, discuss, or deliberate on an issue that's within the
13 jurisdiction of the Committee.

14 So, for example, if you had all gotten together
15 before this meeting and talked about xylene, and kind of
16 reached some -- you know, had a discussion or reached some
17 kind of a conclusion before the meeting, that is a
18 violation of the Act.

19 That can include email exchanges between
20 individuals on the Committee and telephone calls or
21 communications through a third party. So generally, the
22 issues that come up here are when you receive an email,
23 for example, from one of our staff that goes to all of
24 you, and then you hit "reply all", and you discuss
25 something that's going to be coming in front of the

1 Committee. So you want to avoid the "reply all" function
2 on your email.

3 And you can't do kind of a serial meeting, where
4 say Dr. Baskin talks to Dr. Luderer who talks to Dr. Rocca
5 who talks to Dr. Gold, those are still considered
6 meetings.

7 One thing that a meeting does not include are if
8 a -- even if a quorum or a majority of the Committee
9 members happen to attend a conference or other scientific
10 meeting, and they all happen to be there, you can
11 certainly do that and attend the meeting, but again you
12 shouldn't discuss the issues that will be coming before
13 this Committee.

14 Next slide.

15 So the remedies for a violation of the Open
16 Meeting Act is that -- the primary one is that there's
17 the -- whatever action the Committee took based on that
18 violation has no legal effect. And so you essentially
19 have to do the whole thing over, and do it in public. And
20 so given the time that's involved in attending one of
21 these meetings and the work that's done, we're wanting to
22 avoid that outcome.

23 Okay. So next slide.

24 I'm going to change the subject a little bit here
25 and talk about a couple of other issues that can affect

1 this committee. One is the Public Records Act, and the
2 second one is litigation holds. And I'll go into those
3 separately.

4 First one, the Public Records Act is applicable
5 to all actions by a public entity, and that includes
6 members of this Committee. And so I just want to let you
7 know that virtually all your hard copy and electronic
8 records that relate to this Committee are open to the
9 public upon request. And if we get a request for that
10 information, we'll advise you of that and you're required
11 to collect it and provide it to us. And that includes if
12 you've, for some reason, are using your private email
13 accounts or private computers or whatever, you still have
14 to collect the information off of those that might be
15 related to your work on the Committee.

16 And then lastly, there is an issue that comes up
17 from time to time when our office or the Committee or both
18 are subject to litigation. Every once in a while, you all
19 get sued and so do we. And right now the Carcinogen
20 Identification Committee, each of the members are
21 defendants in action that was brought by the Sierra Club.
22 So it does happen from time to time. This Committee has
23 not been named in litigation at this point.

24 But I do want to let you know that when I believe
25 that litigation is imminent or certainly after it's filed,

1 that I will send you information, usually via email, and
2 ask you to -- explain to you what items that you may have
3 that are covered, and you are required to maintain those,
4 not delete them, not destroy them during the time that the
5 litigation hold is in place. And that can be a long time.

6 In the Sierra Club case, it's been over six
7 years. And so you might want to get a box and pile all
8 your stuff in there and keep adding it in.

9 And again, the only way that it expires or
10 changes is if I notify you in writing that it has been
11 lifted. And again, it can include items that are saved on
12 your home computer and hand-held devices, to the extent
13 that they are relevant to the potential or actual
14 litigation.

15 Okay. I said that was the last, and it's not.

16 Next slide

17 You are all currently in compliance with the
18 requirements to complete a Statement of Potential
19 Financial Conflicts under the Fair Political Practices
20 Act, and -- also known as completing your Form 700.
21 That's required within 30 days after you're appointed, and
22 then annually thereafter.

23 So I appreciate you disclosing that information.
24 In the event that anything comes up during the next year
25 before you have to complete it again, you're welcome to

1 give me a call, ask any questions you have about whether
2 or not something is a conflict, or how to maintain
3 records, and I'm be happy to answer those questions. Does
4 anybody have questions on that piece of the information?

5 Do we need more coffee?

6 (Laughter.)

7 CHIEF COUNSEL MONAHAN-CUMMINGS: Okay. Now. I
8 get to talk again. So this one is -- let's see, what does
9 it come up as?

10 (Thereupon an overhead presentation was
11 presented as follows.)

12 CHIEF COUNSEL MONAHAN-CUMMINGS: Okay. This
13 piece is going to be a discussion about -- just to give
14 you some context about Proposition 65 and how your
15 Committee fits within the law.

16 The Safe Drinking Water and Toxic Enforcement Act
17 of 1986 was passed as a voter initiative with two-thirds
18 of the vote in California voting in favor of it, so it was
19 a very popular initiative.

20 One of the things that it was specifically
21 designed to do is avoid the application of governmental
22 discretion to the extent possible. So you'll find, and
23 we've found, that there are a number of limitations on
24 what our office can do in regard to listing chemicals.
25 Much of it, the law is mandatory.

1 And -- next slide.

2 The part of the law that you're probably most
3 interested in is the Proposition 65 listings. The law
4 only focuses on carcinogens and reproductive toxicants.
5 It doesn't apply to like neurological chemicals unless
6 they also cause cancer or reproductive toxicity. You
7 know, irritants or things like that that are also issues,
8 but they are not under Prop 65.

9 So I also believe that there's an intentional
10 overlap in the law that we'll kind of point out as we go
11 along. But essentially, what we're looking at is the
12 whole universe of chemicals and then finding those that we
13 believe are carcinogens or reproductive toxicants.

14 Next slide.

15 So there's four listing mechanisms under the law.
16 The one of most interest to you probably is the
17 identification of chemicals by our Carcinogen
18 Identification Committee or this Committee. And there's a
19 slightly different criteria for each one of the listing
20 processes.

21 For your group, the criteria is specifically
22 established in the statute. And it is you have to find a
23 chemical that is clearly shown by scientifically valid
24 testing, according to generally accepted principles, to
25 cause developmental or reproductive effects.

1 So you'll hear comments about that from time to
2 time about whether or not something has been clearly shown
3 to cause those effects.

4 Next slide.

5 Oops. I'm sorry. If we could go back for a
6 second.

7 I'm sorry. I shouldn't have said go back.

8 I'll just a couple of things

9 DR. CAMPBELL: We've got an amateur on the --

10 CHIEF COUNSEL MONAHAN-CUMMINGS: That's okay.

11 DR. CAMPBELL: I don't know. That didn't work.

12 How do I go back?

13 CHIEF COUNSEL MONAHAN-CUMMINGS: That's all
14 right. Now, we're going forward.

15 While we're trying to get -- there we go.

16 So in terms of your Committee, there are a couple
17 things to keep in mind. The definition of a chemical that
18 is subject to Prop 65 that I just read to you is not a
19 legal standard. Some people want to equate it with a
20 standard that might be used in a legal proceeding, such as
21 beyond a reasonable doubt, or clear and convincing
22 evidence, things like that.

23 But you are not a jury, and you weren't -- you
24 weren't put on this Committee for the purpose of making
25 legal decisions. Your expertise is in the science, and

1 you're making scientific conclusions about chemicals, so
2 you don't have to worry about that.

3 Related to that, the Committee, some years ago,
4 adopted some guidance in how they can approach looking at
5 chemicals and whether or not they meet the criteria in the
6 law. You have copies of those in your materials. And one
7 of the things you might want to look at, since they were
8 adopted some years ago, is maybe updating that document to
9 take into account some of the newer science and the newer
10 approaches to looking at some of these issues.

11 Next slide, please.

12 The second way that chemicals get listed is via
13 findings by Authoritative Bodies. And this Committee is
14 involved in that listing process, because you identify
15 which bodies are considered authoritative, in terms of
16 identifying reproductive toxicants or developmental
17 toxicants.

18 So currently, the Committee has identified the
19 National Toxicology Program as to the final reports by the
20 Center for -- Center for -- well, it's called the CERHR.

21 Help me.

22 DR. DONALD: It's the Center for Evaluation of
23 Risks to Human Reproduction.

24 CHIEF COUNSEL MONAHAN-CUMMINGS: See, I'm not a
25 scientist. I can't remember these things.

1 There's also NIOSH, the U.S. EPA, U.S. FDA and
2 then the International Agency for Research on Cancer,
3 specifically transplacental carcinogenicity.

4 Again, your group has the ability to take off any
5 of these authorities, if you decide that they aren't
6 authoritative any more, and you also have the opportunity
7 to add any other agencies that you do think are
8 authoritative.

9 And in the event you want to do that, we can
10 schedule a meeting, get some materials together for you,
11 and we could discuss that, but we need to do it in a
12 public forum.

13 Next slide, please.

14 There's a couple of mandatory listing procedures
15 that don't relate to this Committee and have different
16 criteria. Oh, I should mention under the Authoritative
17 Bodies listing criteria, that was actually -- the
18 regulation was actually developed with the input of this
19 Committee in how we would evaluate the reports, and from
20 these different Authoritative Bodies. And again, you can
21 help us to update those regulations, if you think those
22 should be updated. They were adopted in the -- I think
23 the early eighties or late eighties or early nineties.

24 Okay. So under the formal labeling requirements,
25 that listing mechanism is mandatory, and it's based on

1 whether or not -- generally, it applies to chemicals that
2 are identified by the package inserts or on the label as
3 reproductive toxicants, or developmental toxicants. And
4 most of the time that has to do with the FDA requirements
5 for prescription drugs.

6 Next slide.

7 And the last listing mechanism, which has ended
8 up in the last few years being the most controversial are
9 warnings that are required -- occupational warnings under
10 the California Labor Code and the federal OSHA
11 requirements for identifying chemicals where employees
12 need to be warned about their exposures. When the law was
13 adopted, the intent was that if a person, in the course of
14 their employment, was required to be provided a warning,
15 then certainly the people of the State of California
16 should also know about that, and be provided a similar
17 warning.

18 This particular listing mechanism has been the
19 subject of recent litigation, which I'll talk about when
20 we talk about the litigation update. And there's also
21 been some changes to the federal hazard communication
22 standard language that also affects this listing
23 mechanism. And we do plan to adopt regulations in the
24 future that can clarify our approach to those, but they
25 haven't been proposed at this time.

1 Next slide.

2 Okay. So once we use these four processes for
3 identifying chemicals, they're added to the Prop 65 list.
4 And currently, there's about 800 chemicals on that list
5 that have been identified over the last 25 years or so.
6 And the outcome of being on the list is there's a
7 possibility that a warning is required for certain
8 exposures to the chemicals, and there's a discharge
9 prohibition under the law, where businesses are not
10 allowed to discharge listed chemicals into sources of
11 drinking water.

12 Next slide.

13 So more specifically for your Committee, as I
14 mentioned previously, there's -- your primary duty is to
15 determine whether a chemical has been clearly shown,
16 through scientifically valid testing according to
17 generally accepted principles, to cause reproductive
18 toxicity. That's a quote directly from the statute. And
19 it is essentially the only requirement that we have in the
20 regulation that's related to these listings.

21 You also identify authoritative scientific bodies
22 that we can use to administratively list chemicals. You
23 assist OEHHA with a chemical prioritization process, so
24 that we can bring the chemicals of most concern and most
25 interest to the Committee, first.

1 In terms of listing chemicals, you can and should
2 use animal or human data for listing. There was early
3 litigation that made that clear. And you can assist us
4 with regulations and change your guidance to the extent
5 you believe that it needs to be changed.

6 Next slide.

7 We also ask you to review a document, such as
8 risk assessments that we use to establish safe harbor
9 levels. I think this Committee has already received at
10 least one of those sets of materials for a chemical that
11 is already listed. And we're asking you to review the
12 risk assessment for that document as part of our
13 regulatory process.

14 And then lastly, the last thing that you are
15 required to assist us with is a somewhat obscure part of
16 the law that requires us to -- or you to identify
17 chemicals that have not been adequately tested for the
18 potential to cause reproductive toxicity.

19 Generally, we just poll the Department of
20 Pesticide Regulation and U.S. EPA to identify those
21 chemicals. And then we let you know. We'll do that
22 towards the end of this meeting.

23 Next slide.

24 In terms of your options on listing decisions
25 today and at any other meeting, you can either find that

1 the chemical meets the standard for listing, you can find
2 that the chemical doesn't meet the standard for listing,
3 or you can defer your decision to a later meeting.

4 Sometimes you may need more time to think about
5 it or you need additional information that a new study has
6 come up and you haven't had time to look at it. So it's
7 entirely possible and fine for you to defer your decision
8 to another time.

9 All right. I've talked enough. Do you have any
10 questions on what I covered?

11 No.

12 I'll get back to you again. But, at this time, I
13 want to turn this over to Dr. Jim Donald. And he's going
14 to cover some of the more specifics about the materials
15 that you received for the meeting today.

16 (Thereupon an overhead presentation was
17 presented as follows.)

18 DIRECTOR ALEXEEFF: Carol, I'll just interject
19 right in here. I just thought I should just introduce the
20 staff here. Dr. Jim Donald is on the far left there. And
21 next to him is Dr. Lauren Zeise. And next to Dr. Zeise is
22 Dr. Martha Sandy. And then you see over there behind the
23 recorder that we have, Dr. Marlissa Campbell and Dr.
24 Allegra Kim who will be later on giving the presentation
25 for xylene.

1 So just so you know in case there's any question
2 that comes up that they'll be answering.

3 Thank you.

4 Jim.

5 DR. DONALD: Good morning. I'll try not to
6 reiterate too much of what Carol said, but there will be
7 some overlap.

8 Could I have the next slide, please

9 This is an overview of the entire process
10 beginning when we select chemicals through our
11 prioritization process through to the decision by this
12 Committee.

13 Our prioritization process is based on a focused
14 literature review, and application of certain criteria of
15 which change over time as we work through certain sets of
16 chemicals.

17 In that process, there's opportunity for public
18 input, both written input when we release the materials we
19 prepared, and verbal input at a meeting where we consult
20 with the Committee and solicit your recommendations about
21 which chemicals should come before you.

22 OEHHA makes that decision. And when we have
23 selected chemicals, we conduct, what we call, a data
24 call-in, basically a notification that we're going to
25 begin working on the chemical. And, at that point,

1 there's also an opportunity for the public to submit data
2 or other information to us that they think should be
3 included in the materials.

4 We develop the hazard identification materials,
5 and I will discuss those in more detail in a moment, and
6 release those for public comment at the same time as
7 they're sent to you for review. The public has a 60-day
8 period to prepare comments and submit them to us. And we
9 provide those comments in their entirety to the Committee,
10 with the expectation that the Committee will review all of
11 the material they receive, including the public comments.

12 And then at the public meeting, such as today's
13 meeting with the Committee, deliberates on and makes a
14 decision on the listing of the chemical or a decision to
15 defer that to a future date. There is also, as you've
16 heard, the opportunity for additional public comment.

17 Next slide, please.

18 Okay. So the purpose of the hazard
19 identification materials obviously is to support the
20 Committee's deliberations. In the materials, we do
21 cover -- provide some general information on the
22 chemical's identity, the occurrence of the chemical in the
23 environment and the uses to which it's put. But
24 obviously, the main focus of the materials is on the
25 potential for the chemical to cause male reproductive

1 toxicity, female reproductive toxicity, or developmental
2 toxicity.

3 Next slide, please.

4 So the content of the material is primarily
5 whatever information we can identify through extensive
6 literature searches on effects of the chemicals in -- of
7 the chemical in question in humans evaluated usually
8 through epidemiologic studies, occasionally through
9 experimental studies or case reports.

10 Experimental studies in animal models. And any
11 other data we can identify that we think is relevant to
12 the consideration you're going to give to the chemical.
13 And that would certainly include mechanistic data, if
14 they're available, data on pharmacokinetics, metabolism,
15 histopathology, and so forth.

16 Next slide, please.

17 The format of the hazard identification materials
18 is fairly consistent, but not rigid. They generally
19 consist of a summary and review of the information that we
20 have identified by OEHHA staff. In addition to that, we
21 will generally provide you with all of the scientific
22 publications that are included in the review to the extent
23 that we can.

24 One exception to that may be situations where,
25 for example, for pesticide studies made -- regulatory

1 studies may have been submitted to the Department of
2 Pesticide Regulation, and there are certain considerations
3 of confidentiality that do not permit us to provide you
4 with the entire study reports.

5 But in circumstances like that, we will make the
6 entire reports available to you to review, if you wish, if
7 you come into this building to do it.

8 In some circumstances, there may be other reviews
9 that have been prepared by other bodies that we think are
10 comprehensive enough to serve your purpose. In that case,
11 we may provide you with those reports and only summarize
12 information that was not covered by the other body. An
13 example of that is when we brought bisphenol A before this
14 committee, we included the European Union risk assessment
15 on bisphenol A as part of the materials.

16 Next slide.

17 The intent of the materials is not to tell you
18 what decision you ought to make. But bearing in mind your
19 charge to observe generally accepted principles, we try
20 and provide the information in a way that is most -- we
21 think will be most useful to you.

22 One issue that frequently comes up as an example
23 is the relationship between maternal toxicity and
24 developmental outcome. As recognized in your own
25 guidelines, that generally requires particular attention

1 on a case-by-case basis.

2 That issue has been -- positions on that issue
3 have been taken by a number of other bodies. So, for
4 example, U.S. EPA has a longstanding position that adverse
5 developmental effects that are produced only at doses that
6 cause minimal maternal toxicity, as they define minimal
7 maternal toxicity, are still considered to represent
8 developmental toxicity and should not be discounted as
9 being secondary to maternal toxicity.

10 Much more recently, the concisely named United
11 Nations Economic Commission for Europe Globally Harmonized
12 System of Classification and Labeling of Chemicals has
13 stated developmental effects which occur even in the
14 presence of maternal toxicity are considered to be
15 evidence of developmental toxicity, unless it can be
16 unequivocally demonstrated on a case-by-case basis that
17 the developmental effects are secondary to maternal
18 toxicity.

19 So again, we do not try an influence how you
20 interpret the data, but we do try to present the data in a
21 way we think will make it most easy for you to interpret.

22 Next slide, please.

23 So then to briefly summarize, as I've said, the
24 purpose of the hazard identification materials is to aid
25 you in determining whether a chemical has been -- and I'm

1 sure you're already tired of hearing this phrase --
2 clearly shown, through scientifically valid testing
3 according to generally accepted principles, to cause
4 reproductive toxicity.

5 So to that end, you receive hazard identification
6 materials that contain information compiled and prepared
7 by OEHHA, and all public comments submitted on that
8 information for you to -- and we believe you should give
9 those due consideration.

10 And, as Carol already mentioned, if there's
11 information that you believe has not been provided to you,
12 pertinent information, or there is some further
13 clarification or summation of information that you think
14 would be beneficial, then OEHHA staff can prepare --
15 obtain or prepare that for you.

16 Next slide, please.

17 And, at this point, I'd be happy to take any
18 questions you have.

19 DIRECTOR ALEXEEFF: This is George Alexeeff.

20 I'll just add a little bit more. So the actual
21 materials that we prepared for today's meeting on xylene,
22 you know, that's one example of materials that we put
23 together. But, you know, if you felt -- or if you feel
24 that other types of information would be helpful for us to
25 provide to you in your process, like on a future chemical,

1 let us know, and we can prepare things that way.

2 So the whole point is for us to get you the
3 information that you need. And we're trying to -- just to
4 be clear, even when we prepare a document, it may not be
5 similar to other documents you've reviewed for other
6 committees, like U.S. EPA where the Committee -- where the
7 document you review actually has a conclusion and says,
8 you know, this is what we're concluding, and this is the
9 level, and this is the -- instead, we're just trying to
10 gather the materials for you to draw a conclusion, if that
11 makes sense.

12 And as Dr. Donald mentioned, when you think of --
13 when we think of the materials, we think of the
14 information that we've compiled, the information that the
15 public has presented as well, and anything else that might
16 come up, so it's the whole body of evidence there.

17 CHAIRPERSON GOLD: Okay. Thank you for those
18 presentations. At this point in the agenda, we have time
19 allotted for public comments on the foregoing, on what
20 we've already discussed, so I don't know we've received
21 notice of --

22 We have. Okay.

23 So we have one person who wishes to speak, Dr.
24 Sarah Janssen. Maybe you could introduce yourself.

25 DR. JANSSEN: Good morning. My name is Dr. Sarah

1 Janssen. I'm a reproductive biologist by training, and
2 also a physician boarded in occupational and environmental
3 medicine. My comments are made on behalf of the Natural
4 Resources Defense Council, NRDC, where I serve as a senior
5 scientist in the Health and Environment Program.

6 First of all, I want to congratulate all of you
7 on your appointments and wish you the best during the next
8 year. I'm really pleased to see all of you here today.
9 And actually, I'm -- it's a little bit nerdy, but I'm kind
10 of excited about what, you know, you might be able to
11 accomplish in the next year. I think there's a really
12 deep and diverse expertise here on the Committee. And I
13 hope that you will use your expertise to do something that
14 was mentioned early in the orientation, which is to update
15 the guidance on how the criteria are used for the listing
16 process.

17 That guidance is probably approaching 20 years
18 old, and many of you are in the laboratory or doing
19 studies on humans that could probably benefit updating
20 that document. Specifically, there's been, in my time
21 watching the DART proceedings, some confusion, and a
22 little bit of frustration over the policy of not including
23 postnatal developmental outcomes as part of listing
24 decisions. And I think that is not supported by our
25 current understanding of science. It's probably not

1 supported legally in the statute. And so I think it would
2 be worth this Committee revisiting that. So I would
3 encourage you to do that.

4 And secondly, on the issue of time, that was an
5 issue that NRDC and other stakeholders brought up
6 historically, because our organizations have been at a
7 disadvantage when public comment time periods were being
8 done.

9 Where, you know, we saw that we were limited to
10 three to five minutes, where other organizations were
11 ceding time to one another and giving 20 to 30 minute
12 presentations. So I understand the request for today,
13 and, you know, I appreciate your commitment to
14 transparency. I just would encourage you guys to revisit
15 this and think about, you know, how we can make this equal
16 for both sides who have a stake in the decisions that this
17 Committee is making.

18 Thanks for your attention.

19 CHAIRPERSON GOLD: Thank you for your comments.

20 DIRECTOR ALEXEEFF: I just wanted to clarify, and
21 hopefully this will not make it more confusing, because
22 Dr. Sarah Janssen mentioned this issue of postnatal
23 outcomes -- I think that's the term that you used.

24 And so just to clarify that, clearly, if -- I
25 think the intent of the proposition was to consider

1 outcomes in humans. So the idea is, you know, first, the
2 term birth defects and such in the discussion of the
3 proposition on the ballot and such.

4 So the idea is to look at outcomes in humans,
5 but, of course, animal evidence is sufficient for that.
6 You don't need human evidence.

7 But in terms of postnatal outcomes, as Dr.
8 Janssen mentioned, actually, if -- clearly, if there's a
9 prenatal exposure and there is a postnatal outcome, that
10 is clearly within the jurisdiction of this Committee,
11 because the actual expression of the exposure could occur
12 postnatally easily.

13 The question becomes more complicated, I think,
14 when different species are exposed at different times of
15 their lifecycle, either prenatally or neonatally, and the
16 question is how does that infer upon the human experience
17 and such? I think that's something that possibly could be
18 discussed by the Committee at some point.

19 CHAIRPERSON GOLD: Thank you.

20 Yes, Dr. Donald.

21 DR. DONALD: If I could perhaps expand on that a
22 little bit.

23 I'm not sure if the Committee was aware that only
24 prenatal exposure is considered relevant to Proposition
25 65. That's an interpretation of the intent of the

1 statute. The statute refers only to reproductive
2 toxicity. But in practice, we present information to you
3 that pertains to exposures that are analogous to human
4 prenatal exposures, and we consider information on
5 overlapping pre- and postnatal exposure relevant to the
6 proposition, to the extent that effects manifested at any
7 point in the lifespan of the animal can be attributed
8 entirely or predominantly to exposures during the prenatal
9 period.

10 As George also mentioned, we also consider --
11 take into account whether postnatal development in an
12 animal model, such as the rat, that early postnatal
13 exposure that's analogous to late prenatal exposure in
14 humans is also considered relevant to the intent of the
15 proposition.

16 Are there any questions on that?

17 COMMITTEE MEMBER LUDERER: Yeah. I do have a
18 question. So a study that did, for example, only neonatal
19 exposure in the rodent model would be potentially
20 considered to be applicable, or we would not be able to
21 consider that? I'm a little unclear.

22 DR. DONALD: That's rather case by case. For
23 example, if very early postnatal exposure in a rodent
24 model caused a neurobehavioral effect that was what we
25 considered the period of exposure analogous to post --

1 early -- excuse me, late prenatal exposure or the same
2 stage of development of the human nervous system that
3 occurred during late prenatal exposure, we would certainly
4 consider that a relevant effect under Prop 65.

5 COMMITTEE MEMBER LUDERER: One more
6 clarification. So other -- so from a developmental
7 biology standpoint, we know that many organ systems
8 continue to develop after birth in experimental animals,
9 as well as humans, you know -- and that there are critical
10 windows of exposure for some things. For example, I think
11 of mammary cancer and peripubal exposures can be very
12 important, but those would not be?

13 DR. DONALD: Well, again, it's very much case by
14 case. And, you know, as the State's qualified expert, you
15 get to make that call. But, yes, we -- our position would
16 be that you should use all of the relevant information
17 about comparable periods of exposure in determining
18 whether or not an effect that was caused by exposure to
19 the chemical could be attributed to a period of exposure
20 that would occur prenatally in humans.

21 COMMITTEE MEMBER LUDERER: Thank you.

22 CHAIRPERSON GOLD: Okay. Thank you.

23 So the next item on the agenda is this staff
24 presentation of xylene to consider its reproductive
25 toxicity.

1 (Thereupon an overhead presentation was
2 presented as follows.)

3 DR. DONALD: Okay. So just to briefly introduce
4 Dr. Marlissa Campbell is going to make a summary
5 presentation on the evidence for developmental and
6 reproductive toxicity of xylene in animal models. Then
7 Dr. Allegra Kim is going to present the human data and a
8 very brief synthesis of those two lines of data.

9 DR. CAMPBELL: Thank you. Good morning. Am I
10 live here?

11 Let me see if I can work all the equipment at the
12 same time.

13 Xylene is a colorless liquid that occurs
14 naturally in petroleum. It consists of a benzene ring
15 with two attached methyl groups, which can vary in
16 position to form the ortho-, meta-, or para-isomers. The
17 mixture of isomers is commonly referred to as technical or
18 mixed xylene or as xylol or just as unspecified as xylene.

19 Commercially available xylene typically contains
20 about 40 to 65 percent m-xylene, and up to 20 percent each
21 of o-xylene and p-xylene.

22 Xylene is relatively insoluble in water, with
23 solubility ranging from 100 to 200 ppm at 25 degrees
24 centigrade, depending on the exact isomer or the mixture,
25 which is why although exposure through drinking water is

1 possible, there are no drinking water toxicity studies in
2 animals.

3 The general population is expected to be exposed
4 primarily to mixed xylenes rather than to any of the
5 individual isomers. Exposure can occur via inhalation of
6 indoor air, particularly in the workplace, inhalation of
7 automobile exhaust, cigarette smoking, inhalation or
8 dermal absorption of xylene-containing solvents or by
9 ingestion of contaminated drinking water. Xylene and its
10 metabolites have been detected in samples of human urine,
11 blood, and expired air.

12 Although xylene can enter the environment from
13 natural processes, such as forest fires or petroleum
14 seeps, most xylene in the atmosphere originates from human
15 activity.

16 Xylene is well absorbed by both the oral and
17 inhalation routes of exposure. Absorption efficiencies
18 have been estimated at 90 percent for the oral route and
19 60 to 65 percent for xylene in each of its isomers by
20 inhalation. Efficiency of absorption by the dermal route
21 depends upon exposure conditions, but is generally
22 estimated at less than one percent.

23 Experiments with oral administration of
24 radiolabeled m-xylene to rats have indicated that it's
25 rapidly absorbed with peak plasma concentrations reached

1 within about 20 minutes.

2 The mean absorption half-time in female rats was
3 significantly shorter than for males. There was also a
4 difference in elimination half-time between female and
5 male rats, suggesting a gender-dependent difference in
6 xylene pharmacokinetics for these animals.

7 Whole-animal autoradiography of pregnant mice
8 following inhalation of xylene also showed rapid
9 absorption distribution and elimination from tissues other
10 than fat.

11 Volatile radioactivity was identified in the
12 placenta and the fetuses almost immediately, and up to one
13 hour post-inhalation of xylene. Fetal levels were much
14 lower than those found in the maternal tissues, but it did
15 get into them.

16 Just a quick review of non-reproductive and
17 developmental effects of xylene. Acute exposure to xylene
18 has neurological effects similar to those seen with other
19 organic solvents. Roughly, there's an odor threshold of
20 about one ppm in air and a taste threshold of about one
21 ppm in water.

22 In a range of about 1 to 100 ppm, people report
23 nausea, headache, irritation of mucous membranes. At
24 higher concentrations than 100 ppm, start getting reports
25 of sedation, disorientation, and ataxia.

1 At concentrations of several thousand ppm, you
2 start seeing severe lung irritation leading to pulmonary
3 inflammation, edema, and hemorrhage. And deaths that
4 occur are attributed to respiratory depression rather than
5 to lung damage, per se.

6 Technical xylene and each of the three isomers
7 have essentially the same effects, and experimental animal
8 shows similar effects to humans and roughly a similar
9 range of concentrations.

10 Repeated dose studies in animals have shown
11 permanent hearing loss with short-term inhalation of a
12 high concentration or longer-term inhalation of a somewhat
13 lower concentration.

14 Impairment. There's also impairment on
15 neurobehavioral tests, such as rotarod performance,
16 spontaneous motor activity and radial maze performance.
17 And these types of effects have been seen in several
18 studies of rats exposed to 100 ppm m-xylene, in
19 particular.

20 Although, not seen in all studies, decreased body
21 weight appears to be a general effect of repeat-exposure
22 studies, with effects on body weight and rats and mice
23 observed following oral doses of xylene in the range of
24 500 to 800 milligrams per kilogram per day.

25 Data on cancer have not been considered

1 sufficient by OEHHA, U.S. EPA, or IARC in order to
2 determine the potential carcinogenicity of xylene.

3 Looking first at male reproductive toxicity.
4 There were only three studies that provided information
5 relevant to male reproductive toxicity following xylene
6 exposure by inhalation. Only one of these studies
7 reported male reproductive effects with xylene exposures,
8 specifically reduced weights of the testis accessory male
9 reproductive organs, as well as decreased epididymal sperm
10 counts. This particular study also reported lower plasma
11 testosterone levels, and lower prostate acid phosphatase
12 activity with treatment.

13 Confidence in these data, however, is severely
14 limited by methodological issues, such as the lack of
15 quantitative exposure information. They just put the
16 animals in the chamber and pumped in xylene until they
17 became ataxic, and then did their tests, and never
18 quantified that.

19 The neurological effect does indicate that the
20 animals were exposed to a biologically significant
21 concentration, but we don't know what it was.

22 There's one study that looked at the male
23 reproductive effects of xylene in rats treated by the
24 intraperitoneal injection. These -- the xylene-exposed
25 animals that were also subjected to housing at

1 temperatures between 24 and 30 degrees centigrade showed
2 an increase in the frequency of abnormal sperm, which was
3 not seen in xylene-treated animals that were kept at a
4 temperature between 20 and 24 degrees centigrade.

5 Moving on to female reproductive toxicity. Just
6 to start, there was only one reproductive toxicity study
7 of xylene -- a full reproductive toxicity study that was
8 conducted in animals, and that was a one-generation study
9 that was conducted by the inhalation route in rats. The
10 male and female rats were exposed to xylene for 131 days
11 prior to mating, and then throughout the mating period.
12 The pregnant females were exposed throughout gestation and
13 lactation. And this was the only study that evaluated
14 endpoints of female reproductive toxicity, such as mating
15 index and fertility.

16 No studies evaluated the effects of xylene on the
17 estrous cycle.

18 Another study exposed pregnant rats on gestation
19 day nine or gestation days nine and ten to 692 ppm xylene,
20 and then looked at ovarian and uterine blood flow as well
21 as secretion and peripheral blood levels of progesterone
22 and 17 beta-estradiol.

23 All the remaining information on the potential
24 female reproductive toxicity of xylene comes from standard
25 developmental toxicity studies. While most of these

1 studies used rats as their test species, one included
2 experiments with mice and rabbits, as well as comparing
3 the effects of individual xylene isomers with technical
4 xylene. These particular studies -- these developmental
5 toxicity studies are included here because they collected
6 data on measures such as implantation frequency,
7 resorption frequencies and numbers of live and dead
8 fetuses or newborns, which could result from either
9 toxicity to the female reproductive toxicity -- to the
10 female reproductive system or to the developing organism
11 itself. Since -- in the absence of information that
12 indicates it's either one or the other, then we considered
13 these outcomes as evidence relevant to both female and to
14 developmental toxicity.

15 And just to look at the general results. Out of
16 all the 13 animal studies that provided information
17 relevant to female reproductive toxicity, very few showed
18 any evidence of xylene-induced female reproductive
19 toxicity. That single one generation reproductive
20 toxicity study found no effects of xylene on pregnancy or
21 fertility indices.

22 The study, which measured hormone levels, found
23 significant effects on peripheral blood levels of
24 progesterone and 17 beta-estradiol secretion following 48
25 hours of exposure. There were no effects on ovarian or

1 uterine blood flow.

2 The one developmental toxicity study that
3 included experiments with rats, rabbits, and mice and
4 found evidence of increased embryo-fetal mortality in
5 rats -- rabbits and rats with inhalation exposure to
6 technical xylene, in addition to one or more of its
7 isomers.

8 Mice did -- oh, What?

9 Sorry. I'm trying to go back.

10 Is there one missing?

11 DR. KIM: Can you go back one more?

12 DR. CAMPBELL: No. This is the right one. I'm
13 still talking about females. Okay. Never mind. Sorry
14 for the confusion.

15 Where was I?

16 Okay. The mice did not show these effects. An
17 additional rat study also reported increased
18 post-implantation loss and resorbed fetuses.

19 And a third study, which used mice and -- with
20 exposure to xylene via the oral gavage route of exposure
21 also reported increased resorption frequency with oral
22 exposure to xylene.

23 Interpretation of the findings from these studies
24 is complicated in some, but not every case, by
25 co-occurring maternal mortality that was in excess of the

1 10 percent suggested by the U.S. EPA risk assessment
2 guidelines as rendering developmental effects difficult to
3 evaluate.

4 Okay. Now moving on to developmental toxicity in
5 animals. Fifteen studies were identified as having
6 information on the developmental toxicity of technical or
7 mixed xylene or its individual isomers in animals. Most
8 of these were conducted by the inhalation route of
9 exposure in rats, but there are also data on mice and
10 rabbits, as well as one study -- one study using the oral
11 route and another using the dermal route of exposure.

12 Because there are overlapping endpoints between
13 developmental toxicity and female reproductive toxicity,
14 many of these studies have information relevant to both.
15 And we've already talked about them a little bit.

16 A few additional studies did not provide data on
17 endpoints relevant to female reproductive toxicity. So
18 they were not included in that section, but we will see
19 them here.

20 The one-generation study also appears, because it
21 has relevant information to the effects of gestational
22 exposure on development, such as pup viability, viability
23 at birth and birth weight. Other studies evaluated term
24 fetuses are newborn pups following exposures, either
25 throughout gestation or targeted specifically to the

1 organic genesis stage of prenatal development.

2 Just for ease of presentation, we tend to divide
3 the endpoints of developmental toxicity into four major
4 manifestations following what's described in the U.S. EPA
5 risk assessment guidelines for developmental toxicity.
6 And that would be death at the developing organism, which
7 as I've said before also has implications for female
8 reproductive toxicity, alterations in growth, structural
9 abnormality, and functional deficits. But it is important
10 to remember that these manifestations are not necessarily
11 independent of one another.

12 With specific regards to xylene, three of 15
13 studies reported significant effects of xylene on embryo
14 fetal viability. The strongest effects were seen in the
15 study that reported experiments conducted in three species
16 with technical xylene or individual isomers.

17 As I mentioned before, rabbits showed significant
18 embryo fetal mortality with various forms of xylene at
19 concentrations of 115 and 231 ppm. Interpretation of the
20 data for technical and p-xylene at the higher
21 concentration is complicated by excessive maternal
22 mortality. However, offspring viability was also
23 decreased with p- or m-xylene at the lower concentration
24 of 115 ppm, which was not in association with excessive
25 maternal mortality.

1 In the rat experiment conducted as part of that
2 same study, the frequency of dead or resorbed fetuses was
3 increased at the high concentration of 784 ppm with only
4 one out of the 20 dams dying at that concentration. No
5 effects on viability were found in mice.

6 An additional rat inhalation study looked at
7 individual xylene isomers and found significant decreases
8 in embryo fetal viability at 692 ppm for all three isomers
9 with excessive maternal mortality reported only for
10 m-xylene.

11 A third study, which exposed mice to xylene via
12 gavage, reported increase resorption frequency with oral
13 exposure at a dose of 3.1 milligrams per kilogram per day
14 xylene. And this level was also associated with over 30
15 percent maternal mortality.

16 This table just shows a compilation of the data
17 pertaining to growth endpoints, which in this case is
18 specifically fetal or birth weights. Four of the studies
19 that had data on weights found no effects on fetal or
20 birth weight, even at the highest concentration or dose
21 used in the study. Seven other studies did show effects
22 on weight with some combinations of dose, isomer, species,
23 and/or sex of the offspring.

24 The first one noticed the Hass and Jakobsen 1993
25 found that a subset of the litters that went to term for

1 treated male pups were actually significantly increased
2 over controls. The other experiments that they did, they
3 found no effects.

4 The Biodynamics 1983 study found a significant
5 decrease in fetal weights with exposure to 500 ppm xylene,
6 but that was only for female rat fetuses and not in every
7 experiment. It was only in the experiments in which both
8 male and female parents were treated, as opposed to some
9 of the other experiments in which only the dam was treated
10 at the same concentration, and that part was negative.

11 Looking at the Saillenfait 2003 found the lowest
12 effective concentration was 500 ppm of o-xylene, but
13 technical xylene as well as m- and p-xylene were also
14 associated with reduced fetal weights at the higher
15 concentration they used of 1000 ppm.

16 Ungvary et al. 1980 also tested all three isomers
17 and found reduced fetal weights with o-xylene at 346 ppm
18 and for m- and p-xylene at the higher concentration of 692
19 ppm.

20 Ungvary and Tatrai found technical xylene at 115
21 ppm was associated with a significant decrease in the mean
22 weight of female rabbit fetuses. None of the individual
23 xylene isomers affected fetal weights in either mice or
24 rabbits.

25 The only oral study was Marks et al. 1982. And

1 that one found that doses of 2.06 milligrams per kilogram
2 per day and higher were associated with decreased fetal
3 weights in mice.

4 None of the studies -- the inhalation studies of
5 xylene or its isomers reported significant increases in
6 the frequency of external or internal soft tissue
7 malformations or anomalies. So all we have to represent
8 this category would be skeletal anomalies, primarily --
9 well, it's not always really clear the terminology that
10 they use, but a lot of it is skeletal retardation or
11 failure of ossification. So point being, it's not
12 unrelated to growth.

13 Of the studies which did evaluate fetuses for
14 skeletal anomalies, most of them did report effects
15 under -- at least under some conditions.

16 And just to move on to some of the specifics.
17 The Saillenfait et al. 2003 reported increased skeletal
18 variations at 2,000 ppm of o-xylene, p-xylene or m-xylene,
19 but not with the technical mixture.

20 Hass and Jakobsen 1993 was the only study to
21 report a specific effect, and that was delayed
22 ossification of the os maxilliare at the test
23 concentration of 200 ppm xylene.

24 Ungvary and Tatrai found increased frequency of
25 skeletal retardation in rats at concentrations of 58 ppm

1 and higher with the increased minor anomalies,
2 specifically wavy ribs at 784 ppm. This study also looked
3 at mice, which showed evidence of skeletal retardation at
4 231 ppm. The rabbits that were also evaluated in this
5 study showed no skeletal effects of treatment.

6 Ungvary et al. observed skeletal retardation in
7 rats with exposure to p-xylene at 35 ppm. The higher
8 concentration of 692 ppm p-xylene was also associated with
9 findings of extra ribs. This study also looked at
10 o-xylene and found skeletal retardation at 692 ppm.

11 The one oral mouse study, that was the Marks et
12 al. 1982 found no skeletal effects, but did report a
13 treatment-related increase in the combined malformation
14 frequency. So this would be a combination of skeletal
15 soft tissue anomalies at the lowest effective dose of 2.06
16 milligrams per kilogram per day, and with an apparent
17 dose-response relationship. The most common individual
18 findings that they reported were cleft palate bilateral
19 open eye, exencephaly, and fused or missing vertebral
20 arches and ribs.

21 Four animal studies looked specifically at
22 developmental neurotoxicity following prenatal exposure to
23 xylene. Three of these studies came from the same group,
24 that would be the Hass and co-workers '93, '95, and 1997.
25 And they exposed pregnant rats to technical xylene by

1 inhalation at concentrations of zero and either 200 or 500
2 ppm for six hours a day on each of gestation days four
3 through 20 or 7 through 20 depending on the specific
4 study.

5 The fourth study, the Rosen et al. 1986 used
6 p-xylene and exposed pregnant rats to concentrations of
7 zero, 807, or 1,615 ppm xylene for six hours a day on each
8 of gestation days seven through 16.

9 One of the Hass studies found reduced rotarod
10 times for female rat pups prenatally exposed to technical
11 xylene at 200 ppm. For males, the rotarod time was
12 significantly reduced only on the second of three test
13 days. The authors concluded that the poor rotarod
14 performance could be an indicator of impaired motor
15 ability in the xylene-treated animals.

16 Yet, another study by the same group found no
17 impairment -- no significant impairment of rotarod
18 performance with exposure to 500 ppm, but they did find a
19 significant delay in acquisition of the air-righting
20 reflex. Other developmental landmarks were unaffected
21 which led them to suggest that there was some kind of
22 specific damage to the neural processes required for air
23 righting, such as vestibular function rather than a more
24 generalized developmental delay.

25 No effects of treatment were seen on an open

1 field test, but they did see changes in the Morris Water
2 Maze test. In particular, the female -- the exposed
3 female offspring showed a significant increase in swimming
4 time, but no difference in swimming speed relative to
5 controls.

6 A follow-up study using the Morris Water Maze
7 performance also reported increased latencies in exposed
8 female offspring when tested at the postnatal ages of 16,
9 28, and 55 weeks.

10 Again, they thought this latency broke out as
11 being due to increased swim path lengths, suggesting a lag
12 in learning the maze rather than problems with motor
13 coordination of swimming.

14 The final behavioral study, which used only
15 p-xylene, reported no effects of exposure on locomotor
16 activity or the acoustic startle response test.

17 And that's the end of the animal data. I don't
18 know if we want to take questions now or move on to the
19 human data and do questions at the end.

20 CHAIRPERSON GOLD: If the panel has any questions
21 of clarification at this time or the Committee.

22 Hearing or seeing none, why don't we go on then
23 to Dr. Kim.

24 DR. CAMPBELL: I have to set up her slides.

25 (Thereupon an overhead presentation was

1 presented as follows.)

2 DR. KIM: Okay. Thanks. Good morning.

3 I'm going to be talking about the epidemiological
4 data. So I will start as Marla -- as Dr. Campbell did
5 with the male reproductive toxicity.

6 There were three epidemiologic studies that
7 looked at male reproductive toxicity. Two studies
8 examined associations between male reproductive outcomes
9 and exposures to xylene and other organic solvents. I
10 will first briefly -- very briefly outline these, plus
11 another study of paternal exposure in relation to
12 pregnancy outcome and then summarize the results.

13 One study was a cross-sectional study conducted
14 in China by Xiao and colleagues. Xiao et al. measured
15 benzene, toluene, and xylene or BTX in blood and semen.
16 Semen was also analyzed for outcomes which were semen
17 parameters and indicators of effects accessory sex gland
18 function.

19 A retrospective cohort study was conducted in
20 Finland by Sallmén et al. The authors were interested in
21 whether paternal exposure to xylene and five other organic
22 solvents, styrene, toluene, xylene -- sorry, not xylene,
23 but tetrachloroethylene, trichloroethylene, and
24 1,1,1-trichloroethane is associated with delayed
25 conception, a measure of decreased fecundability as

1 indicated by time to pregnancy, or TTP, among their wives.

2 In this study, fecundability is the probability
3 of clinically recognized pregnancy during a menstrual
4 cycle.

5 The cohort for the study comprised cases and
6 controls from a previous study of birth outcomes. Each
7 man's occupational exposure to solvents the year the
8 pregnancy was assessed based on self-reported occupation,
9 job description, and solvent or other chemical usage and
10 biomonitoring data from the previous study.

11 TTP, or time to pregnancy, and related
12 information were collected by questionnaires mailed to the
13 wives eight to 18 years after the pregnancies ended. Data
14 were analyzed using discrete proportional hazards
15 regression with fecundability density ratio, or FDR, as
16 the outcome. The FDR is analogous to an incidence density
17 ratio and is defined as the fecundability of the exposed
18 divided by the fecundability of the unexposed. An FDR
19 less than one indicates reduced probability of conception

20 In addition to the two studies of male-specific
21 outcomes, a third study, this one by Taskinen et al.,
22 which is the same group of authors in Finland, that
23 authored the last study I'd outlined, the study examined
24 paternal exposure to xylene and potential effects on
25 pregnancy outcomes. The study by Sallmén et al. drew its

1 cohort from this nested case-control study Taskinen et al.

2 The authors of this study conducted this study to
3 investigate the effects of paternal exposure to xylene and
4 five other organic solvents, which I've already described,
5 a pregnancy outcomes of wives of workers who had been
6 biomonitored for solvent exposure.

7 Again, exposure classification was based mainly
8 on job descriptions and reported solvent use in the 80
9 days preceding the pregnancy. Questionnaires were mailed
10 to both spouses to obtain detailed data on occupational
11 exposures during the year of conception, earlier
12 employment, chronic diseases, smoking, and alcohol
13 consumption. Wives were also asked for information on
14 pregnancy history, heavily lifting, and febrile disease.

15 A case was either a woman who had a spontaneous
16 abortion treated in a hospital or outpatient clinic, or a
17 child with a malformation registered in the Finnish
18 register of congenital malformations. Controls were
19 age-matched to cases.

20 Here, I'll highlight the relevant findings of the
21 three studies I've just outlined. For all of these
22 studies, the authors reported that those exposed to xylene
23 were usually exposed to other solvents as well, making it
24 difficult to distinguish effects of xylene from those of
25 other solvents.

1 In the Xiao study, the Sample included 24 exposed
2 men working in shoemaking, spray painting, or paint
3 manufacturing, and 37 managers who were considered
4 unexposed. Blood xylene was statistically significantly
5 associated with decreased seminal
6 gamma-glutamyltransferase activity, which the author said
7 was an indicator of poorer prostate function.

8 They did report statistics, but it wasn't really
9 clear what the statistics meant. It's worth noting that
10 semen benzene was also associated with gamma-GT activity.
11 And the analysis does not appear to adjust for exposure to
12 other solvents such as benzene. I'd like to also note
13 that benzene is listed on the Proposition 65 list for
14 development toxicity and male reproductive toxicity.

15 The 1998 study of fecundability by Sallmén et al.
16 included 282 couples with 70 men in the low and
17 intermediate xylene exposure category and 51 in the high
18 and frequent exposure category. The FDRs for xylene were
19 0.75 with a 95 percent confidence interval of 0.52 to 1.09
20 for low or intermediate exposure, and 0.91 with a
21 confidence interval of 0.61 to 1.36 for higher or frequent
22 exposure. And as you can see, they were not statistically
23 significant.

24 These estimates were adjusted for menstrual cycle
25 length and regularity, older age at menarche, frequency of

1 intercourse, maternal age, maternal exposure to organic
2 solvents and missing information.

3 In the third study on the slide, the Taskinen
4 1989 study of spontaneous abortion and malformations,
5 there were 120 spontaneous abortion cases and 251
6 controls. The adjusted odds ratio for spontaneous
7 abortion and likely paternal exposure to xylene was 1.6
8 with confidence interval of 0.8 to 3.2 when likely
9 paternal exposure to other organic solvents and dusts,
10 maternal exposure to solvents, maternal heavy lifting, and
11 history of previous spontaneous abortion were taken into
12 consideration.

13 In the malformations part of the Taskinen study,
14 there were 25 cases and 96 controls. And the unadjusted
15 odds ratio for xylene and malformations was 1.6 and also
16 was not statistically significant. No adjusted analyses
17 were reported for malformations in this paper.

18 Next.

19 I will move on to female reproductive toxicity.
20 Here, I just want to reiterate that outcomes such as
21 spontaneous abortion may be mediated through toxicity to
22 the reproductive system of the mother or they may be
23 manifestations of direct toxicity to the conceptus only.
24 That is, the effect may be directly on the female
25 reproductive system, on the conceptus, or both.

1 I will describe the studies that looked at
2 spontaneous abortion later under developmental toxicity.

3 And so for here I'll just move on to the two
4 studies with female reproductive outcomes.

5 A retrospective study of time to pregnancy and
6 maternal solvent exposure was conducted by Sallmén and
7 colleagues in Finland. Similar to the male repro study by
8 the same group, this cohort was made up of cases and
9 controls from earlier studies of spontaneous abortion and
10 congenital malformations. Exposure classification was
11 based on work description and reported solvent use.

12 Information about time to pregnancy,
13 contraceptive use, menstrual cycles, lifestyle, and other
14 potentially related factors was collected from the
15 subjects by mailed questionnaires.

16 Sallmén et al. used discrete proportional hazards
17 regression to estimate the fecundability density ratios of
18 clinically recognized pregnancies for exposed versus
19 unexposed women, which is the same as in 1998 study of
20 time to pregnancy and maternal -- paternal exposure,
21 excuse me.

22 Another study in China conducted by Cho and
23 colleagues examined petrochemical industry exposures to
24 benzene, toluene, styrene, and xylene based on
25 classification of workshops and women's self-reports of

1 chemicals handled and the possible relationship with
2 menstrual patterns.

3 Okay. This slide highlights the findings of the
4 two female reproductive studies. Again, for both studies,
5 most women exposed to xylene were also exposed to other
6 solvents.

7 First Sallmén et al. Of their cohort of 197
8 women, 31 were assessed as having had low exposure, and 10
9 as having high exposure. The fecundability density ratios
10 for xylene were 1.41 with a confidence interval of 0.91 to
11 2.2 for low exposure, and 0.93 for high exposure compared
12 to no xylene exposure, and adjusted for other solvents,
13 recent contraceptive use, and age at menarche.

14 In the Cho et al. study the odds ratio for
15 oligomenorrhea and xylene was 1.63 with a confidence
16 interval of 1.04 to 2.53 adjusted for age, body mass
17 index, enrollment cohort, passive smoking, and exposure to
18 chemicals other than aromatic solvents. Oligomenorrhea
19 was defined as an average cycle length greater than 35
20 days -- that's okay. In this study, no women were exposed
21 to xylene alone.

22 So now, I'll move on to developmental toxicity,
23 and here are the outcomes that were studied were
24 congenital malformations, spontaneous abortion, birth
25 weight, and there was one study on cord blood T-cells. As

1 we've said, some of these outcomes could indicate female
2 reproductive toxicity.

3 So I'll start with congenital malformations. The
4 recent case-control study by Lupo et al. examined the
5 association between maternal exposure to environmental
6 BTEX, that is benzene, toluene, ethylbenzene, and xylene,
7 and spina bifida and anencephaly in offspring. Benzene
8 was a primary pollutant of interest in this study.

9 Data on birth outcomes were obtained from the
10 Texas Birth Defects Registry. Cases were live births
11 stillbirths, and electively terminated fetuses with spina
12 bifida, or anencephaly. Closed neural tube defects and
13 chromosomal anomalies or syndromes were excluded.

14 Controls were a stratified random cycle -- excuse
15 me, sample of unaffected live births selected four to a
16 case and frequency matched by year. This was the only
17 xylene study to focus on a single class of defects. The
18 other malformation studies included all reported
19 malformations.

20 There's a nested case-control study of paternal
21 exposure and birth outcomes by Taskinen et al., which I've
22 already briefly described in the context of male
23 reproductive toxicity.

24 Next slide.

25 The same researchers, Taskinen et al. conducted

1 another case-control study of the possible risks of
2 maternal laboratory work on birth outcomes, including
3 malformations, spontaneous abortion, and reduced birth
4 weight, so you'll be hearing about this study again.

5 Exposure assessment was based on job descriptions
6 and reported solvent use in those jobs. Malformations
7 were ascertained from the Finnish register of congenital
8 malformations. The women provided information on
9 occupational exposures, potential confounders, and child's
10 sex and birth weight by mailed questionnaire. For each
11 malformation case four controls were selected from women
12 who had no spontaneous abortion and had given birth to a
13 child with no registered malformation, and matched to
14 cases by age and year.

15 Axelsson et al. studied the relationship between
16 laboratory work, particularly exposure to solvents and
17 pregnancy outcomes among a cohort of female laboratory
18 employees at a University in Sweden. Information on
19 occupational exposure to solvents, pregnancy outcomes, and
20 potential confounders was collected by mailed
21 questionnaires. Information on malformations was also
22 obtained from birth and malformation registries and
23 hospital data.

24 The prevalence of malformations among births to
25 women exposed to solvents was similar to that among

1 unexposed women. And although xylene was specifically
2 named in the questionnaire, no specific results for xylene
3 were reported. The study is therefore not included on the
4 results table that follows.

5 Okay. Again, exposures to multiple solvents was
6 highlighted by the authors of all three of these studies.
7 The study of neural tube defects by Lupo et al. included
8 533 spina bifida cases, and 303 anencephaly cases, and
9 3,695 controls. Estimates of annual ambient BTEX
10 concentrations for each mother's census tract were
11 obtained from a U.S. EPA modeling system, ASPEN, which
12 uses emissions data, meteorological conditions, and other
13 information. Five exposure levels were compared for each
14 type of neural tube defect.

15 After adjusting for year of birth, maternal race
16 and ethnicity, and parity, xylene exposure at each of the
17 second through fifth exposure levels compared to the
18 lowest, or first, was consistently associated with
19 non-significant increases in risk for both spina bifida
20 and anencephaly.

21 However, due to very highly correlated levels of
22 BTEX, multiple pollutant models were not assessed. The
23 only significant associations noted -- the only
24 statistically significant associations noted were for
25 benzene and spina bifida.

1 The Taskinen 1989 study included 25 malformation
2 cases and 96 controls. The unadjusted odds ratio for
3 paternal xylene exposure and malformations was 1.6, but
4 with a confidence ratio of -- confidence interval of 0.4
5 to 5.7 was not statistically significant. No adjusted
6 analyses were reported for malformations in this study.

7 The 1994 paper reported by Taskinen at al.
8 included 36 malformation cases and 105 controls, and
9 reported no associations between maternal occupational
10 exposures to xylene and malformations.

11 Moving on to spontaneous abortion. The next four
12 studies -- there were six studies, but the next four
13 studies, including the three on this slide, have already
14 been described in the context of male reproductive
15 toxicity and/or malformations.

16 So, first, is the Taskinen at al. 1989 study,
17 which examined paternal exposure. Then the remaining
18 studies of spontaneous abortion examined maternal
19 exposures. The Taskinen 1994 case-control study and
20 Axelsson cohort study were both described in the context
21 of malformations.

22 The next three studies I'll describe focused on
23 spontaneous abortion specifically.

24 So, first, I have Lindbohm et al. This
25 case-control study is another study by the same

1 researchers in Finland, and it examined the effects of
2 maternal occupational exposure to different types of
3 solvents on risk of spontaneous abortion. Data on women
4 who are biomonitoring from xylene and five other organic
5 solvents were linked with hospital, clinic, and registry
6 data to identify pregnancies and spontaneous abortions.

7 A case was a women who had a spontaneous
8 abortion. For each case, the authors tried to select
9 three age-matched controls from women who had neither a
10 spontaneous abortion or a child with a malformation.

11 Detailed data on first trimester occupational
12 exposure and other potential risk factors for spontaneous
13 abortion were collected via mailed questionnaires.
14 Likelihood and level of exposure was based mainly on each
15 woman's occupation, work description, and reported use of
16 solvents, and biological exposure measurements when
17 available, which was not common.

18 A case control study in Santa Clara County by
19 Windham et al. examined the risks of spontaneous abortion
20 associated with solvent exposure defined on different
21 levels, including occupational and specific solvent
22 exposure. Cases were women who had spontaneous abortion
23 by 20 weeks of gestation, for which a pathology specimen
24 was submitted to one of 11 hospital laboratories in Santa
25 Clara county.

1 Controls were live births frequency matched to
2 cases, 2 to 1. Respondents were asked for detailed
3 information about jobs and occupational exposures to 10
4 specific solvents, solvent-containing products, and other
5 solvents and degreasers during the first trimester. Women
6 were also asked about non-occupational use of eight
7 solvent-containing products and any other solvent.

8 Swan et al. conducted a retrospective cohort
9 study to examine occupational exposures in semiconductor
10 manufacturing. The authors used company records to
11 identify 506 current and 385 former female employees who
12 became pregnant while working at one of 14 semiconductor
13 companies. Job activities at conception and first
14 trimester exposures to specific agents were used to
15 classify exposures. Exposure scores were grouped into
16 four levels ranging from zero for no exposure to three for
17 highest exposure.

18 So the Taskinen study -- in the Taskinen study
19 the odds ratios and confidence interval for spontaneous
20 abortion and likely paternal exposure adjusted for
21 potential paternal exposure to xylene, likely paternal
22 exposure to other organic solvents and dusts, maternal
23 exposures to solvents, maternal heavy lifting, and history
24 of SAB, or spontaneous abortion, were all greater than
25 one, but not statistically significant.

1 For lower rare exposure, the odds ratio was 1.2.
2 There were seven cases and 13 referents. For intermediate
3 exposure, the odds ratio was 1.7, and there were 11 cases
4 and 19 referents or controls. And for high or frequent
5 exposure, the odds ratio was 1.6 with 19 cases and 29
6 referents or controls.

7 In the Taskinen et al. study from 1994, there
8 were 206 cases of spontaneous abortion and 329 controls.
9 And they examined maternal exposure to xylene and
10 laboratory work. First trimester exposure greater than
11 three days per week or more frequent than three days per
12 week was associated with an odds ratio of 3.1, and a 95
13 percent confidence interval of 1.3 to 7.5 adjusted for
14 employment, smoking, alcohol use, parity, previous
15 miscarriages, failed birth control, and fever during
16 pregnancy, but it was not adjusted for other solvents or
17 exposure to other solvents.

18 The Axelsson cohort included 556 pregnancies,
19 including 194 exposed. And there were 20 spontaneous
20 abortions. The authors found no association between
21 maternal exposure to xylene in laboratory work and
22 spontaneous abortion.

23 In the Lindbohm et al. study, they had 73
24 spontaneous abortion cases and 167 controls. Of these,
25 five cases and seven controls were exposed to xylene. The

1 odds ratio for first trimester occupational exposure to
2 xylene and the spontaneous abortion was 1.3 adjusted for
3 previous spontaneous abortion, parity, smoking, use of
4 alcohol, and exposure to other solvents, and it was not
5 statistically significant.

6 Next slide.

7 In the Windham study, there were 626 cases and
8 1,300 matched controls, but few subjects, that is nine
9 cases and 12 controls, actually reported exposure to
10 xylene. The unadjusted odds ratio for xylene and
11 spontaneous abortion was 1.6, and was not statistically
12 significant. There were no adjusted odds ratios for
13 xylene reported in the study.

14 In the Swan semiconductor study, xylene was one
15 of seven agents identified as strongly associated with
16 spontaneous abortion. Compared with unexposed workers,
17 women at the second and third exposure levels were at
18 increased risk of spontaneous abortion with relative risks
19 of 2.31 with a confidence interval of 1.39 to 3.58 for all
20 women, adjusted for smoking, age, education, income,
21 ethnicity, pregnancy history, pregnancy start year, and
22 stress. Relative risks were somewhat higher for women
23 working in masking, including photolithography and etching
24 and lower for women working with dopants and thin film.

25 However, due to simultaneous exposures to xylene,

1 n-butyl acetate, and ethylene-based glycol ethers, which
2 are also associated with spontaneous abortion, Swan and
3 colleagues state that the associations observed for xylene
4 may reflect EGE exposure.

5 Okay. Moving on to birth weight. For birth
6 weight there were three epidemiologic studies, two of
7 which I've already outlined. There was a Taskinen 1994
8 Finnish occupational case control study, which also
9 examined spontaneous and malformation. And there was also
10 the Axelsson 1984 study of female laboratory workers in
11 Sweden, which also examined spontaneous abortion and
12 malformations.

13 Xylene was specifically named in the
14 questionnaire, but again no specific results for xylene
15 and birth weight were reported, so the study is not
16 included on the results table that follows.

17 Ghosh et al. used air pollution data from the
18 California Air Resources Board, or CARB, for Los Angeles
19 County in 1995 to 2006 to study the effects of BTEX in air
20 pollution on term low birth weight. Exposure was assessed
21 throughout the pregnancies. Only the 27 percent of women
22 who resided less than five miles from at least one of four
23 CARB air toxic stations -- monitoring stations were
24 included in the study. This was still more than 400,000
25 women.

1 Next.

2 So here I'll highlight the outcomes. Taskinen
3 reported a significant association between increased birth
4 weight and exposure to xylene at most two days a week.
5 However, they did not report data or statistics for this
6 finding.

7 Ghosh et al. found that term low birth weight
8 associated with increase in third trimester exposure to m-
9 and p-xylenes and o-xylene. The odds ratio for third
10 trimester exposure to m- and p-xylene was 1.03 with a 95
11 percent confidence interval of 1.01 to 1.06. The odds
12 ratio for o-xylene was also 1.03, and the confidence
13 interval was 1.01 to 1.05 adjusted for maternal age, race,
14 and ethnicity, education, parity, gestational age, and the
15 square of gestational age. Exposures to xylenes in the
16 last month of pregnancy were also associated with term low
17 birth weight.

18 However, in this study, the BTEX compounds were
19 strongly inter-correlated with correlation coefficients
20 between 0.79 and 0.92. And for this reason, the authors
21 state that the results for xylenes must be interpreted
22 with caution. Higher exposure to other air toxics
23 including carbon monoxide, nitric oxide, nitrogen dioxide,
24 and nitrogen oxides, and particulate matter in the third
25 trimester and entire pregnancy also increased the odds of

1 term low birth weight.

2 The last study I will describe examined the
3 relationship between cytokine secretion profile of
4 umbilical cord blood T-cells and exposure to volatile
5 organic compounds, or VOCs.

6 This was a cross-sectional study that drew its
7 sample from an ongoing study of maternal exposure to VOCs
8 and immune status at birth. The authors randomly selected
9 85 healthy full-term neonates whose mothers did not suffer
10 autoimmune diseases or infectious disorders during
11 pregnancy.

12 Cord blood samples were taken at delivery and
13 T-cell function was analyzed. The authors assessed
14 possible sources of VOC exposure including painting,
15 flooring, and smoking in the home, and family atopy
16 history by administering a questionnaire to parents. VOCs
17 were collected by continuous passive sampling in
18 children's homes for four weeks after birth.

19 Elevated m- and p-xylene was significantly
20 associated with increase in cytokine producing cord blood
21 T-cells in unadjusted analyses. Specifically, the median
22 numbers of Interferon-gamma T-cells and
23 interleukin-4-producing T-cells were higher when m- and
24 p-xylene levels were above the 75th percentile. However,
25 in multivariate analyses xylenes were not associated with

1 cord blood cytokine producing T-cells.

2 There were -- no significant associations were
3 observed for o-xylene in analyses. And in this study, the
4 authors acknowledged that the clinical relevance of their
5 findings wasn't clear.

6 So, I just want to wrap up a little and summarize
7 what I've just talked about. The epidemiologic studies
8 all faced problems with multiple exposures to organic
9 solvents and other compounds.

10 For male reproductive toxicity, there were three
11 studies, one finding of decreased semen
12 gamma-glutamyltransferase activity. For female
13 reproductive toxicity, there was one finding out of two
14 studies. One finding of increased risk of oligomenorrhea.
15 And in this case, I wasn't including embryo fetal
16 viability. That's in developmental toxicity.

17 So under developmental toxicity, there were four
18 studies reporting results on malformations, and they found
19 no effects -- no statistically significant effects.

20 For spontaneous abortion, there was one finding
21 of -- there was a finding of increased risk in two of six
22 studies. For birth weight, one study found an increase of
23 birth weight in women exposed, at most, two days per week
24 to xylene, and another study found an increased risk of
25 low birth weight, and that was an air pollution study.

1 And finally, there was no effect found in a study
2 umbilical cord blood T-cells.

3 And -- sorry. Just to refresh your memory on the
4 animal evidence, we had -- for male reproductive toxicity,
5 we had one of three inhalation studies reporting effects,
6 but that study was limited by the lack of quantitative
7 dosing. There was one I.P. injection study, and that
8 found increased risk of abnormal sperm only or
9 increases -- excuse me, increases in abnormal sperm, but
10 only in treated animals kept between 24 and 30 degrees
11 centigrade.

12 For female reproductive toxicity, there was one
13 inhalation study that found decreased progesterone and 17
14 beta-estradiol. There was also a decrease in embryo-fetal
15 viability in two of 12 inhalation studies and one oral
16 study. And then the interpretation of these is
17 complicated by excessive maternal mortality.

18 For developmental toxicity, there was decreased
19 embryo-fetal viability, as stated above, decreased birth
20 or fetal weight in seven of 11 studies, increased minor
21 skeletal or total anomalies in six of eight studies, and
22 evidence of neurobehavioral effects in three of four
23 studies.

24 And are there any questions?

25 CHAIRPERSON GOLD: So thank you to Drs. Campbell

1 and Kim. I think in the interests of giving the recorder
2 a break and that it's approaching noon and lunch time,
3 that maybe we can hold questions by the Panel until after
4 lunch, unless somebody has something really, really
5 burning right now.

6 So I would suggest that we reconvene at 12:45.
7 Does that sound -- is that feasible?

8 CHIEF COUNSEL MONAHAN-CUMMINGS: If the members
9 can just remember if you have lunch together to talk about
10 the weather or something.

11 (Laughter.)

12 CHAIRPERSON GOLD: Okay. So thank you, everyone.
13 And we will come back at 12:45.

14 (Off record: 11:54 AM)

15 (Thereupon a lunch break was taken.)
16
17
18
19
20
21
22
23
24
25

1 A F T E R N O O N S E S S I O N

2 (On record: 12:49 PM)

3 DIRECTOR ALEXEEFF: Well, good afternoon,
4 everybody. We're going to call the meeting back to order
5 now.

6 CHAIRPERSON GOLD: Okay. Good afternoon. So
7 before we start the Panel discussion of xylene, I would
8 ask, first, if the Panel members have any specific
9 questions of clarification for Drs. Kim and Campbell?

10 No questions?

11 Okay. So just one brief announcement. I did ask
12 Dr. Rocca if she would serve as Vice Chair of the
13 Committee, because she compliments my expertise by her
14 expertise in toxicology. And she has kindly agreed to do
15 that.

16 I've also asked her to lead the discussion on the
17 toxicology portion followed by Dr. Luderer. And then we
18 have Dr. Klonoff-Cohen will lead the discussion on the
19 epidemiology followed by Dr. Nazmi.

20 Also, what I've asked the Panel members who
21 are -- although, we are all responsible for having read
22 everything and we're all free to discuss everything, they
23 will lead off by summarizing their thoughts about each of
24 those aspects.

25 Also, what we're going to do is follow the lead

1 of the staff and discuss the male reproductive toxicity
2 starting off with the toxicology and then doing the human
3 studies, then the female reproductive toxicity, again
4 toxicology, human studies, and then the developmental
5 toxicity, recognizing that some of that gets involved
6 perhaps with the female.

7 So that's the plan. And we've also talked about
8 just not needing to go through every single study as the
9 staff has already done that so well. But if there was a
10 particular point about a particular study that they either
11 disagreed with or felt needed embellishment, they would do
12 that, but more it's the idea of sort of giving a summary
13 of their sense of the state of the science, the strengths
14 and limitations in helping us reach a decision.

15 So that's sort of the approach I think that we
16 will take.

17 And we'll start with off Dr. Rocca.

18 COMMITTEE MEMBER ROCCA: Good afternoon. So I'm
19 going to start out with talking about the non-clinical
20 male reproductive effects of xylene. And in considering
21 these studies, some of the things that are important to
22 look at, I just wanted to list, which would be the
23 experimental design, the number of animals per group that
24 were tested, the route of exposure, what dosages were
25 chosen, the maternal toxicity of the test article? Were

1 these studies reproducible?

2 Statistical considerations. And one of the
3 things that's particularly important for developmental
4 toxicity is that you use the litter of animals as the
5 statistical unit as opposed to each fetus being its own
6 unit, and that could make a profound difference in your
7 statistics.

8 We also need to consider the biological
9 plausibility of these effects. And taking all of these
10 things into account, our charge is to come up with a
11 weight of evidence as to what we think is happening here.

12 So the limitations on these studies that have
13 been presented to us are that there's incomplete
14 descriptions in many of them, as that these are published
15 journal articles. And so descriptions of the methods,
16 particularly of the purity of the xylenes is not
17 specified.

18 And that there's just not all the results there.
19 So sometimes it's a little tricky with the missing data to
20 try to figure out what the value of the study is.

21 So those are the things I've taken into
22 consideration when I've reviewed these studies. And I
23 would like to thank the professional staff, Drs. Campbell
24 and Kim for doing a excellent job in preparing the
25 briefing document. It certainly made my job much, much

1 easier.

2 Thank you very much, ladies.

3 So coming to non-clinical male toxicity. There
4 were four studies that we could consider. I think these
5 study that holds the most weight is the study that was run
6 by Biodynamics, that this study dosed animals for 131 days
7 before they were mated. They went to doses as high as 500
8 parts per million for six hours every single day. And in
9 that study, they found no ill-effects to male fertility.

10 This appears to be a very well run study. All
11 the data is there. The information on the methods and
12 statistics are there. And I give a lot of weight to that
13 study.

14 The next one was the study by Nysten. This was a
15 much shorter study in that it was only done for 61 days,
16 which is not quite the entire length of the male
17 spermatogenic cycle in the rat, but it still is quite a
18 decent period of time. In that study, they went up to
19 1,000 parts per million for eight hours per day and also
20 saw no effects there.

21 The two other studies I think have some
22 deficiencies, and then I'm concerned particularly in
23 Yamada study. That's the one where they just put animals
24 in a exposure chamber until they reached anesthesia, so
25 obviously quite neurally toxic.

1 The other issue is that these animals were about
2 seven weeks old when they started with this, and they were
3 concerned about looking at organ weights, for example.
4 I'm not clear that at seven weeks of age all of these
5 animals would have been truly sexually mature. And if it
6 is that neurotoxic, you have to wonder what else is going
7 on with them. And they did show decreased body weight in
8 these animals, which would make me think that the
9 anesthesia lasted pretty well and they weren't eating very
10 well.

11 So the fact that the organ weights are lower, I
12 have a hard time interpreting. The other thing that's
13 interesting about this paper is that if you look at the
14 table of organ weights, you'll see that each control group
15 has very different organ weights. And so they must have
16 run a concurrent control with each of them, but the
17 weights are sometimes double. For example, the epididymal
18 weight on the first one is 489. When you get to the last
19 control group, it's 888. And it works that way that it
20 increases in trends.

21 So I don't think it's clear what ages these
22 animals were tested at, even though it talks about how old
23 they were when they started. And so I'm not giving that a
24 lot of credence.

25 And so that's kind of my assessment of the

1 reproductive toxicity. I think based on the weight of
2 evidence of the studies that are here, I do not see any
3 reason to feel that there's a clear effect.

4 CHAIRPERSON GOLD: Dr. Luderer.

5 COMMITTEE MEMBER LUDERER: Yes. Thank you.

6 I'd also like to thank the staff for the
7 excellent briefing document. And I am very much in
8 agreement with Dr. Rocca. I think that the Biodynamic
9 study was the most well-conducted study in terms of the
10 duration of exposure, the number of animals per group, the
11 analyses.

12 The endpoints that were examined in that study
13 were fertility and testis weights. So I think the only,
14 for me, drawback was that there was no more detailed
15 examinations of male reproductive endpoints, such as, you
16 know, testicular histopathology or semen analyses, which
17 would have been nice to have, since those are the
18 endpoints that were observed to be affected in the other
19 studies, which I agree are much less strong.

20 The Nylen et al. study I thought was severely
21 limited by the very small N. It was an N of 3. And, you
22 know, these are endpoints for which there can be quite a
23 bit of variability. They looked at histological and semen
24 abnormalities. And then I also agree that the Yamada
25 study, as the staff pointed out, and Dr. Rocca mentioned

1 was severely limited by the lack of any exposure
2 measurements, and the fact that their -- basically, their
3 exposure was to loss of righting reflex, which is
4 indicative of some significant neurological toxicity
5 happening in those animals at that point, but doesn't
6 really tell us about the dose.

7 So I would say that overall the weight of the
8 evidence is not sufficient for me to conclude that this is
9 a male reproductive toxicant based on these studies.

10 CHAIRPERSON GOLD: Thank you, both of you.

11 Does anyone on the Panel want to comment any
12 further on the male reproductive toxicity studies, before
13 we go to the human?

14 No.

15 So Dr. Klonoff-Cohen, you want to lead off
16 talking about the human studies with regard to male
17 reproductive toxicity.

18 COMMITTEE MEMBER KLONOFF-COHEN: Actually, Dr.
19 Nazmi is going to do the male and I'll do the female.

20 CHAIRPERSON GOLD: I see. Okay. Thank you.

21 COMMITTEE MEMBER NAZMI: Thanks, Dr. Gold, and
22 thank you to all our colleagues on the staff for doing
23 such a bang-up job presenting these studies to us and
24 writing up the findings in such a didactic manner.

25 I'd like to point out some key issues related to

1 these studies that I found salient for our purposes. And
2 there are just a couple of them. I don't want to be
3 redundant in my analysis at all.

4 To begin with in some of the background studies,
5 just as a point of comparison, in 2011 California outdoor
6 xylene was measured at between 0.15 and 0.40 parts per
7 billion. And some data from Los Angeles takes those
8 numbers to about 0.15 to 0.40 and 0.62 to 1.44 parts per
9 billion. So if you guys could just keep that in your
10 minds, between 0.15 and 1.44 parts per billion. That is
11 salient to the De Celis 2000 study in Mexico, where
12 workers were exposed to between 10,000 and 12,000 parts
13 per billion in the workplace. That's about seven to ten
14 thousand times higher than one would expect to be exposed
15 to in the streets of Los Angeles.

16 That study, I thought, was -- had a couple of
17 points that made me think a little bit. One was the
18 obvious high concentration of xylene in the workplace, so
19 high that the majority of these workers were suffering
20 from acute symptoms of toxicity. Of course, that was at
21 the mean duration of exposure of about 11 years, eight
22 hours per day, six days a week.

23 I'd like to contrast that with the Xiao study
24 from China that compared male colleagues in administrative
25 positions and labor positions -- labor and industrial

1 positions where they were exposed to xylene. The men who
2 were in the industrial and labor positions exposed to
3 xylene had worse outcomes, including decreased prostate
4 function, and the male colleagues in the Mexico study had
5 lower sperm motility compared to their administrative
6 colleagues.

7 So the point I'm trying to get at is that I think
8 it's important that we consider people from the same
9 workplace that are in positions that don't put them into
10 contact with xylene compared to their colleagues who are
11 in those positions where they do have, in some instances,
12 significant exposure to -- significant exposure to xylene.

13 In relation to these same studies, I did find the
14 Xiao article from China to have some pretty significant
15 challenges, in terms of study design and in terms of study
16 interpretation statistical methods.

17 At the same time for both studies and a lot of
18 the human studies, for that matter, I think it's really
19 important to consider that xylene because it's typically
20 used in conjunction with a number of other solvents, it is
21 very difficult statistically and methodologically for that
22 matter to tease apart the effects of a single chemical.
23 So in terms of design, I know this has been mentioned
24 before by some of our colleagues on the staff, I find this
25 to be a great barrier to the epidemiologic study designs.

1 Those are my general points. If we could go
2 ahead and move on to the female studies.

3 CHAIRPERSON GOLD: Actually, can you hold on. I
4 just want to see if there are any other comments from the
5 Panel about the human male reproductive toxicity.

6 Dr. Baskin.

7 COMMITTEE MEMBER BASKIN: Yes. I would echo the
8 previous comments. I just wanted to make one point with
9 the Xiao study that there was a statistically significant
10 difference in the semen glutamyltransferase activity,
11 which they're equating to prostate function, but there's
12 really no clinical or research data suggests it really has
13 anything to do with prostate function.

14 So, to me, it's a chemically significant finding,
15 but not a clinically or, in my opinion, research
16 statistically finding. And also to echo the comment that
17 it's -- I found it very difficult to separate xylene from
18 all the other toxins, in all three of the epidemiologic
19 studies, which is a difficulty in doing any type of these
20 studies, but has to be taken quite seriously.

21 CHAIRPERSON GOLD: Okay. Thank you.

22 Any other comments on male reproductive toxicity
23 before we move to the female from the Panel?

24 So we're going to start though with toxicology
25 and then we'll come back to the human studies. So I'll

1 again call on Dr. Rocca to lead the discussion on the
2 toxicology studies on female reproductive toxicity.

3 COMMITTEE MEMBER ROCCA: Thank you. As was
4 pointed out before, the female reproductive toxicity
5 studies are somewhat limited, and also we can include some
6 of the data from the teratology studies, which looks at
7 development.

8 So what I'm going to do, since some of these
9 studies combine both endpoints, is to discuss both the
10 female reproductive and developmental toxicity issues with
11 these studies.

12 So there is a very long list of studies for this.
13 Once again, I think the Biodynamic's study that treated
14 both male and females for an extended time prior to
15 pregnancy also treated the females all during gestation
16 and all during lactation, and had a very good N and study
17 design. And I give that study quite a bit of weight.

18 In that study, they didn't see any female
19 toxicity. There were no effects really on the endpoints
20 of implantations or fetal viability, so I don't find
21 they're in this study to be reproductive effect.

22 In the teratology portion of it, they had only
23 looked at two of the groups for this. They looked at
24 animals that were -- the control animals given air, and
25 those were both the males and females were treated with

1 500 parts per million.

2 And in this group they also saw really no effects
3 that I would think are teratogenic. And this study, which
4 I think is very well done, they found nothing.

5 The other study that I'd like to really bring up
6 here is the one by Saillenfait in 2003. This is the most
7 recent study and gives a very nice review within the paper
8 itself of the results of the previous studies. This study
9 animals were only treated during the period of
10 organogenesis gestation days six through 20. They went up
11 to doses as high as 2,000 parts per million. They did
12 have maternal toxicity both in decrease in body weight
13 gain and serious decrease in food consumption at both
14 1,000 thousand and 2,000.

15 There was no affect on female fertility endpoints
16 on this, and the only teratological endpoint where they
17 saw any real effect was that there appeared to be a
18 decreased skeletal development delay at fetuses that dams
19 were treated with 2,000 parts per billion, which as I said
20 already, was quite toxic. So this is another study I
21 consider to be negative for both those endpoints.

22 There are some other studies where the authors do
23 conclude that it may be teratogenic. And I think part of
24 the difference between my interpretation and theirs is
25 what is a teratogen. That I don't consider skeletal

1 delays in development, where there is maternal toxicity
2 that leads to lower fetal weights, to necessarily be
3 teratogenic, because those animals will catch up and be
4 fine.

5 The other study that I'd like to talk about also
6 is the one in which they did three different species,
7 which was very nice. That's the Ungvary of 1985. And in
8 the rats, they went to a dose where one of the high-dose
9 animals died. Very difficult to interpret whether or not
10 that was a random thing or truly toxicity, when there's
11 only one.

12 Unfortunately, they do not present any of the
13 data per litter. They just give you the total for all the
14 fetuses. There seems to be no effect on fertility, but
15 they do say that there was decreased skeletal development
16 for the lower body weight fetuses. This is in the rats.

17 With mice, they had very similar findings, the
18 doses were lower for rats. And for rabbits, they did have
19 quite a bit of maternal toxicity at the high dose of 231
20 parts per million. Unfortunately, they did not have any
21 food consumption data, which can be a real confounding
22 thing for rabbit studies not to understand that pattern.
23 But they did have deaths and increased abortions and body
24 weight decreases at that dose, but still found no
25 teratogenic effects, and there was no effects to fertility

1 endpoints.

2 So those are the three studies that I think have
3 the most validity for the female reproductive endpoints.
4 I'd be happy to discuss any of the other ones, if anyone
5 has any other questions.

6 CHAIRPERSON GOLD: Thank you.

7 Dr. Luderer, do you have something to add?

8 COMMITTEE MEMBER LUDERER: Yes. Thank you.

9 So I agree that there -- we have the one, the
10 Biodynamic's study which did dose for the longest period
11 of time again throughout -- prior to mating, during
12 mating, and then subsequently during gestation. And that
13 is a study that also I think had the greatest N. And
14 there were a number of female reproductive-related
15 endpoints looked at in that study.

16 Again, the one thing that I would like to
17 emphasize is that even in that study, although in that
18 study they commented on a number of corpora lutea, there
19 were no kind of detailed analyses in any of these studies
20 of ovarian histology, ovarian follicle counts, anything of
21 that sort, which would allow us to really be able to
22 assess ovarian toxicity to any great degree.

23 However, there were in that study no effects on
24 fertility. I did want to note that the related endpoints,
25 except for one, which was somewhat inconsistent, however.

1 In several of the groups it was observed that the number
2 of females who mated was significantly decreased in the
3 two higher dose groups, the 250 and 500 parts per million.
4 Although, the 500 parts per million was only in the study
5 subgroup in which the females only were dosed, and not the
6 study subgroup in which both the males and the females
7 were dosed, which was somewhat puzzling.

8 But when you do look at the table, in terms of
9 the percentages or the number of animals that was affected
10 versus not affected, the percentages are quite similar, so
11 we're talking about 36 out of 40 in the not significant
12 group, and 17 out of 20 in the group that was
13 statistically significant.

14 So, you know, maybe the difference in statistical
15 significance there is not so important since the magnitude
16 of the effects seem to be quite similar in the two groups.

17 And then there was also a significant decrease in
18 the fetal weights only of the female fetuses, again, at
19 the 500 parts per million dose. So there was some
20 evidence of decreased mating index in the females and then
21 fetal weights, again, only in the female fetuses.

22 I agree also that the remaining studies, which
23 were predominantly -- they were, I think, 12 developmental
24 studies, which also looked at some female reproductive
25 endpoints, most of these also used rats.

1 And the Ungvary Tatrai, I thought was -- that
2 particular study was important as well, because it looked
3 at three different species, and there are limited data
4 among the other studies on species other than rats.

5 That study does suggest that there are
6 significant species specific differences in the response
7 to xylene with the rabbits having resorptions and
8 abortions at much lower levels of exposure, 115 parts per
9 million, than did the rats and the mice, in which
10 resorptions were noticed only at a very high
11 concentrations. I think it was greater than 690 parts per
12 million.

13 This is important, because we would always like
14 to have data for more than one species, if possible. I
15 agree that a big drawback in the methodology in that
16 study, as well as the other studies by the same group,
17 which I think we'll talk about more when we talk about the
18 developmental toxicity, was that the analysis of the data
19 was by pup and not by liver -- litter. And it's
20 unfortunate that one can't go back and reanalyze those
21 data by litter, which is what I found myself wanting to
22 do.

23 So, overall, I think there is some suggestion
24 from these studies of female reproductive toxicity, based
25 on the observations in rabbits, rats, and mice of

1 increased resorptions and/or abortions in the rabbits, as
2 well as the decreased maternal weight gain also in rats
3 and mice. And that was based on the Ungvary studies,
4 again where there was the problem with the statistical
5 analysis.

6 CHAIRPERSON GOLD: Thank you.

7 Anyone else want to comment on the female
8 reproductive toxicity from the animal studies?

9 Comments?

10 Okay. Then we'll turn you now to Dr.
11 Klonoff-Cohen to talk about the human female reproductive
12 toxicity study.

13 COMMITTEE MEMBER KLONOFF-COHEN: I also once
14 again want to thank Dr. Campbell and Dr. Kim. They did a
15 fabulous job.

16 But just because there was so many studies, I'll
17 just sort of touch on a few points of the studies I'm
18 going to talk about. So I'm going to start by talking
19 about Sallmén, which was the Finnish study from 1995.

20 And that was a retrospective cohort of about 197
21 women that was taken from Lindbohm's 1990 study. And they
22 followed for 18 years for xylene, but as well for five
23 other solvents.

24 So they looked at the data on time to pregnancy
25 and exposure, and they collected during the first

1 trimester. And so what they found was that an incidence
2 density ratio was 9.3 -- 0.93, excuse me, for high xylene
3 exposure and reduced fertility. Now, that was for a
4 sample of 10 women and they adjusted for other solvents,
5 recent contraceptive use, age at menarche, and the low
6 exposure was not significant.

7 So exposure to organic solvents was associated
8 with longer time to pregnancy and reduced fecundability in
9 the study. However, there were some limitations. First
10 of all, the time to pregnancy was collected eight to 18
11 years after pregnancy. There was a participation rate of
12 66 percent. And most of the women were exposed to other
13 solvents.

14 And last of all when you consider fecundability,
15 there are other important confounders that you might
16 consider, including frequency of coitus or history
17 of sexually transmitted disease.

18 So that's all I want to say about Sallmén's
19 study.

20 The second study I want to talk about very
21 briefly is Cho from 2001. And that was the Chinese study
22 that evaluated low level exposure to organic solvents.
23 And he looked at menstrual patterns.

24 It was a cross-sectional study, and there were
25 1,408 women, that was about a 95 percent response rate, so

1 that was great. And they were looking at exposure
2 assessment based on the classification of workshops for
3 exposure for benzene, toluene, styrene, and xylene, and
4 also women's reports of actually handling those chemicals.

5 And they actually got the menstrual patterns
6 through interview, and oligomenorrhea was defined in this
7 study as an average cycle length of greater than 35 days.

8 And as was previously told to us, no women were
9 exposed to xylene alone, but they did find an odds ratio
10 of 1.63 for increased risk oligomenorrhea and exposure for
11 xylene and other solvents while adjusting for age, BMI,
12 enrollment, cohort, passive smoking, exposure to chemicals
13 that weren't aromatic solvents.

14 And the limitations for this particular study
15 were that the exposures were very low with xylene and
16 toluene and styrene. They were below one ppm, and the
17 fact that it was a cross-sectional study.

18 Now, there were other studies, which I will just
19 mention very, very briefly, because none of them were
20 reported associations specifically for xylene. And the
21 first one was Wennborg which was in 2001. And he looked
22 at a fecundability ratio for occupational exposure to
23 solvents and found 0.79 after adjustment for cycle order,
24 mother's age, father's age, father's lab work, and
25 reported fertility problems.

1 In terms of for Reutman, once again a
2 cross-sectional study. And when they analyze the
3 continuous variable, the total BTX was not significantly
4 associated with any of the measured hormone levels.

5 There was Chen, but in his study he found
6 actually short luteal phase, but unfortunately it was all
7 crude analyses. There wasn't any adjustment.

8 And then the last two studies, Wang in 1994,
9 there were no values presented, because the article was in
10 Chinese. And then Yang in 1997, there was no multivariate
11 analysis for the results, so I won't present them.

12 CHAIRPERSON GOLD: Thank you.

13 Anyone else wish to comment on the human female
14 reproductive toxicity studies?

15 Okay. So we've already talked a bit about the
16 developmental toxicity. I would ask if there's anything
17 further, Dr. Rocca or Dr. Luderer, that you want to add on
18 developmental toxicity from the animal studies?

19 COMMITTEE MEMBER ROCCA: Thank you.

20 In several of these studies, they also looked at
21 postnatal effects. And in several of these studies, the
22 animals were exposed during gestation, and then they
23 looked at neurobehavioral and developmental effects later
24 on.

25 So the first study is once again the Biodynamic's

1 study, which was quite nicely done. In this study, some
2 of the animals were allowed to deliver their litters. And
3 they were exposed for the 131 days before mating, all
4 during gestation, and during lactation. So it's hard to
5 parse out where the exposure that caused the effects might
6 be coming from.

7 And in this case, they did see that there was
8 slightly lower pup body weights on postnatal days 14, 21,
9 and 49 on the high-dose dams. However, these poor dams
10 were being exposed for six hours a day to 500 parts per
11 million of xylene during the entire lactation period. And
12 it's not surprising to me that their pups are going to
13 have slightly lower body weights later on. This was not
14 an effect that was seen on the early postnatal days. It
15 was just in the later ones.

16 So, yes, they claim there was an effect, but
17 being that it appears to be a nutritional one from the
18 dams.

19 The next study that found an effect was Hass '93.
20 And in this one they went up to doses of 200 parts per
21 million during the gestation period. And they found on
22 two specific days out of testing, that there was decreased
23 times spent on the rotarod.

24 However, this same group did -- repeated a very
25 similar study in 1995, where they went to doses of 500

1 parts per million and found no effect. And so I think
2 it's a case of just spurious findings when you're doing
3 lots of statistics on multiple, multiple endpoints on
4 studies. So I don't find that convincing.

5 The next study that saw some effects was another
6 study by Hass. There's one in '95, and one in 1997. And
7 the interesting part about this paper for me is that when
8 you look at them very, very carefully, you realize it's
9 the same study being reported.

10 So they're reporting the early effects in the
11 first paper, and then they go on to report the later time
12 point effects in the second paper, but this really is just
13 one cohort of animals.

14 In this study, they had different housing
15 conditions. They had groups of mice that were housed
16 together in -- you know, enrichment sort of situations,
17 which would be pretty much the norm for things these days.
18 Although maybe not then. And they also had groups that
19 were pair housed in just plain cages.

20 And what they found was that it took the animals
21 who had only been pair housed, and these are only females,
22 a little longer to find the platform for the water maze
23 after it had been moved. On the memory tests, they did
24 absolutely just fine. They could find the platform just
25 as easily. It just took them a little longer to find it

1 when it had been relocated.

2 I don't see this as a major problem, because they
3 did find it. They did remember it. And as I said, this
4 is one group of animals that was tested over and over and
5 over. So there really is no replication there of the
6 data.

7 And the other studies that were done, and all of
8 the other endpoints in all of these studies no postnatal
9 effects were found. So, once again, based on the weight
10 of evidence, I don't see any postnatal detriment.

11 CHAIRPERSON GOLD: Thank you.

12 Dr. Luderer, anything to add?

13 COMMITTEE MEMBER LUDERER: Yes. So I think my
14 opinion is that the studies that are most concerning to me
15 for developmental toxicity are the developmental
16 neurotoxicity studies, by -- that were performed by Hass's
17 group.

18 So as Dr. Rocca noted, there was the earlier
19 study, the Hass and Jakobsen study, where they looked at
20 time on the rotarod, which is a way of evaluating motor
21 activity. And they did find decreased time in the females
22 on two of the three days tested, and in the males on one
23 of the days tested.

24 I think it is important that they did comment
25 that the people who did the testing were not blind to

1 treatment group, which is -- was also noted in the staff
2 document. And that was one of the reasons they gave for
3 doing the second study, in which they used the higher
4 exposure level of 500 parts per million.

5 In that study, they also did the rotarod test and
6 they did not find statistically significant effects.
7 However, they did note that there was a trend for a
8 greater percentage of the exposed animals to fail to reach
9 30 seconds on the rotarod, so there was a suggestion of an
10 effect. And that was also the study in which they did the
11 Morris water maze test for learning and memory.

12 And I think that what's important is that at
13 the -- they did test these animals at -- the females, they
14 tested at multiple different time points. They tested
15 males and females at three weeks, and then the females in
16 the second paper -- if those were the same females.
17 Although, that wasn't entirely clear I thought -- at 16,
18 28, and 55 weeks.

19 And I think to me what was important was that
20 these effects in the Morris water maze did persist over
21 time in the females, even into -- well into adulthood. So
22 the effects were significant for basically increased
23 distance that the females swam, at the three earlier time
24 points. And at 55 weeks, there was a trend for that, but
25 not statistically significant. And also when the platform

1 was moved, it took the females longer to find it.

2 I think that there are a lot of neurobehavioral
3 differences between -- in terms of neurodevelopment,
4 central nervous system structures, between males and
5 females. And so, to me, the fact that the effects were
6 observed in females and not in males does not
7 necessarily -- I wouldn't necessarily conclude that
8 therefore they were spurious or not important.

9 The other study that looked at developmental
10 neurotoxicity looked at different endpoints. And also,
11 that was the only study that did look at one of the
12 specific isomers. It looked at technical and p-xylene and
13 didn't observe any significant effects, but again, looking
14 at different endpoints, though it's difficult to really
15 compare. I think that was the Rosen et al. study with the
16 Hass et al.

17 So my conclusion, based on the developmental and
18 neurotoxicity studies is I think there is some concern for
19 developmental neurotoxicity with xylene exposure during
20 gestation, particularly in terms of the learning, and
21 memory tested by the Morris water maze in females.

22 CHAIRPERSON GOLD: Thank you. Any other comments
23 by the Panel on the developmental toxicity from the animal
24 studies?

25 Okay. So we'll turn to the human studies now,

1 developmental toxicity, who's going first? Did you decide
2 that?

3 Dr. Nazmi.

4 COMMITTEE MEMBER NAZMI: Yes. Thank you.

5 I just wanted to point out one study that I think
6 is perhaps the most recent study. It's the Ghosh et al.
7 Los Angeles County 2012 study. It's a retrospective
8 cohort of traffic-related air pollution and with an N in
9 the several thousands. So in terms of study design, in
10 terms of sampling, I thought it was probably one of the
11 more convincing studies, given that they had good sampling
12 and good design. And I think it's really salient to pay
13 attention to their findings, which did find adjusted odds
14 ratios of about 1.03, which is a small but significant
15 effect.

16 Given that there were more than 8,000 term low
17 birth weight infants studied, I think there might be some
18 indication that it might behoove us to pay attention to
19 the effects on birth weight of xylene exposure in ambient
20 air in large city environments.

21 That's all.

22 CHAIRPERSON GOLD: Thank you.

23 Dr. Klonoff-Cohen, do you have anything to add?

24 COMMITTEE MEMBER KLONOFF-COHEN: Yeah. So I'll
25 start with the Ghosh study. Absolutely, it does have

1 8,181 low birth-weight infants versus the 370,000 term
2 normal-weight infants. And it did, in fact, find the odds
3 ratio of 1.03.

4 I think it's important to talk a little bit about
5 the limitations for that study. It was noted that there
6 is possible misclassification due to residential mobility,
7 the use of birth certificates, and the distance from
8 monitoring stations. They also excluded pre-term babies,
9 which might introduce selection bias. So they might have
10 had greater exposure to air pollution. So that's all I
11 want to say about the Ghosh study.

12 So I want to go back and say that there's nine
13 studies in total on the development outcomes of xylene,
14 and that there were six studies on pregnancy loss, and
15 that there were two statistically significant studies that
16 found that xylene was, in fact, associated with
17 spontaneous abortion.

18 So I'm going to start with the Taskinen study
19 from 1994, which was a case-control study of 206 cases and
20 329 controls, and it was two to one matching on age and
21 race. And they found an odds ratio of 3.1 for spontaneous
22 abortion, and that was associated with frequent defined as
23 greater than three days per week exposure to xylene in the
24 first trimester.

25 And they adjusted for employment, smoking,

1 alcohol, parity, previous miscarriage, failed birth
2 control, and febrile disease during pregnancy. The
3 limitations of this particular study were the exposure to
4 multi-solvents, excluding females with multiple
5 spontaneous abortions, and they didn't actually present
6 statistics for birth weight.

7 The next study I wanted talk about, the Swan
8 study was from 1995, and that was a retrospective cohort
9 with 189 exposed and 683 unexposed, 18- to 44-year old
10 women. And they became pregnant while working at one of
11 14 semiconductor companies.

12 The industrial hygienists used first trimester
13 exposures and job activities to classify their exposure
14 and it ranged anywhere from zero, which was none, to the
15 three which was highest. And they defined spontaneous
16 abortion as a pregnancy terminated by 20 weeks. And they
17 excluded ectopic molar and elective terminations.

18 So xylene was used among seven agents, and they
19 were strongly associated with spontaneous abortion. And
20 the adjusted relative risk was 2.3 for women exposed to
21 xylene level at two or three, which is medium and high,
22 while adjusting for smoking, age, education, income,
23 ethnicity, pregnancy history, year and stress.

24 As well, workers in masking had 2.72 times the
25 risk of spontaneous abortion exposed to medium and high

1 xylene levels.

2 I'm not going to talk about the other four
3 studies because they didn't have a statistically
4 significant effect and multivariate analyses. And we
5 talked about the Ghosh study for fetal growth. There was,
6 in fact, another study by Taskinen that found that xylene
7 exposure, at most two days per week, was associated with
8 an increase risk of birth weight, but there weren't any
9 statistics.

10 Then there was a third study by Axelsson, but did
11 not find a statistically significant effect. There are
12 three studies on congenital malformations and none of them
13 found a statistically significant effect.

14 The Panel did introduce the study on Lupo, but
15 the problem was after adjustment for potential confounders
16 that effect disappeared. And then, in fact, there was one
17 study on cytokine secretion profile of the cord blood
18 T-cells. And even though the results looked very
19 interesting in terms of the crude analyses, unfortunately
20 the multivariate analyses for the results actually
21 disappeared from the crude analyses.

22 So that's all I have to say.

23 CHAIRPERSON GOLD: Thank you.

24 Does anybody else have any anything to add
25 regarding the human studies on developmental toxicity?

1 Okay. Hearing none. I believe it's time now to
2 go to public comments. And I'm aware of one, is that it?

3 So Steve Risotto. Please introduce yourself.

4 MR. RISOTTO: Yes. Good afternoon. Thank you
5 Madam Chairman and distinguished members of the Committee.
6 My name is Steve Risotto. I'm a Senior Director at the
7 American Chemistry Council speaking on behalf of the
8 xylene manufacturers.

9 I, too, want to congratulate the Committee
10 members for their appointment and want to acknowledge your
11 commitment to public service. I guess I just hope you
12 know what you're getting into for signing up.

13 (Laughter.)

14 MR. RISOTTO: I also want to thank the Chair and
15 the staff for granting our request for additional time to
16 talk this afternoon. Unfortunately, we weren't able to
17 get industry experts to come to Sacramento in the short --
18 with the short notice. So I'm afraid you're stuck with
19 just me, and I promise to take much less than the 20
20 minutes we had requested. In fact, probably no more than
21 the five allotted.

22 Now, you'll note that the proposal from the staff
23 is based on their own assessment of the scientific
24 evidence for xylene. It is not based on the review by
25 another Authoritative Body. In fact, despite a number of

1 studies, as has been described, and several toxicity
2 reviews, xylene -- no authority has listed xylene as a
3 reproductive toxicant.

4 These reviews include one by U.S. EPA's Office of
5 Research and Development, and also one by EPA's Office of
6 Pollution Prevention and Toxics, reviews by Health Canada,
7 OECD's Cooperative Chemical Assessment Program, and by the
8 Federal Agency for Toxics Substances and Disease Registry.

9 Notably, the peer review panel that looked at the
10 evidence under EPA's voluntary Children's Chemical
11 Evaluation Program concluded that the conduct of a
12 two-generation reproductive study was not warranted,
13 because it was unlikely to generate any additional useful
14 data.

15 Now, as described in the hazard identification
16 document -- there it is. Sorry, I'm trying to get my
17 tablet to turn sideways and it's not doing it, so I'm
18 having to read a little -- and as has been discussed, the
19 epidemiology study of xylene are of limited value in
20 assessing the potential reproductive and development tox
21 effects, because none of the cohorts were exposed to
22 xylene alone.

23 All of the groups were exposed to other solvents,
24 and which obviously, and has been discussed, introduced a
25 significant confounding factor, and one that cannot be

1 teased out. So we really -- as a consequence of that, I
2 think we really are left to looking at the animal data to
3 assess the reproductive and developmental effects.

4 And the hazard summary, and it has been
5 discussed, indicate there's a number -- a large number of
6 studies to be considered looking at both male
7 reproductive, female reproductive, and developmental tox.

8 For male reproductive, as has been discussed, and
9 I'll -- because it has been so thoroughly reviewed, I
10 don't need to cover it -- I don't think I need to cover it
11 too much.

12 Four studies -- four animal studies, three by
13 inhalation, one by I.P. injection. The studies found no
14 effects -- adverse effects on fertility, pregnancy, or
15 mating indices. The two better conducted animal studies
16 found no evidence of adverse effects on testes weight,
17 gross or histological morphology, levels or sperm count or
18 morphology.

19 The two studies that did report male
20 developmental effects had serious limitations as has
21 already been discussed. In one case, an unusual route of
22 exposure and one that likely of little relevance to
23 humans, namely I.P., and then, in addition, a lack of
24 exposure information, small sample size, and a lack of
25 information on effects on other organs.

1 Of the 13 animal studies that looked at female
2 reproductive toxicity, ten found no effects. The three
3 that did find effects were -- again, had limitations.
4 Those limitations include evidence, in EPA's words, of
5 severe maternal toxicity, questions about the purity of
6 the test substance, a fair amount of contamination with
7 ethyl benzene, and inappropriate statistical analysis, as
8 has been discussed, looking at the pup as the statistical
9 value, rather than the litter.

10 Then finally, the staff identified 15 animal
11 developmental toxicity studies, 13 by inhalation and two
12 by oral administration. Among these studies, none
13 observed effects on offspring viability of the -- at
14 levels that did not result in maternal toxicity.

15 Regarding fetal growth, while effects were seen
16 in some, but not all studies, and as has been evaluated by
17 several, the effects on fetal weight appear to be
18 secondary to maternal toxicity. So again, a concern about
19 the level of the exposures.

20 The evidence for malformations among the
21 offspring of pregnant rats exposed to xylene, also is
22 equivocal. And this study considered the most reliable by
23 both EPA and ATSDR. However, the authors concluded that
24 neither technical grade xylene or any of the individual
25 isomers were teratological effects up to 2000 parts per

1 million. The skeletal effects that were observed at the
2 highest level may again be secondary to maternal toxicity.

3 As noted in the HID, some evidence of
4 developmental neurotoxicity has been observed in rats, but
5 that evidence is relatively weak. The two studies
6 reported such effects were both conducted at a single
7 dose, providing no opportunity to do a dose response
8 evaluation. The effects were confirmed -- were not
9 confirmed in subsequent studies by the same researchers.

10 Taken together, ACC does not believe that the
11 data support a conclusion that xylene has been clearly
12 shown, through scientifically valid testing, according to
13 generally accepted principles, to cause reproductive
14 toxicity

15 Our view is shared by EPA's Office of Research
16 and Development, and the Office of Pollution Prevention
17 and Toxics, by OECD, and by ATSDR.

18 We trust that after a careful evaluation, the
19 DART will reach a similar conclusion. Thank you for your
20 attention and your consideration.

21 CHAIRPERSON GOLD: Thank you for your comments.

22 Are there any other public comments?

23 Hearing none.

24 It's now time to open the discussion to the
25 Panel, sort of a more open discussion before we take a

1 vote.

2 I would suggest perhaps we ought to, similarly
3 like we did our other discussion, start with male
4 reproductive toxicity, and just get the Panel's feelings
5 on this and then we'll go to female and then
6 developmental. Okay.

7 So I'll open the discussion for this and ask if
8 anyone wishes to make some further comments or share their
9 feelings about male reproductive toxicity.

10 I see indications of no further discussion.

11 Okay. What about female reproductive toxicity?

12 Dr. Baskin.

13 COMMITTEE MEMBER BASKIN: I just want to ask a
14 very general question, whether there's a known level of
15 xylene for immediate toxicity, not reproductive and not
16 developmental, but for its use in the laboratory or in
17 industry?

18 CHAIRPERSON GOLD: So I will put that question to
19 the Panel or to the staff, if anyone feels they can answer
20 that.

21 Dr. Rocca.

22 COMMITTEE MEMBER ROCCA: I'm doing this from
23 memory, so I will defer to the staff. But depending on
24 the endpoint, it's either 100 or 200 parts per million
25 for, I believe it's, an eight-hour exposure in humans.

1 Does that sound right?

2 DR. CAMPBELL: That's sounds like that would be
3 about right. I don't -- it's in the document. I mean,
4 I'm going to have to go back and look for it, because I
5 don't actually remember, but that sounds about right,
6 because that's when they -- that's about the level where
7 they start reporting. Yeah, neurological effects over
8 100, sedation, disorientation, ataxia. So you'd certainly
9 want to avoid that.

10 CHAIRPERSON GOLD: Dr. Luderer, did you have
11 anything to add to that?

12 COMMITTEE MEMBER LUDERER: No, I was just going
13 to point out that same part of the document.

14 CHAIRPERSON GOLD: Okay. Anything else on female
15 reproductive toxicity?

16 Okay. Any further discussion on developmental
17 toxicity by the Panel?

18 Dr. Baskin?

19 No.

20 Others?

21 Okay. Does this mean we're ready to vote -- oh,
22 sorry. Dr. Luderer.

23 COMMITTEE MEMBER LUDERER: No. I did have one --
24 I just wanted to mention one of the other studies, which I
25 don't think we really talked about very much, the Litton

1 study, which this was a study that was done in rats as
2 well, CRL:COBS CD (SD) BR rats exposed to 0, 100, or 400
3 parts per million xylene from gestational days six to 15.

4 In that study, they did comment on unusual
5 skeletal changes, which they didn't really describe in
6 detail, but they actually, I guess, thought they were so
7 unusual that they separated them out from the more common
8 skeletal defects that were also observed in the controls.

9 And they found there was a significant increase
10 in those changes, but not only when they analyzed pups,
11 but again not when they did the analysis per litter.
12 Although, one of the things I wanted to ask was how the
13 litter analyses were done, whether they were looking at
14 litter averages or whether they were doing some of the
15 more currently used statistical methods, such as using
16 generalized estimating equations to adjust for litter and
17 still be able to use the pup data.

18 I assume they didn't, since it was in 1978.

19 DR. CAMPBELL: Yeah. I'd have to go back and dig
20 for that. I don't know off the top of my head. But it's
21 such an old study, I wouldn't expect it to be very
22 advanced.

23 COMMITTEE MEMBER ROCCA: I think I can make a
24 couple comments on that. My recollection, once again, is
25 that they did indeed take the total number of fetuses

1 divided by the total number of things they found.

2 The other thing about that study when you dig
3 into the methods, and it's interesting that it's almost
4 kind of hidden, three of the high-dose animals, which is
5 where they're saying they think they saw something, were
6 actually pretty sick. And one of them actually had no
7 access to water for an entire week during gestation.

8 So I -- based upon that, and the lack of using
9 the per litter, because that would have helped us sort
10 that out, which is why the litter is so important. We
11 would have known the three dams that had the serious
12 toxicity and been able to parse those out. That's why I
13 haven't given much weight to that finding.

14 CHAIRPERSON GOLD: Thank you.

15 Any further discussion about developmental
16 toxicity?

17 Okay.

18 Does this mean we're ready to vote?

19 Oh, you have one more thing. Dr. Rocca.

20 COMMITTEE MEMBER ROCCA: Yes. I just had one
21 more comment about the post-natal. And I wanted just to
22 bring up the study where the Morris water maze differences
23 were seen. What was striking to me about that study is
24 that if you had animals in group housing as opposed to
25 just pair housed in bare cages, they didn't see the

1 effect.

2 So it really had to do somewhat with the housing
3 as well as the exposure. And as I said, once they found
4 the platform, they remembered where it was, that they had
5 no problem with the memory. And when it was in the
6 opposite quadrant, they knew to look there, so that they
7 could figure that out. It was just when it was placed in
8 someplace completely different like the middle, that they
9 had an effect. And it was only in that one group, so
10 that's why I find it very difficult to give that enough
11 weight to list it for that reason.

12 COMMITTEE MEMBER LUDERER: Yeah. I mean, I think
13 it was the -- sort of the more challenging task of finding
14 the platform that was not where they were expecting. It
15 was the one that there were significant differences in.

16 I think that the housing -- I think that's
17 actually very interesting that the enriched housing did
18 seem to be a benefit, and seemed to be able to overcome
19 some of the adverse neurodevelopmental effects of the
20 xylene exposure.

21 I think another interesting thing about that
22 study that we haven't talked about is that there were some
23 actual -- some changes and some neuro -- some
24 developmental endpoints that were actually advanced, which
25 we hadn't really commented on before in the xylene exposed

1 animals.

2 But in my opinion, the fact that these changes
3 in -- or these differences in the Morris water maze.
4 Although they only occurred in the not enriched housed
5 group, the fact that they persisted well into adulthood, I
6 still find that to be a significant effect, in terms of
7 the neurodevelopmental toxicity.

8 CHAIRPERSON GOLD: Thank you.

9 Anymore discussion of developmental toxicity?

10 Okay. Do we feel ready to vote on these three
11 outcomes?

12 Yes.

13 Okay. So I have wording to follow. Do I have to
14 do them in exactly this order?

15 Okay. So has xylene been clearly shown, through
16 scientifically valid testing, according to generally
17 accepted principles, to cause developmental toxicity?

18 All those who say yes, could you please raise
19 your hand?

20 (Hand raised.)

21 CHAIRPERSON GOLD: Yes. One yes.

22 Okay. Those who vote no?

23 (Hands raised.)

24 CHAIRPERSON GOLD: Those abstaining?

25 (No hands raised.)

1 CHAIRPERSON GOLD: I guess that would be zero.

2 Okay. Next, we go to has xylene been clearly
3 shown, through scientifically valid testing, according to
4 generally accepted principles, to cause female
5 reproductive toxicity?

6 If you wish to vote yes, could you please raise
7 your hand?

8 (No hands raised.)

9 CHAIRPERSON GOLD: I see no yeses.

10 Those voting no?

11 (Hands raised.)

12 CHAIRPERSON GOLD: No abstentions.

13 And finally, has xylene been clearly shown,
14 through scientifically valid testing, according to
15 generally accepted principles to cause male reproductive
16 toxicity?

17 If you wish to vote yes, please raise your hand?

18 (No hands raised.)

19 CHAIRPERSON GOLD: That's a zero.

20 Voting no?

21 (Hands raised.)

22 CHAIRPERSON GOLD: Six. And no abstentions.

23 So the result, as I see it, is for developmental
24 toxicity, there was one vote yes, five votes no.

25 For female reproductive toxicity no yes votes,

1 six no votes.

2 And for male reproductive toxicity, no yes votes
3 and six no votes.

4 Okay. Thank you, everyone.

5 So we can now go to the next part of the agenda,
6 which is update on Section 27000 list, chemicals which
7 have not been adequately tested as required and the staff
8 has a presentation, is that correct?

9 (Thereupon an overhead presentation was
10 presented as follows.)

11 CHIEF COUNSEL MONAHAN-CUMMINGS: Right. Yeah.
12 There's one slide.

13 DR. CAMPBELL: Is this it?

14 CHIEF COUNSEL MONAHAN-CUMMINGS: Okay. This is
15 Carol Monahan-Cummings again.

16 I mentioned to you this morning that you had one
17 kind of odd duty under the statute that has to do with
18 identifying chemicals that don't have sufficient testing.
19 And this is a section of the law that's called Section
20 2700 within our regulations. It is required by the
21 statute that the State's qualified experts determine
22 whether or not these chemicals have been adequately
23 tested.

24 So what we do, in order to help the Committee
25 make this decision, is we poll the Department of Pesticide

1 Regulation and U.S. EPA and ask them if they can identify
2 any chemicals that can be taken off the existing list,
3 because there's been sufficient testing or if there are
4 any chemicals that need to be added to the list, because
5 they have insufficient evidence of sufficient testing.

6 And so we did that for you the last couple
7 months, and we have up on the slide -- I am not going to
8 try and pronounce all of these. But the Department of
9 Pesticide Regulation has identified the three chemicals
10 that are on this slide as chemicals that now have
11 sufficient testing. And they recommend removal of them
12 from our list.

13 And then the second list is those reported by
14 U.S. EPA as having sufficient evidence -- or sufficient
15 testing that can be removed from the list.

16 This Committee doesn't have to determine whether
17 or not there's sufficient testing. You can rely on the
18 EPA and DPR findings, because they're the ones that make
19 the requirements for the testing.

20 So essentially, what we're asking you to do is
21 ratify what DPR and U.S. EPA have said about these, and
22 then we can go ahead and remove them from the list.

23 Do you have any questions on that?

24 So if you could just raise your hand, if you
25 agree that we should go ahead and remove these chemicals

1 that are up here on the slide from our list of chemicals
2 that have not been adequately tested?

3 (Hands raised.)

4 COMMITTEE MEMBER NAZMI: Could you say that
5 again, please.

6 CHIEF COUNSEL MONAHAN-CUMMINGS: I'm just asking
7 you to raise your hand if you agree with DPR -- or the
8 Department of Pesticide Regulation and the U.S. EPA that
9 we can go ahead and remove these chemicals from our list
10 because they've been adequately tested? So if you could
11 raise your hand if you agree with that?

12 (Hands raised.)

13 CHAIRPERSON GOLD: Dr. Klonoff, do you have a
14 question.

15 COMMITTEE MEMBER KLONOFF-COHEN: I just have a
16 really quick question. I'm sorry. I might have phrased
17 out. So you're asking us -- there's been sufficient
18 testing, you're asking us to, or there's been insufficient
19 testing, you're asking us to agree? I guess I'm not
20 understanding.

21 CHIEF COUNSEL MONAHAN-CUMMINGS: I'm sorry.
22 Yeah. We're removing them from the list, so that means
23 that these two agencies have said that there is
24 sufficient -- there is sufficient testing. Sorry.

25 COMMITTEE MEMBER KLONOFF-COHEN: There is

1 sufficient testing. Thank you.

2 CHIEF COUNSEL MONAHAN-CUMMINGS: Sorry. Okay.
3 So we'll try one more time.

4 (Laughter.)

5 CHIEF COUNSEL MONAHAN-CUMMINGS: Trying to get
6 unanimous here, not that I'm trying to influence you.

7 (Hands raised.)

8 CHIEF COUNSEL MONAHAN-CUMMINGS: Okay. Thank you
9 very much.

10 CHAIRPERSON GOLD: So the next item on the agenda
11 is staff updates regarding administrative listings, and
12 safe harbor level development and litigation.

13 And, Ms. Oshita, you're going to do this.

14 MS. OSHITA: Yes. Good afternoon.

15 The Committee last met in July 2011. And since
16 then, OEHHA has administratively added 16 chemicals to the
17 Proposition 65 list. Two of them were added as chemicals
18 known to cause reproductive toxicity, and 14 were listed
19 as chemicals known to cause cancer.

20 And on these slides here they will list the
21 additions of the chemicals, along with the effective dates
22 for which they were added to the list. This first slide
23 here will show the chemicals that were -- the two
24 chemicals added for reproductive toxicity. The next two
25 slides will cover the chemicals that were added as known

1 to cause cancer.

2 This slide here showing those added September 2nd
3 2011, November 4th, 2011, and February 3rd, 2012. And
4 followed by the additions made on June 22nd, 2012, July
5 24th, 2012, and most recently November 2nd, 2012.

6 There are still yet some other chemicals that are
7 under consideration for administrative listing. They
8 include bisphenol A, hydrogen cyanide, cyanide salts as
9 causing reproductive toxicity, as well as tetraconazole,
10 beta-myrcene, and pulegone as causing cancer.

11 The public comment period for bisphenol A was
12 recently extended and will now close on March 27th, 2013.
13 The data call-in periods for all of the other chemicals
14 have since closed. We received comments on all of those,
15 and they are under review.

16 We had issued a notice of intent to list styrene
17 last month on January 4th. However, we decided not to
18 proceed with the proposed listing at this time, and we've
19 withdrawn that notice. In the event that we decide to
20 proceed with the proposed listing for styrene, we will
21 issue a new notice, and provide yet again an opportunity
22 for public comment.

23 Since the last meeting, OEHHA has also adopted a
24 Maximum Allowable Dose Level for avermectin b1, and six no
25 significant risk levels. The chemicals and their

1 respective levels are shown here on this next slide. The
2 one MADL for avermectin b1 and then the six corresponding
3 no significant risk levels, NSRLs.

4 With the exception of bisphenol A, which is still
5 open for comment, staff are currently working on the final
6 rule-making packages for each of the other chemicals, and
7 we expect to submit them to the Office of Administrative
8 Law for approval very soon.

9 Thank you.

10 CHAIRPERSON GOLD: Thank you. Does counsel have
11 some more comments?

12 CHIEF COUNSEL MONAHAN-CUMMINGS: I'm back.

13 I just want to give you quick update on
14 litigation that has occurred in the last year or is
15 continuing at this time. Most of the litigation that
16 we're dealing with has to do with carcinogens, but I
17 thought you might be somewhat interested in the way the
18 cases have gone.

19 There's a case that was very recently decided in
20 October of 2012 that had to do with the chemical styrene.
21 And we had proposed the listing of styrene as a carcinogen
22 under what's called the Labor Code Listing Mechanism. We
23 mentioned that earlier. It has to do with occupational
24 exposures under the Hazard Communication Standard.

25 And we were sued by the Styrene Information and

1 Research Center. They alleged that we didn't have
2 sufficient evidence that the chemical caused cancer. And
3 we were unsuccessful at the trial court level, and at the
4 appellate court level in convincing the judges to the
5 contrary.

6 And so under that case, the court has -- the
7 appellate court has determined that in order to list
8 chemicals under the Labor Code mechanism based on a report
9 from the International Agency for Research on Cancer, we
10 do have to have either sufficient animal evidence or
11 sufficient human evidence. And those can't be
12 supplemented by other mechanistic or other data that the
13 agency actually has to say that it's sufficient in one or
14 the other.

15 The next case I wanted to mention had to do with
16 the listing of the chemical 4-MEI, 4-methylimidazole. You
17 noticed I think that we also have adopted a safe harbor
18 level for that chemical.

19 We were originally sued by the California League
20 of Food Processors, the American Beverage Association,
21 Grocery Manufacturers Association, and the National Coffee
22 Association for proposing the listing of the chemical.

23 We were successful in the trial court in arguing
24 that the chemical did meet the criteria for listing based
25 on a report that -- a technical report that was issued by

1 the National Toxicology Program. And so that case was not
2 appealed, and so the chemical is on the list and we have
3 adopted a safe harbor level for it.

4 There's also a case pending currently in the
5 superior court here in Sacramento. It was brought against
6 OEHHA by Syngenta Crop Protection. It has to do with our
7 proposed adoption of a -- actually our adoption of a safe
8 harbor level for the chemical chlorothalonil. We actually
9 had previously had a safe harbor level for that chemical,
10 and last year we proposed to lower the safe harbor number.
11 And Syngenta disagrees with how we are -- how we adopted
12 that number.

13 That case has not been resolved yet. It's in
14 the -- somewhat the pre -- very early in the process of
15 answering and demurrering and things like that. So
16 hopefully by the time you have your next meeting -- well,
17 not the next meeting, because that's in March. But
18 perhaps by the end of the year, we'll have a resolution in
19 that case.

20 And then I mentioned to you that there was a case
21 that involves your sister committee, the Carcinogen
22 Identification Committee. And in that case, we're in the
23 Alameda County trial court. That case has been pending
24 for over six years, and it was filed by the Sierra Club
25 among others, and -- against the Governor and also the

1 members of the Committee, Dr. Alexeeff, and the Secretary
2 of CalEPA.

3 And it has to do with listing processes for all
4 our listings, other than the formally required that I
5 mentioned early this morning. It has somewhat peripheral
6 impact on this Committee, because it really -- the only
7 thing that affects you directly is the prioritization
8 process for bringing chemicals to the Committee. And
9 there's a challenge to whether or not that's sufficient
10 and quick enough.

11 So we have been working for the last two years to
12 try and settle the case. And we seem to be very close
13 frequently, but we have not yet been able to resolve the
14 case. It was recently set for trial this fall, and we're
15 hoping to either resolve it by trial or by settlement
16 within the next several months.

17 Does anybody have questions on those?

18 I'll let you know if you get sued, okay?

19 Thanks.

20 CHAIRPERSON GOLD: Okay. Are there any other
21 staff updates of which I'm not aware?

22 George.

23 DIRECTOR ALEXEEFF: Sort of a staff update.

24 Well, it's actually a comment. As it was mentioned in the
25 staff updates that we had adopted a MADL, a Maximum

1 Allowable Dose Level, and it was also mentioned in Carol's
2 earlier presentation before lunch, that this Committee has
3 a responsibility to review those documents.

4 So what I was thinking of that maybe we should
5 have an agenda item sometime, either the 18th or the
6 following time, just sort of discussing that process of
7 how we calculate those levels, give some examples, and
8 that kind of stuff, and talk about what type of input, you
9 know, you could give, just because we're trying to
10 increase your interest in that area. So that was kind of
11 one comment.

12 The other one has to do with our next meeting on
13 March 18th. And in that meeting we'll be discussing the
14 chemical deltamethrin. And we have the -- you know, we
15 had already sent you the document, but now we have the
16 public comments. So we'll be giving you the public
17 comments either here or by email, but they're ready to
18 provide to you.

19 And then we are also in the process of making
20 some changes, not a large number of them, but some changes
21 to the original staff document that we had sent you. So
22 we'll be sending you those sometime in the next few days.

23 CHAIRPERSON GOLD: Thank you.

24 Any other staff updates?

25 Okay. The next item is general public comment

1 period.

2 I don't -- I'm not aware of any. Are there other
3 public comments?

4 Hearing none.

5 Then I'll turn it over to Dr. Alexeeff to
6 summarize today's actions.

7 DIRECTOR ALEXEEFF: All right. So, in summary,
8 today, we considered whether xylene has been -- or the
9 Panel considered whether xylene has been shown, through
10 scientifically valid testing, according to generally
11 accepted principles, to cause either developmental
12 toxicity, female reproductive toxicity, or male
13 reproductive toxicity. And it was not found to cause
14 either -- any of those toxicities, in terms of a Committee
15 vote. And the votes were for developmental toxicity, one
16 yes, five no; and, for female and male reproductive
17 toxicity, there were six noes.

18 Also, the Committee also voted to remove several
19 compounds that were recommended by the Department of
20 Pesticide Regulation and U.S. EPA from the list of
21 chemicals that require additional testing.

22 So that's all for the report. And I want to
23 thank the Panel for their, I thought, very thoughtful
24 discussion of the day.

25 CHAIRPERSON GOLD: Okay. I, too, would like to

1 thank the Panel for their careful review of the documents
2 and their articulate statements. And thank the staff for
3 their very hard and diligent work on the chemical that we
4 reviewed today.

5 And hearing nothing further from the Panel or the
6 public, we can draw this meeting to a close. So I wish to
7 say that we'll adjourn now.

8 Thank you all.

9 (Thereupon the Developmental and
10 Reproductive Toxicant Identification
11 Committee adjourned at 2:08 p.m.)

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, and Registered
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the
6 foregoing California Office of Environmental Health Hazard
7 Assessment, Developmental and Reproductive Toxicant
8 Identification Committee was reported in shorthand by me,
9 James F. Peters, a Certified Shorthand Reporter of the
10 State of California, and thereafter transcribed under my
11 direction, by computer-assisted transcription.

12 I further certify that I am not of counsel or
13 attorney for any of the parties to said meeting nor in any
14 way interested in the outcome of said meeting.

15 IN WITNESS WHEREOF, I have hereunto set my hand
16 this 7th day of March, 2013.

17
18
19
20 

21
22
23 JAMES F. PETERS, CSR, RPR
24 Certified Shorthand Reporter
25 License No. 10063

3/16/2013
JPETERS9:50:32
AM;