

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT
IDENTIFICATION COMMITTEE

JOE SERNA JR.
CALEPA HEADQUARTERS BUILDING
1001 I STREET
SIERRA HEARING ROOM
SACRAMENTO, CALIFORNIA

THURSDAY, OCTOBER 11, 2018

10:01 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

COMMITTEE MEMBERS:

Ellen B. Gold, Ph.D., Chairperson

Patrick Allard, Ph.D.

Laurence Baskin, M.D.

Suzan Carmichael, Ph.D.

Ulrike Luderer, Ph.D., M.P.H.

Aydin Nazmi, Ph.D.

Isaac Pessah, Ph.D.

Charles Plopper, Ph.D.

STAFF:

Dr. Lauren Zeise, Director

Mr. Allan Hirsch, Chief Deputy Director

Ms. Carol Monahan Cummings, Chief Counsel

Dr. Marlissa Campbell, Reproductive Toxicology and
Epidemiology Section

Dr. James Donald, Chief, Reproductive Toxicology and
Epidemiology Section

Dr. Poorni Iyer, Reproductive and Cancer Hazard Assessment
Branch

Dr. Allegra Kim, Reproductive Toxicology and Epidemiology
Section

Ms. Michelle Ramirez, Environmental Scientist, Proposition
65 Implementation Program

A P P E A R A N C E S C O N T I N U E D

STAFF:

Dr. Martha Sandy, Chief, Reproductive and Cancer Hazard
Assessment Section

Dr. Lily Wu, Reproductive Toxicology and Epidemiology
Section

ALSO PRESENT:

Mr. John Hewitt, Grocery Manufacturers Association

Dr. Robyn Prueitt, Gradient

Dr. Michael Taylor, NiPERA

Mr. Cosan Joshan Unuvar, Meyer Corporation

I N D E X

	PAGE
I. Welcome and Opening Remarks	1
II. Consideration of Nickel and Nickel Compounds as Known to the State to Cause Reproductive Toxicity (Developmental, Male, and Female Reproductive Toxicity endpoints):	6
Committee discussion	48
Public comments	114
Committee discussion and decision	142
III. Consent Item - Update of the California Code of Regulations Title 27 Section 27000 List of Chemicals Which Have Not Been Adequately Tested as Required	184
IV. Staff Updates	
Chemical listings via the administrative listing mechanisms	187
Proposition 65 litigation	189
V. Summary of Committee Actions	192
Adjournment	194
Reporter's Certificate	195

P R O C E E D I N G S

1
2 DIRECTOR ZEISE: Okay. I think we'll get
3 started. I'd like to welcome every one to this meeting of
4 the Developmental and Reproductive Toxicant Identification
5 Committee. I'm Lauren Zeise. I'm director of the Office
6 Environmental Health Hazard Assessment. And today, we
7 have one main item on the agenda, and that's the
8 consideration of the developmental toxicity of nickel and
9 nickel compounds, based on -- Jim, if you --

10 DR. DONALD: I'm sorry. We'll actually be
11 presenting data not just on developmental toxicity, but
12 also on male and female reproductive toxicity.

13 DIRECTOR ZEISE: Yes, I was getting to that. I'm
14 sorry. So, yes, we'll be consider -- the Panel will be
15 considering the reproductive toxicity of nickel based on
16 three endpoints, developmental toxicity, male reproductive
17 toxicity and female reproductive toxicity.

18 So I guess before we jump into the business of
19 the meeting, I'd like to go through some logistics.
20 First, the meeting is being transcribed and also webcast.
21 So if you could please speak directly into your
22 microphones. And then in terms of the -- just logistics,
23 in the event of an emergency, we walk -- just walk out the
24 exit doors, down the stairs to your right, and through the
25 lobby, and we'll meet across the street.

1 Drinking fountains and restrooms, just walk out
2 the back door there, and turn to your left, and go to the
3 end of the hall. And then, of course, we'll be taking
4 breaks during the meeting for the court reporter.

5 Now, I'd like to introduce our Committee, the
6 Developmental and Reproductive Toxicant Identification
7 Committee. To your left, far left is Dr. Suzan
8 Carmichael, Stanford University School of Medicine; next
9 to her Dr. Laurence Baskin, University of California, San
10 Francisco School of Medicine; then Dr. Patrick Allard,
11 University of California at Los Angeles, Institute for
12 Society and Genetics; next to me is our Chair, Dr. Ellen
13 Gold, University of California at Davis School of
14 Medicine; next to her Dr. Ulrike Luderer, University of
15 California at Irvine School of Medicine; then Dr. Isaac
16 Pessah, University of California at Davis School of
17 Veterinarian Medicine; then Dr. Aydin Nazmi, California
18 Polytechnic State University, Department of Food Science
19 and Nutrition at San Luis Obispo; and then Dr. Charles
20 Plopper, University of California at Davis School of
21 Medicine. So welcome to the Committee.

22 Now, I'd like to introduce OEHHA staff. So
23 starting at the far end of the table facing the Committee
24 is Allan Hirsch, our Chief Deputy Director; next to him is
25 Carol Monahan Cummings, OEHHA Chief Counsel; next to her

1 is Dr. Martha Sandy, Chief of the Reproductive and Cancer
2 Hazard Assessment Branch; then Dr. Allegra Kim, who sits
3 within the section of the Reproductive Toxicology and
4 Epidemiology Section; then Dr. Marlissa Campbell, who's a
5 staff toxicologist in that same section; then Dr. Jim
6 Donald, who's the Chief of the that section; then Dr.
7 Poorni Iyer, who's a staff toxicologist in the section;
8 and then Lily Wu, a staff toxicologist in the section.

9 And then I'd also like to introduce our
10 implementation staff. Esther Barajas-Ochoa, and Michelle
11 Ramirez, and Julian Leichty. So welcome to the staff.

12 Now Carol Monahan-Cummings will be making some
13 introductory comments before I turn the meeting over to
14 Dr. Gold.

15 CHIEF COUNSEL MONAHAN CUMMINGS: All right. Good
16 morning. Before I start, I just wanted to introduce Ryan
17 Mahoney, who's a staff counsel with our office. And he's
18 one of our newer attorneys. But in the event I have to
19 leave for some reason, he'll pop up here and be your
20 attorney until I get back. So this is Ryan.

21 So every meeting I just go through a few
22 reminders for you, since you only meet once a year and you
23 have a lot of other stuff that you do.

24 So, first I'd like to remind you that in your
25 materials, if you have hard-copy materials, there's a tab

1 for the criteria. And that is the listing criteria that
2 was adopted by an earlier version of this Committee, that
3 can help guide you in terms of questions you may have
4 about the criteria you should apply to determining whether
5 a chemical is known to cause reproductive toxicity.

6 So your listing decisions should be based on that
7 criteria, and not considering the future impact of a
8 listing, for example, whether or not warnings would be
9 required. That's handled at a separate part of the
10 process. Your duty is to determine whether a chemical or
11 groups chemicals has been clearly shown through
12 scientifically valid testing, according to generally
13 accepted principles to cause reproductive toxicity in any
14 of the three endpoints.

15 This standard is a scientific judgment call.
16 It's not a legal standard of proof. This Committee can
17 decide to list chemicals based on only animal evidence.
18 There's no requirement that there be -- that a chemical be
19 shown to cause reproductive toxicity in humans, and
20 there's no requirement to determine whether or not the
21 current human exposures to the chemicals are sufficiently
22 enough to cause reproductive toxicity.

23 The members of this Committee were appointed by
24 the Governor, because of your scientific expertise as the
25 State's qualified experts on reproductive toxicity.

1 There's no need to feel that you need to be compelled to
2 go outside that charge. You are a scientific committee.

3 In the event that you feel you have insufficient
4 information or need more time to discuss the issues that
5 are in front of you today, there is no requirement that
6 you make a decision today.

7 You may also decide to list one or more chemicals
8 in this category, but perhaps not the entire group. You
9 may also defer a decision on some or all of the chemicals
10 in the group to a subsequent meeting.

11 So the process is very flexible. It depends on
12 your comfort level, in terms of the evidence that you have
13 before you, and your discussions. Feel free to ask
14 clarifying questions of me, or the other OEHHA staff
15 during the meeting. If we do not know the answer to your
16 question, we'll do our best to find it, and report back to
17 you. That may require that we report back after a break,
18 but we'll do our best to do that.

19 In the event that you have questions we can't
20 answer today, and it affects the -- your decision process,
21 that may be one of the reasons to defer a decision to a
22 later meeting.

23 Do you have any questions?

24 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. Back to
25 you.

1 DIRECTOR ZEISE: Thank you, Carol. And now I'll
2 turn the meeting over to Dr. Gold.

3 CHAIRPERSON GOLD: Thank you, and good morning.
4 So I believe we're going to start with staff presentations
5 first. And, Dr. Donald, I believe you're first on the
6 agenda.

7 (Thereupon an overhead presentation was
8 presented as follows.)

9 DR. DONALD: Sorry. I was told --

10 CHIEF COUNSEL MONAHAN CUMMINGS: Excuse me,
11 before --

12 DR. DONALD: -- I had to turn this on and I
13 forgot.

14 CHIEF COUNSEL MONAHAN CUMMINGS: Excuse me, Jim.
15 Dr. Gold, I think that you were going to make a comment
16 about public comments, about the timing. It's just on the
17 agenda, so I don't know if you want to address it now?

18 CHAIRPERSON GOLD: Okay. So, excuse me. So
19 pursuant to our usual process, each speaker from the
20 public has five minutes. The public comments will follow
21 the staff presentations and then any Committee questions.
22 Then we'll have public comments, and each person has five
23 minutes, except for those that made requests before or by
24 September 11th, and received approval for longer comments.
25 And I believe we have two of those. So blue cards are

1 available in the back table. So if you wish to speak,
2 please fill out one and give it to either Esther or
3 Michelle.

4 Now, Dr. Donald.

5 DR. DONALD: Good morning.

6 --o0o--

7 DR. DONALD: So the Committee today is going to
8 consider nickel and nickel compounds. And these chemicals
9 were selected as potential candidates for consideration
10 under OEHHA's process for prioritizing chemicals for
11 consideration under Proposition 65.

12 Materials that were prepared consistent with that
13 process were presented to the Committee in November of
14 2015. And after deliberation, the Committee recommended
15 these chemicals for consideration for listing. So --
16 excuse me -- I'm beginning with just some general very
17 brief overview points. And then the technical data will
18 be summarized by staff from my section. We'll present in
19 the order of developmental, female reproductive, and male
20 reproductive toxicity.

21 Dr. Allegra Kim will present the epidemiologic
22 data on each of those endpoints. And Drs. Marlissa
23 Campbell, Lily Wu, and Poorni Iyer respectively will
24 present on developmental, female reproductive, and male
25 reproductive toxicity.

1 --o0o--

2 DR. DONALD: Nickel -- excuse me, metallic nickel
3 and various nickel compounds are used in many industrial
4 and commercial applications. Those include stainless
5 steel and other nickel alloys, in catalysts, batteries,
6 pigments, and ceramics.

7 Obviously, nickel compounds encompasses a very
8 wide range of chemical structures. Here are just a few of
9 the more common ones, some of which you have data on
10 reproductive toxicity available to you.

11 --o0o--

12 DR. DONALD: The question of solubility of nickel
13 compounds and its relationship to bioavailability was
14 raised in comments that you received. So this table just
15 posts together a little bit of information on the relative
16 solubility of some of these compounds. The ones that are
17 highlighted in red are those for which data on
18 reproductive and developmental toxicity are included in
19 the hazard identification materials you received.

20 Most of the data, in cases where we know the form
21 of nickel that was used, were for compounds that are found
22 at the top of the table, those that have high solubility
23 in water. But as you can see, there are some compounds
24 which have low or perhaps no solubility in water, for
25 which we do still have reproductive toxicity data, though

1 relatively sparse data.

2 I would also remind, as I'm sure you're aware,
3 that the solubility in water of some of these compounds
4 may differ from its solubility in biological media, such
5 as stomach acid.

6 --o0o--

7 DR. DONALD: The compound at the bottom of the
8 table, nickel carbonyl, one of the insoluble compounds is
9 already listed under Proposition 65 as know to cause
10 reproductive toxicity.

11 That listing is based on the developmental
12 endpoint, and resulted -- or the listing was through the
13 authoritative bodies mechanism and resulted from a formal
14 identification of nickel carbonyl as causing development
15 toxicity by the U.S. Environmental Protection Agency.

16 So at this point, unless the Committee has any
17 questions for me, I will turn this over to Dr. Kim.

18 CHAIRPERSON GOLD: Thank you.

19 Any questions for Dr. Donald by the Committee?

20 Dr. Kim.

21 --o0o--

22 DR. KIM: Epidemiologic studies that examined
23 nickel as causing development toxicity included a broad
24 array of outcomes, including spontaneous abortion, fetal
25 growth parameters such as birth weight, congenital

1 malformations, autism spectrum disorders, or ASD, and
2 transplacental carcinogenicity.

3 --o0o--

4 DR. KIM: Most of the occupational studies
5 included in the hazard identification materials were
6 conducted in the same area, the Kola Peninsula of
7 northwestern Russia, which is in the center of this map.

8 --o0o--

9 DR. KIM: There were nine -- excuse me. There
10 were two occupational studies of spontaneous abortion
11 among workers in nickel refineries on the Kola Peninsula

12 The 1994 study by Chashschin et al. is the
13 earliest epidemiologic study of nickel and reproductive
14 toxicity that OEHHA identified. Chashschin reported that
15 women working in nickel hydrometallurgy were 1.8 times as
16 likely to report a spontaneous abortion than women working
17 in construction. No statistical tests were reported.

18 The Vaktskjold et al. 2008a paper reported a
19 case-control study focused on spontaneous abortion among
20 workers at a nickel, cobalt, and copper refinery complex.
21 The exposure assessment for this study was also used in
22 several other studies in the hazard identification
23 document, and this presentation, so I'll describe it
24 briefly.

25 Measurements of about 500 individual nickel

1 refinery workers' urinary nickel concentrations and the
2 water soluble subfraction of the inhalable nickel aerosol
3 fraction in their work environments were taken in 1995 to
4 2001. Using these measurements and knowledge of refining
5 processes and occupations, each refinery job was
6 categorized as having background, low, or high exposure to
7 nickel.

8 The 2008 study by Vaktskjold et al. included two
9 distinct analyses. In the questionnaire analysis, the
10 adjusted odds ratio for nickel exposure -- excuse me, the
11 adjusted odds ratios for nickel exposure were 1.39 for low
12 exposure compared to background and 1.27 for high
13 exposure, and were not statistically significant.

14 In the birth registry analysis, the odds ratio
15 for spontaneous abortion in nickel exposure adjusted for
16 maternal smoking was 1.1 and was not significant.

17 --o0o--

18 DR. KIM: Ten studies examined nickel -- exposure
19 to nickel as a risk factor for fetal growth restriction,
20 indicated by the following parameters:

21 Birth weight, a continuous variable; low birth
22 weight defined as birth weight less than 2,500 grams,
23 small for gestational age, or SGA, defined as weight below
24 the 10th percentile for gestational age; body mass index
25 of the child, or BMIC, and; head circumference.

1 Nickel exposures were assessed by measuring
2 nickel in maternal and cord blood, urine, placenta, air
3 pollution, and soil, and by refinery occupation category.

4 --o0o--

5 DR. KIM: Three studies of fetal growth included
6 measurement of nickel in blood, urine, or placenta.
7 Odland and co-authors measured maternal blood in urine,
8 infant urine, and in the 2004 study placenta. None of
9 these studies reported statistically significant effects
10 of nickel exposure on birth weight. There was a
11 non-significant association between nickel in placenta and
12 infant weight adjusted for gestational age and country.
13 The association was smaller in multivariate analyses.

14 Hu et al. reported that nickel in maternal or
15 umbilical cord -- in maternal or umbilical cord blood was
16 not statistically significantly associated with birth
17 weight.

18 --o0o--

19 DR. KIM: This forest plot shows low birth weight
20 results from five cohort studies. Each of these studies
21 examined nickel as PM2.5 or particulate matter less than
22 2.5 microns in diameter. Nickel PM0.1 and Nickel PM10
23 were also examined in one study each. The studies were
24 large and reported small associations between nickel
25 particulates and fetal growth parameters, though they did

1 not separate the potential effects of nickel from those of
2 all co-pollutants.

3 The first study on this chart by Bell et al.
4 examined nickel PM2.5 and found an interquartile range, or
5 IQR, increase in nickel PM2.5 was associated with an 11
6 percent increase in risk of low birth weight as shown.

7 Bell et al. also reported a significant seven
8 gram reduction in mean birth weight associated with
9 nickel, which is not shown in this chart.

10 Ebisu and Bell also examined nickel PM2.5 and
11 found the adjusted odds of low birth was 5.7 percent
12 higher per IQR increase in PM2.5 nickel, adjusted for
13 confounders but not co-pollutants. The association
14 between low birth weight and nickel was robust to
15 adjustment for single co -- excuse me, co-pollutants with
16 correlations less than 0.5 in two-pollutant models.

17 Basu and colleagues found no significant changes
18 in odds of low birth weight associated with nickel, as
19 shown here. They did report a statistically significant
20 one gram decrease in birth weight with an IQR increase in
21 nickel exposure.

22 Laurent et al. found that nickel exposure was
23 associated with statistically significant one percent
24 increase in odds of low birth weight; for nickel PM2.5,
25 the first row for Laurent in this -- on the chart, and

1 nickel PM0.1, the second row on the chart, in each
2 trimester and over the entire pregnancy.

3 Pedersen and co-authors examined effects of
4 particulate matter, including nickel PM2.5 and nickel PM10
5 on infant size in European -- several European cohorts.
6 Odds ratios for low birth weight were 1.14 for nickel
7 PM2.5, and 1.29 for nickel PM10 in single-pollutant
8 models, adjusted for potential confounders, but not
9 co-pollutants, and they were not significant.

10 Pedersen also reported that nickel PM2.5 and
11 nickel PM10 were statistically significantly associated
12 with reduced head circumference, which is not on the
13 chart. Adjusted betas for head circumference were at
14 negative 0.6 for nickel PM2.5, and negative 0.46 for
15 nickel PM10.

16 Adjustments for sulfur particles and particle
17 mass concentration attenuated these associations, but they
18 remain significant, except for the beta for nickel PM10
19 adjusted for sulfur PM10.

20 --o0o--

21 DR. KIM: Vaktskjold and colleagues' 2007 cohort
22 study used nickel refinery occupational exposure
23 categories described earlier, and reported an adjusted
24 odds ratio for small for gestational age, or SGA births,
25 of 0.84, which is a statistically significant protective

1 association.

2 Another retrospective cohort stud by McDermott et
3 al. examined low birth weight in relation to geospatially
4 modeled concentrations of nickel, and seven other metals
5 in soil, and reported no association between nickel and
6 soil and risk of low birth weight.

7 --o0o--

8 DR. KIM: Seven studies examined associations
9 between nickel and congenital malformations including:
10 Any birth defects, neural tube defects, genital
11 malformations, musculoskeletal defects, and cardiovascular
12 defects.

13 Exposures were assessed by occupation and
14 measuring nickel in soil, fetal tissues, and newborns'
15 hair.

16 --o0o--

17 DR. KIM: There were three occupational studies
18 of nickel and malformations among nickel refinery workers
19 on the Kola Peninsula. Chashschin et al.'s 1994
20 cross-sectional study, mentioned earlier, reported
21 elevated risks of malformations associated with nickel
22 work and hydro -- with work in nickel hydrometallurgy
23 compared to non-nickel exposed work. Relative risks were
24 2.9 for all structural malformations, 6.1 for
25 cardiovascular defects, and 1.9 for musculoskeletal

1 defects. All were reported to be statistically
2 significant.

3 Vaktskjold and colleagues 2006 and 2008 case
4 control studies used the Kola Birth Registry and the
5 occupational exposure assessment described earlier. The
6 adjusted odds ratio for nick -- for nickel exposure above
7 background and any genital malformations, undescended
8 testes, or musculoskeletal defects were less than one and
9 not statistically significant.

10 --o0o--

11 DR. KIM: Two studies, both in Shanxi Province in
12 China, where the prevalence of malformations is extremely
13 high examined associations with nickel and soil.
14 Exposures were assessed by village and not for
15 individuals.

16 Mean soil nickel concentrations in these studies
17 were almost identical: 41.3 micrograms per gram in the
18 study by Huang et al. and 41.7 in Zheng.

19 Huang and colleagues focused on neural tube
20 defects, or NTDs, and concluded that nickel had, what the
21 authors called, layered level effects on prevalence of
22 NTDs, with the lowest prevalence in areas with
23 intermediate soil nickel concentration, while highest soil
24 nickel was associated with medium NTD prevalence, and the
25 lower soil nickel concentration was associated with high

1 NTD prevalence. They did not report statistical
2 significance. Other metals also showed layered level
3 effects.

4 Zheng et al. considered all birth defects and
5 found a statistically significant dose-dependent decrease
6 in risk of birth defects associated with higher
7 concentrations of nickel in soil.

8 However, Zheng et al.'s lowest nickel category
9 was less than 37.5 micrograms per gram, which is higher
10 than the threshold for the high nickel concentration that
11 Huang et al. used.

12 --o0o--

13 DR. KIM: Friel and colleagues compared nickel
14 concentrations in tissues of anencephalic and control
15 fetuses, and found no differences in nickel concentrations
16 between the two groups.

17 Manduca et al. measured metals in hair of
18 newborns and found no differences in nickel concentrations
19 between infants with and without birth defects.

20 --o0o--

21 DR. KIM: Seven studies examined effects of pre-
22 and perinatal nickel exposure on risk of autism spectrum
23 disorder or ASD. The first three studies on this table
24 were included in the hazard identification document
25 published on July 27. The other four were provided in

1 September.

2 Most were case control studies and used air
3 monitoring data to estimate exposure to nickel in ambient
4 air. This table shows the ambient air nickel
5 concentrations reported in these studies.

6 Windham and colleagues studied air pollution and
7 ASD in the San Francisco Bay Area. The odds ratio for
8 nickel and ASD was 1.46 and was statistically significant.

9 Kalkbrenner et al. conducted their study in West
10 Virginia and North Carolina. And much of their study area
11 was very rural. Nickel concentrations were much lower
12 than in the Windham study. The authors selected children
13 with speech and language impairment as a control group.
14 Nickel was not associated with ASD in this study.

15 Roberts et al. conducted a case control study
16 nested within a national cohort. The adjusted odds ratio
17 for the fifth quintile of nickel exposure compared to the
18 first quintile was 1.65, and was statistically significant
19 as shown. This study also showed a dose-dependent effect
20 among boys.

21 The McCanlies study was a small pilot study.
22 Occupational nickel exposure was not significantly
23 associated with ASD.

24 The studies by von Ehrenstein et al., Talbott et
25 al., and Kalkbrenner in 2018 reported no statistically

1 significant associations for nickel and ASD.

2 --o0o--

3 DR. KIM: Three registry-case -- registry-based
4 case control studies examined nickel exposure as a risk
5 factor for transplacental carcinogenicity, that is, cancer
6 resulting from prenatal exposure.

7 Heck and colleagues used the California Cancer
8 Registry to ascertain cases of neuroblastoma and
9 retinoblastoma and air toxics data to assess exposure.
10 The adjusted odds ratio for neuroblastoma and an IQR
11 increase in average nickel exposure over the pregnancy was
12 1.08 for births within five kilometers and 0.67 for births
13 within 2.5 kilometers.

14 The adjusted odds ratio for retinoblastoma and
15 IQR increase in nickel exposure was 1.48, and was
16 statistically significant.

17 Togawa et al. used data from Scandinavian
18 registries to study occupational exposure to heavy metals
19 and welding fumes and risk of testicular germ cell tumors
20 in offspring. There were two types of exposure
21 assessment.

22 The odds ratio for any paternal nickel exposure
23 with or without exposure to other metals and welding fumes
24 was 1.07, and for any maternal exposure the odds ratio was
25 1.07. Both of these were not significant.

1 The second type of exposure assessment used
2 exposure indices, which were calculated as the product of
3 the proportion exposed and the mean level of nickel
4 exposure. The odds ratios for paternal and maternal
5 nickel exposure index with testicular germ cell tumors
6 were not significantly different from one.

7 --o0o--

8 DR. KIM: Sorry.

9 Ni et al. conducted this cross-sectional study in
10 an electronic waste recycling town to examine co-exposure
11 to heavy metals as risk factors for oxidative damage to
12 DNA. The beta for nickel in umbilical cord blood 8-OHdG,
13 a marker of oxidative damage to DNA, was 0.215, adjusted
14 for other metals, which were lead, cadmium, chromium, and
15 potential confounders, and was statistically significant.
16 8-OHdG was more strongly associated with nickel than with
17 other metals.

18 --o0o--

19 CHAIRPERSON GOLD: Dr. Campbell, go ahead.
20 Just -- your turn.

21 DR. CAMPBELL: Yeah. Eight studies of the
22 developmental toxicity of nickel were conducted in rats by
23 the oral route. Seven studies were conducted in mice by
24 the oral route. Only one study was identified that
25 exposed pregnant rats to nickel by inhalation. And

1 additional studies were conducted in rats and mice, and
2 one in hamsters by various types of injection protocols.

3 --o0o--

4 DR. CAMPBELL: These are the studies conducted in
5 rats by the oral route. And rather than walk through each
6 individual study in detail, I just want to comment on the
7 overall data set. With the exception of the two Siglin
8 studies, which used nickel sulfate as the test compound,
9 all of these studies used nickel chloride for the oral
10 studies.

11 It's worth noting that most of these, while
12 providing information relevant to developmental toxicity,
13 were actually reproductive toxicity studies, and therefore
14 didn't necessarily and consistently perform detailed
15 morphological examinations for external, internal, and
16 skeletal abnormalities.

17 Therefore, while the discussion of the data is
18 going to emphasize considerations of viability and growth,
19 it shouldn't be assumed that nickel lacks the potential to
20 cause morphological effects.

21 --o0o--

22 DR. CAMPBELL: This graph shows mean live litter
23 size in rats for those oral studies that reported it. The
24 data are normalized as a percent of controls. Just to
25 facilitate visual comparison of results across multiple

1 studies. Some of the studies noted on the previous slide
2 were excluded from this graph, either because OEHHA did
3 not have access to the original study report or because a
4 data on live litter size wasn't reported in that study.

5 Doses of the various nickel compounds have --
6 well, there's only two, but have been converted to
7 milligrams nickel per kilogram body weight, and grouped
8 into ranges just to show the relationship between dose of
9 nickel and magnitude of effect. Each color represents a
10 different study or a separate generation or litter cohort
11 within that generation -- within a generation.

12 The "a" tag indicates a statistically significant
13 change at the P less than 0.01 level as was reported by
14 the specific study paper.

15 Statistically significant decreases in litter
16 size were seen only at the highest dose levels for two of
17 the different studies in rats.

18 --o0o--

19 DR. CAMPBELL: This graph is similar to the
20 previous slide, but shows mean fetal weight normalized as
21 percent of controls.

22 --o0o--

23 DR. CAMPBELL: Moving on to oral studies
24 conducted in mice. All of these -- all of these studies
25 use nickel chloride as the test compound. On the

1 left-hand column, those three studies are the most recent
2 whole animal developmental toxicity studies we identified,
3 and all of them were conducted in the same lab.

4 Nickel chloride was given at different stages of
5 prenatal development in order to evaluate the effects of
6 exposure timing as well as dose. And the right-hand
7 column shows three additional studies, all of which
8 involved treatment given either just restricted to
9 organogenesis or throughout most of gestation.

10 --o0o--

11 DR. CAMPBELL: And this is just -- before we look
12 more closely at the mouse developmental toxicity data, I
13 wanted to point out that it -- it might superficially seem
14 as if mice are more sensitive than rats to the effects of
15 nickel on development. But what happened was, in fact,
16 the test doses used in mice were much higher in general
17 than those used in rats. And on this graphic, the doses
18 given to mice are represented in red, while the doses
19 given to rats are in blue.

20 --o0o--

21 DR. CAMPBELL: This slide looks specifically at
22 birth weight data from the Saini 2014b study. And they
23 actually, within one study, gave nickel at different
24 stages of gestation. And those stages are represented in
25 this graph by the different colored bars, blue bars for

1 treatment during pre-implantation, red bars for treatment
2 during organogenesis, and green bars for treatment during
3 the fetal stage.

4 The horizontal axis here shows live litter size
5 as percent of controls, while the vertical axis shows a
6 dose of nickel, and then the bars are grouped together by
7 dose.

8 What you can see is the high dose of 185
9 milligrams nickel per kilogram per day was associated with
10 reduced pup viability following treatment at any of the
11 time points during gestation.

12 The mid-dose -- at the mid-dose of 92 milligrams
13 per kilogram per day, a significant decrease in viability
14 was seen only with the exposure on gestation day 0 through
15 5, the pre-implantation period. No effects on live litter
16 size were seen at the low -- lowest dose used of 46
17 milligrams nickel per kilogram per day no matter what
18 stage of gestation the treatment was given.

19 --o0o--

20 DR. CAMPBELL: This graph was constructed, like
21 the previous 3D graphs I showed you, for the rat studies
22 of normalized data. In this case, live litter size in
23 mouse studies is -- is represented as a percent of
24 control. But the doses -- most of the studies use the
25 same set of doses, so it wasn't necessary to group them up

1 into ranges in order to create the horizontal axis.

2 Many points show statistically significant
3 decrements in viability of exposed mouse offspring,
4 particularly at the dose of 185 milligrams per nickel per
5 kilogram per day. Although it should be noted that all of
6 those studies were from the Saini lab, while similar and
7 higher doses from other labs didn't show significant
8 effects on viability.

9 --o0o--

10 DR. CAMPBELL: Turning from viability to weight
11 data, this slide shows the birth weight data from the
12 Saini et al. 2014b study. The birth weights normalized as
13 percent of control. And again, the different bars
14 represent the different exposure periods. The clumps of
15 bars represent a different dose, and with the lowest dose
16 on the bottom.

17 And what you can see here is a significant
18 increase in weight decrements with increasing dose, as
19 well as an effect of the time of exposure. Nickel
20 exposure at the high dose was associated with decreased
21 birth weights whenever it was given.

22 And treatment during our organogenesis
23 represented -- during organogenesis at gestation 6 through
24 13 represented by the red bars produced a significant
25 adverse effect at all of the test doses given.

1 --o0o--

2 DR. CAMPBELL: Now, we turn to something a little
3 bit different. The Saini 2014 study not only compared the
4 effects of prenatal nickel exposure at birth, but the
5 exposed offspring were then followed through the first six
6 weeks of postnatal life with no additional administration.

7 And the next three slides just show the effects
8 of both dose and days of exposure on postnatal pup growth
9 starting here with nickel exposure during the
10 pre-implantation period, or gestation days 0 through 5.
11 The vertical axis on this slide is actual mean weight in
12 grams and the data are not normalized.

13 Here, the different colored bars represent
14 progressive weeks of postnatal age and then the clumps
15 represent each dose. At the highest dose, you can see
16 reductions in pup weight for each of the first six
17 postnatal weeks following this very early gestational
18 exposure. Significant effects were also seen at the
19 middle dose used of 92 milligrams nickel per kilogram per
20 day at postnatal weeks 1 and 6.

21 --o0o--

22 DR. CAMPBELL: Now, this next slide in the set
23 shows the effects on postnatal growth of nickel exposure
24 restricted to organogenesis, gestation days 6 through 13.
25 And what you see is there were significant decreases in

1 pup body weights at all doses and in each postnatal week
2 from birth through postnatal week 6.

3 --o0o--

4 DR. CAMPBELL: And the last one in this set shows
5 the effects on postnatal growth of exposure to nickel
6 limited to gestation days 14 through 18, the fetal period.

7 With this late gestation exposure no effects on
8 post-natal weights were seen at the lowest dose of 46
9 milligrams per kilogram per day, but significant effects
10 were seen each week with the two higher doses of 92 and
11 185 milligrams per kilogram per day.

12 What we don't know is what mechanism might occur,
13 so that these gestation nickel exposures continue to
14 affect post-natal growth or at what point, if ever, in
15 their lifespan that adverse influence might stop.

16 --o0o--

17 DR. CAMPBELL: Woops.

18 Okay. And this one just again shows the
19 normalized data across the mouse oral studies for fetal or
20 birth weights following prenatal exposure to nickel.
21 Findings across studies showed somewhat similar pattern to
22 the earlier slide comparing live litter size across
23 studies. Again, significant decreases were seen in all
24 the studies by Saini et al. with doses as low as 467
25 milligrams per kilogram per day when nickel was given

1 during organogenesis.

2 --o0o--

3 DR. CAMPBELL: A question.

4 Sure. Yeah.

5 CHAIRPERSON GOLD: Dr. Allard.

6 COMMITTEE MEMBER ALLARD: Yeah. I just have a
7 question with regards to Saini studies. Do you guys look
8 into whether those were indeed separate studies, because
9 it seemed to me a little bit surprising that one study
10 would look at all three different developmental periods,
11 and then they publish another paper with just one
12 development period, then another paper with another
13 developmental period. And then again, a paper with all
14 three different development periods all in one paper. And
15 I was just wondering whether that was just one data set
16 perhaps that was divided into different papers?

17 DR. CAMPBELL: I have -- I'd have to look again
18 to be 100 percent certain, but I think they were separate,
19 because in the other ones they did sacrifice before birth
20 and not follow them through post-natally.

21 COMMITTEE MEMBER ALLARD: Okay.

22 DR. CAMPBELL: But we can -- you know we can
23 double check that. Sometimes they kind of fool you, you
24 know.

25 COMMITTEE MEMBER ALLARD: Okay.

1 DR. CAMPBELL: Okay. Is that --

2 COMMITTEE MEMBER ALLARD: Yeah.

3 DR. CAMPBELL: Alrighty.

4 --o0o--

5 DR. CAMPBELL: Okay. This is slide shows the
6 details of the only available inhalation developmental
7 toxicity study in lab animals. In this case, pregnant
8 Wistar rats were exposed to nickel oxide aerosols at
9 concentrations of 0, 0.8, 1.6, or 3.2 milligrams per cubic
10 meter. Exposure was continuous from gestation day 0
11 throughout gestation, until sacrificed for evaluation on
12 gestation day 21.

13 Maternal weight was significantly decreased at
14 all three exposure levels. Fetal weights were
15 significantly decreased in two -- at the two higher
16 concentrations of nickel oxide. They did not provide data
17 on live litter size or any other objective measures of
18 fetal viability, but the numbers of fetuses were stated to
19 have been unaffected by treatment.

20 --o0o--

21 DR. CAMPBELL: There were an additional eight
22 publications on experiments conducted by various injection
23 methods in rats, mice, or hamsters. While injection is
24 generally not a preferred route for animal studies as it's
25 less relevant than oral or inhalation to human exposures,

1 these are included for completeness and also give a bit of
2 a look at some of the other nickel compounds.

3 Although these -- this slide just shows four
4 studies that were done by intraperitoneal injection. And
5 they're all some form of nickel chloride. There's one in
6 rats and three in mice. And just to make some general
7 comments, each of these studies involve dosing on a single
8 gestational day. In some cases, different days and/or
9 different doses were compared. As was the case for oral
10 exposures, both dose and day of exposure influenced
11 outcome.

12 --o0o--

13 DR. CAMPBELL: And this slide just shows the
14 remaining injection studies. And I'm not going to go
15 through these in detail. But I did want to comment on the
16 one at the bottom, the Sunderman et al. 1983. It gave
17 nickel subsulfide to female rats by intrarenal injection
18 at a dose of 30 milligrams nickel per kilogram body weight
19 at one week prior to breeding, so before the animals were
20 pregnant.

21 The treatment was said to have resulted in
22 intense erythrocytosis in the adult animals. Their pups
23 were found to have had reduced hematocrits at two weeks
24 postnatal age, though those values normalized as the pups
25 matured and began to eat rat chow.

1 The offspring of the treated dams also had
2 significantly reduced body weights at two and four weeks
3 postnatal age. These findings could indicate a postnatal
4 developmental effect on offspring following pre-mating
5 maternal exposure to a nickel compound. Although, of
6 course, it's totally unclear if the effect was specific to
7 the subsulfide form and/or to the unusual intrarenal route
8 that they used.

9 --o0o--

10 DR. CAMPBELL: Just to conclude with a brief
11 summary on the overall human and animal data pertaining to
12 developmental toxicity. The human studies included five
13 cohort studies of air pollution, which all reported small,
14 but statistically significant, associations between
15 exposure to nickel particles in ambient air, and adverse
16 effects on measures considered to represent fetal growth.

17 Results from studies of effects of nickel on
18 autism spectrum disorder, ASD, spontaneous abortion,
19 congenital defects, and pre-term birth were inconsistent.
20 Among the animal studies, regardless of species or route,
21 the most sensitive and commonly reported adverse effects
22 of prenatal exposure to nickel were reductions in
23 viability and reductions in body weights of surviving
24 offspring. Both the dose of nickel and the timing of
25 exposure were observed to impact the frequency and

1 severity of effects.

2 And, at this point, we'll just pause if there's
3 any questions from the Committee on either the human or
4 animal developmental toxicity presentations.

5 CHAIRPERSON GOLD: Any questions from Committee
6 members for the staff so far?

7 Okay. I think not, so we can move on with Dr.
8 Wu.

9 --o0o--

10 DR. KIM: Three epidemiologic studies examined
11 effects of nickel on the female reproductive system.
12 Outcomes were fecundity, clinical characteristics of
13 polycystic ovary Syndrome, or PCOS, and pre-eclampsia.

14 Bloom et al. measured nickel and other metals in
15 blood in a cohort of anglers, and reported that nickel was
16 not associated with time to pregnancy, an indicator of
17 fecundity.

18 Zheng et al. examined metals in relation to
19 clinical characteristics related to PCOS in a case control
20 study. A one microgram per liter increase in serum nickel
21 was associated with a 12.6 percent reduction in sex
22 hormone binding globulin adjusted for age, BMI, and
23 waist-to-hip ratio.

24 Maduray et al. Reported that mean serum nickel
25 was lower in pre-eclamptic women than in normotensive

1 women though the difference was not statistically
2 significant.

3 And to Dr. Wu.

4 --o0o--

5 DR. WU: The literature identified on animal
6 studies of female reproductive toxicity of nickel
7 encompassed several endpoints. The uterus was not a well
8 studied endpoint. There were a few studies on the ovary
9 and a multi-generation reproductive toxicity study that
10 reported on estrous cyclicity. Numerous studies discussed
11 reproductive index endpoints. Finally, three studies on
12 milk composition were identified and the secretion of
13 prolactin was also identified as an endpoint of nickel
14 exposure.

15 --o0o--

16 DR. WU: In an in vitro study by Rubanyi and
17 Balogh examined the effect of nickel chloride using
18 uterine tissue from Wistar rats. Nickel had dual action
19 on uterine spontaneous contractions. In low
20 concentrations, ten to the minus seven to 10 to the minus
21 fifth molar, nickel chloride increased basal tone
22 significantly, but had no effect on the amplitude or
23 frequency of development or isometric force.

24 High concentrations of nickel chloride ten to the
25 minus fourth to ten to the minus third molar inhibited

1 spontaneous contractile activity and decreased basal tone,
2 which was antagonized by elevation of the extra cellular
3 concentration of calcium. Exposure to nickel also caused
4 mitochondrial structural damage and accumulation of
5 glycogen.

6 --o0o--

7 DR. WU: The effects of nickel vary from
8 histological changes to functional alterations in the
9 ovary. Nickel has been reported to disturb regular
10 ovarian cycles, induce a dose-dependent anovulation, alter
11 the secretion of several hormones, notably progesterone
12 and cause histological alterations. Changes in weight and
13 signs of oxidative stress were also noted.

14 --o0o--

15 DR. WU: In a multi-generation reproductive
16 toxicity study by Siglin et al. from Springborn
17 Laboratories, different incidences of natural -- naturally
18 occurring estrous cycles were reported for F0 and F1
19 females as shown in the first two bullets. The mean cycle
20 lengths of F0 and F1 females were between four and six
21 days long which is nearly a textbook definition of normal
22 cycling in rats.

23 Oral administration of nickel sulfate hexahydrate
24 over the course of two generations at dosage levels of up
25 to ten milligrams per kilogram per day had no

1 toxicologically meaningful differences reported among the
2 groups with respect to estrous cycling fertility indices,
3 gestation lengths or the onset of sexual maturation in the
4 F1 rats.

5 Albeit, the mean estrous cycle length appeared
6 longer in the F1 generation as a result of treatment with
7 nickel sulfate hexahydrate. OEHHA ran the Fisher's test
8 for statistical significance comparing the controls to the
9 treated groups and found the cycle lengths greater than
10 ten days in the F1 generation approached statistical
11 significance for the ten milligram per kilogram per day
12 group. The exact trend test had a p-value of 0.053.

13 --o0o--

14 DR. WU: Six studies were identified that
15 examined endpoints which were encompassed by reproductive
16 index. Nickel and nickel compounds might have effects on
17 measures of reproductive index. However, it is difficult
18 to pinpoint as the study designs evaluated are complicated
19 by variables, including mating parameters, maternal fetal
20 interaction, and period of evaluation.

21 Measures of reproductive index are more clear
22 when evaluated as a whole with consideration of
23 developmental toxicity endpoints. After oral
24 administration or injection of nickel chloride during
25 pregnancy in mice and rats, an increase in fetal death and

1 reductions in body weight of fetuses and offspring were
2 reported.

3 Intraperitoneal injections of nickel chloride in
4 mice on the first day of gestation were reported to have a
5 higher frequency of both early and late resorptions, and a
6 higher frequency of stillborn and abnormal fetuses.

7 --o0o--

8 DR. WU: Maternal fetal transfer of nickel occurs
9 in mammals via the placenta, and nickel has been detected
10 in amniotic fluid and fetal blood. Nickel has also been
11 detected in milk.

12 --o0o--

13 DR. WU: Three studies of the effects of nickel
14 on milk composition were identified. In cows, adding
15 nickel to their diet produced no significant effect on
16 milk production, milk composition, animal health or feed
17 consumption. The solids, lipids, lactose, and fatty acids
18 that compose milk were altered as a result of nickel
19 exposure in rats. In rodent studies, changes in milk
20 quality and production were shown after exposure to nickel
21 chloride.

22 Reductions in liver weight in suckling pups were
23 observed. And pups consuming milk from nickel-exposed
24 mothers were observed to gain less weight.

25 --o0o--

1 DR. WU: Prolactin secretion is reduced by
2 exposure to nickel chloride in the dam. Secretion of
3 prolactin is a normal pituitary function. Abnormal female
4 prolactin patterns are known to alter the onset of
5 maternal behavior needed for successful nurturing of
6 young. It is possible that this action contributes to the
7 changes in milk production and milk quality observed in
8 nickel exposed rodents, as well as to negative
9 consequences on the cycling offspring as suggested by
10 perinatal mortalities reported in some studies.

11 --o0o--

12 DR. WU: The endpoints examined in epidemiology
13 studies and animal studies were widely varied. Human
14 studies of female reproductive effects of nickel examined
15 fecundity, hormonal effects, and pre-eclampsia. One study
16 reported serum nickel was associated with a reduction in
17 sex hormone binding globulin.

18 Studies in the animals reported adverse effects
19 of nickel exposure on estrous cyclicity, release of some
20 hormones associated with reproductive function, and
21 alterations to the uterus and ovary. There were also
22 studies on the effects of nickel -- there were also
23 studies on the effects of nickel on the neuroendocrine
24 control of prolactin in rodents and negative effects in
25 offspring following changes in milk composition, after the

1 dams exposure to nickel and nickel compounds.

2 This concludes the presentation of female
3 reproductive toxicity. If there's any questions, I'd be
4 happy to answer them.

5 CHAIRPERSON GOLD: Thank you.

6 Any questions from the Committee for Dr. Wu or
7 Dr. Kim?

8 Okay. So we'll proceed to male reproductive.
9 Dr. Kim, are you starting.

10 --o0o--

11 DR. KIM: Yeah.

12 Nine studies examined associations between nickel
13 exposure and effects on the male reproductive system.
14 Nickel exposures were measured by personal air monitoring
15 and in urine, semen, and blood. Outcomes were hormone
16 levels and sperm and semen parameters, including sperm
17 morphology, concentration, volume, motility, vitality, DNA
18 integrity, and apoptosis.

19 --o0o--

20 DR. KIM: Danadevi et al. measured nickel and
21 chromium in blood of welders and unexposed men. Nickel
22 and chromium were each associated with tail defects,
23 viability, greater percent of sperm with slow or
24 non-linear motility, and smaller percent of rapidly linear
25 progressive sperm.

1 Nickel was more strongly associated than chromium
2 with the percent of sperm with slow or non-linear
3 motility. Hexavalent chromium is on the Proposition 65
4 list as causing male and female reproductive and
5 developmental toxicity. Observed associations for nickel
6 may be attributable in part to chromium, and possibly to
7 other exposures associated with welding.

8 The three studies that analyzed nickel in semen
9 were conducted in infertility centers. Slivkova et al.
10 and Skalnaya et al. both reported no differences in semen
11 quality. In the study by Skalnaya et al. Nickel
12 concentrations were much higher than in the Slivkova
13 study. And nickel was associated with semen volume below
14 the reference level, as were copper and manganese and
15 molybdenum.

16 Zafar et al. -- Zafar reported that nickel in
17 seminal plasma was associated with reduced sperm
18 concentration, semen volume, and sperm motility. Results
19 for cadmium were similar. Of the 17 trace metals the
20 authors analyzed, nickel was highly correlated with
21 cadmium, copper, tin, and vanadium.

22 --o0o--

23 DR. KIM: This graph from the study by Zafar et
24 al. shows that men with normal sperm counts tended to have
25 a relatively moderate semen nickel concentration, while

1 those classified as oligospermic meaning there were sperm,
2 but the concentration was less than 20 million sperm per
3 milliliter, generally had the lowest semen nickel. Men
4 classified as azoospermic, or having no sperm, had the
5 highest semen nickel concentration. A similar pattern was
6 seen for cadmium, which is also on the Proposition 65 list
7 for male reproductive and developmental toxicity.

8 Analyses for -- were for single metals in this study.

9 --o0o--

10 DR. KIM: Both studies on this slide examined
11 associations between urinary metal concentrations and
12 semen quality in men attending infertility clinics.

13 Zeng et al. reported nickel was -- quote, "Nickel
14 was significantly associated with an increasing trend for
15 sperm abnormal head. The p for trend was 0.03. When
16 multiple metals were included, nickel and chromium
17 remained in the model and the trend test for the
18 association between percent abnormal head and nickel
19 concentration was significant with p equal to less --
20 equal to 0.01. Sorry.

21 Zhou et al. reported that nickel concentrations
22 in the fourth quartile were associated with increased
23 comet tail length, indicating increased damage to sperm
24 DNA, adjusted for multiple metals and other confounders.

25 --o0o--

1 DR. KIM: Zeng et al. studied men presenting at a
2 reproductive center. Third quartile urinary nickel was
3 associated with lower serum testosterone, but nickel was
4 not retained in the model with other metals.

5 Sancini and colleagues conducted a
6 cross-sectional study among police working outdoors to
7 evaluate the correlation between occupational exposure to
8 low levels of nickel in urban pollution and plasma
9 testosterone values. The authors performed personal
10 dosimetry to assess environmental air exposure for 12
11 subjects. Nickel in air was highly and significantly
12 associated with urinary nickel. Log urinary nickel was
13 negatively associated with log plasma testosterone in the
14 entire sample.

15 Wang et al. selected men from a hospital
16 reproductive center. The highest quartile of nickel
17 exposure was associated with an adjusted 20 percent
18 decrease in total testosterone to luteinizing hormone
19 ratio compared to the lowest quartile. Adjustment for
20 other metals attenuated the association to 14 percent, but
21 it remained significant.

22 And to Dr. Iyer.

23 --o0o--

24 DR. IYER: After exposure to nickel salts, such
25 as nickel sulfate or nickel chloride, a number of effects

1 on the male reproductive system were examined in several
2 animal studies. These include effects on sperm. Other
3 endpoints investigated include histopathological effects
4 and reproductive hormone changes. Biochemical effects,
5 such as lipid peroxidation and oxidative stress were also
6 examined.

7 While I'm presenting these effects as four
8 distinct endpoints, there is an overlap of these findings
9 in some studies with more than one endpoint being
10 examined.

11 I will summarize the findings in the next few
12 studies, the next few slides, starting first with the
13 effects on sperm.

14 --o0o--

15 DR. IYER: So examining the effects on sperm.
16 These include effects such as morphology as in
17 abnormalities, motility and effects on sperm count, which
18 is indicative of mortality. Over ten study reports
19 presented findings of dose-related increase in abnormal
20 sperm in seven species. Abnormal -- abnormalities were in
21 the head, neck an tail region of the sperm.

22 In rats and mice, sperm count, as a measure of
23 mortality, and sperm motility was significantly reduced by
24 nickel in 10 studies. And in some of these included the
25 studies that also presented abnormalities. And this

1 reduction was in sperm motility and mortality that was
2 noted, was noted in both rats, fed a protein-restricted
3 diet and those fed a normal diet. However, the percentage
4 decreases in sperm count and motility induced by nickel
5 were greater under protein restricted dietary conditions
6 compared to normal diet conditions.

7 And these findings are supported by the in vitro
8 studies showing changes in sperm motility and alterations
9 in spermatozoa membrane integrity by nickel in bovine
10 sperm.

11 Also, the findings appear to align with the
12 report of reduced fertility index after male-only exposure
13 in rats.

14 --o0o--

15 DR. IYER: Examining the histopathological
16 effects in the tissues of the male reproductive system,
17 which is a reliable method for detecting effects on sperm
18 production, rats or mice were exposed to nickel sulfate or
19 nickel chloride, via the oral or dermal route, or via
20 intraperitoneal injection. And one study involved
21 exposure to nickel nanoparticles and nickel
22 microparticles.

23 The effects differed across studies and the
24 changes observed were dose-dependent and ranged from
25 congestion and necrosis, and in some cases an increase in

1 frequency of localized apoptosis in the interstitium.
2 Effects such as tubular degeneration, edema of
3 seminiferous tubules, localized shrinkage, empty spaces in
4 the seminiferous epithelium, and cell death was -- were
5 observed.

6 In some studies, these histopathological changes
7 were noted, along with a decrease in absolute and relative
8 weights of the testis. In one study, degeneration of the
9 germinal epithelium was time dependent with more tubules
10 being affected.

11 Effects noted in the epididymis included:
12 Degeneration, regressed epithelium of the cauda epididymis
13 and presence of vacuolated cells. The authors note that
14 the action of the metal on the epididymis varies from that
15 on testis, and the damage produced in the epididymis and
16 ductuli efferentes shows less of a tendency to recover
17 suggesting that the epididymis may be more sensitive to
18 the effects nickel than the testis.

19 One mouse study showed no histopathological
20 changes in the testis or epididymis, but lower secretory
21 activity of the seminal vesicle epithelium compared to
22 controls was observed with a change of the epithelium from
23 high columnar indicative of secretory activity to low
24 cuboidal.

25 Overall, for histopathology, while there were

1 some limitations in the methodology used in the studies,
2 consistently changes in the epithelial tissue of the
3 testis epididymis were reported.

4 --o0o--

5 DR. IYER: In the study in rats examining
6 histopathological changes after exposure to nickel
7 nanoparticles and nickel microparticles, that was
8 mentioned earlier, a decrease in testosterone level
9 resulting from testicular damage appears to have affected
10 testicular spermatogenesis, and was exacerbated by reduced
11 FSH.

12 Also, studies report dose-related decreases in
13 testosterone production noted in the absence of cytotoxic
14 effects in the mouse.

15 In another study, reduced testosterone production
16 was noted in cultured rat, Leydig cells, via reactive
17 oxygen species generation. These in vitro studies show
18 consistent changes, such as decreases in testosterone
19 levels, and oxidative stress as estimated by reactive
20 oxygen species generation, apoptosis, and these support
21 the in vivo findings that were described.

22 --o0o--

23 DR. IYER: As mentioned earlier in some of the
24 studies, nickel was used to exert toxic effects on male
25 reproduction in order to investigate the mechanisms

1 underlying that toxicity. Biochemical assays were
2 conducted to determine the levels of various biomarkers of
3 lipid peroxidation and oxidative stress in the testes of
4 rats and mice.

5 In addition to the empirical findings on sperm
6 parameters and histopathology of the testes and
7 epididymis, many studies have noted an increase in levels
8 of testicular lipid peroxide. Along with the increase in
9 level of lipid -- of testicular lipid peroxide, there was
10 a decrease in antioxidant enzyme activities, including
11 glutathione, and in some cases, the increased lipid
12 peroxidation was accompanied with decreased testicular
13 weight and a decrease in fertility rate.

14 Given that reduced serum and testicular ascorbic
15 acid concentration and serum alpha-tocopherol levels were
16 observed after nickel exposure. In some studies,
17 simultaneous treatment with ascorbic acid was conducted
18 and was found to significantly protect sperm from
19 oxidative damage and improved sperm quality.

20 Also, nickel sulfate treatment caused testicular
21 oxidative and nitrosative stress in rats, but simultaneous
22 supplementation of alpha-tocopherol was found to be
23 beneficial in combating against such stresses.

24 Multiple doses of nickel exposure produced
25 moderate oxidative stress in the testis of mice, which was

1 associated with apoptotic cell death and DNA damage in the
2 testis and in epididymal sperm, suggesting that
3 nickel-induced testicular dysfunction at lower doses is
4 either wholly or partially mediated through oxidative
5 damage to macromolecules including damage to DNA.

6 Also, alterations in lactate dehydrogenase was --
7 were observed where increased levels were noted, along
8 with membrane integrity being affected. And in some
9 studies, decreased levels were observed along with
10 decrease in testicular protein.

11 These biochemical alterations in the testes are
12 markers of oxidative stress, and these findings led the
13 authors to conclude that significant decline in sperm
14 count may be due to membrane damage or to macromolecular
15 degeneration by reactive oxygen species generated in the
16 testes.

17 --o0o--

18 DR. IYER: Human studies of nickel on male
19 reproductive endpoints found that urinary nickel was
20 associated with alterations in sperm morphology, lower
21 plasma testosterone, lower testosterone to luteinizing
22 hormone ratio, and DNA damage as indicated by the comet
23 assay.

24 Observations from animal studies include effects
25 of nickel on sperm morphology, motility and mortality as

1 well as histopathological effects and biochemical effects
2 on the testis and epididymis. These effects may
3 contribute to serum hormone decreases that are observed in
4 animal studies, and are consistent with the findings noted
5 in some studies in humans. And if you have any questions,
6 I'd be glad to answer.

7 CHAIRPERSON GOLD: Any questions from the
8 Committee for any of the staff?

9 Dr. Pessah.

10 COMMITTEE MEMBER PESSAH: I found it really
11 helpful to have the charts in the first presentation,
12 which actually gave you doses or concentrations. And I
13 wonder how much of what was said subsequent to that, which
14 didn't include concentrations or doses really should come
15 into the discussion, because, you know, I think one has to
16 look across what the levels are in serum or in urine, and
17 really have a parallel in what the doses were or the
18 concentrations were in the animal studies. So that would
19 have been quite a bit more helpful.

20 CHAIRPERSON GOLD: I would just add a comment
21 that on -- not the last presentation, but the earlier ones
22 on developmental defects, I think, and birth -- and the
23 weights of the pups and so forth, it would be helpful if
24 we had sample sizes, especially where we have
25 non-significant findings, because that could very well

1 influence whether we -- it's statistically significant or
2 not. So for future reference.

3 Any other comments or questions?

4 CHAIRPERSON GOLD: Does the recorder need a
5 break?

6 THE COURT REPORTER: I'm fine.

7 CHAIRPERSON GOLD: You're good. Okay.

8 Then if there are no further comments by the
9 Committee -- so a slight change of plans. I will go to
10 the Committee presentations and discussions at this time.
11 And there's been a little bit of a question as to the
12 order. So for the benefit of the public as well as the
13 Committee members, the planned order of presentations will
14 be first human studies of developmental effects, then
15 animal studies of developmental effects endpoints, then
16 human female reproductive effects, then animal studies of
17 female reproductive effects, then human studies of male
18 reproductive effects, then animal studies of male
19 reproductive effects, and then finally the mechanistic
20 studies. Are there any comments or questions, or are
21 people ready to go with that?

22 Yes. Yes. Good.

23 Okay. We'll get started then with human studies
24 of developmental effects. And I've ask Dr. Carmichael to
25 be the primary presenter and Dr. Nazmi, the secondary.

1 COMMITTEE MEMBER CARMICHAEL: Can you hear me?

2 Okay. Great.

3 Well, thank you OEHHA for all the wonderful
4 preparation that you've done as usual to help us get
5 through and summarize and review the literature, and for
6 your summaries.

7 So I'm going to go through sort of in a similar
8 order by sort of sets of outcomes at the epidemiologic
9 studies. So I'll start with the spontaneous abortion.
10 Just a couple of comments. There were only two studies.
11 I felt like they had very -- some very substantial
12 limitations in their design. For example, the first one,
13 which Chashschin showed an odds ratio of 1.8, which was
14 suggestive of an increased risk, but there was no
15 statistical analysis, didn't know if it was significant,
16 didn't really know where the -- how the study design was
17 conducted.

18 And then the other study by Vaktskjold, both of
19 these were in Russia, it said it was studying spontaneous
20 abortion, but the outcome really seemed like a mixture of
21 spontaneous abortion, stillbirth, and neonatal death, and
22 that like it included, for example, live births that died
23 within 168 hours that were less than 28 weeks. So it was
24 just -- so basically, there were some strong limitations
25 there. So I would say that we basically from the -- from

1 the human literature, we don't know whether nickel is
2 associated with spontaneous abortion.

3 And next, I'll go to the birth defects studies.
4 There were five studies. And just again, I feel like the
5 studies were so limited that it's hard to even say whether
6 there is -- you can reach a conclusion with the human
7 studies. A really important principle of studying birth
8 defects is that they -- typically, when we're studying
9 them, it encompasses a wide variety of structural
10 malformations that affect a wide variety of organ systems,
11 and different severities and phenotypes and etiologies.

12 And therefore, when I see studies that lump them
13 all together or don't tell me -- that don't say what was
14 actually within the groupings, it's really, really
15 difficult to make any conclusions.

16 And basically -- so basically the studies tended
17 not to find associations. There were -- for example,
18 the -- one of the studies of soil in China, reported a
19 higher risk of birth defects. But again, it was -- they
20 were -- that was the Zheng study in 2012. The birth
21 defects were studied as one great big group. So it was
22 uncertain what was included there. So basically, I'd say
23 there's not sufficient evidence to really determine
24 whether nickel is associate -- does or does not contribute
25 to birth defects risk.

1 And the autism spectrum disorder studies.
2 Basically, there were, as we've said, seven studies there.
3 The study by Windham and others in 2006 was the first
4 study that -- as far as I'm aware, looking at this outcome
5 and nickel, and it was self-described as an exploratory
6 semi-ecologic case control study. It had the advantage of
7 being very large, and good diagnostic information.

8 And it did see an increased risk, and odds
9 ratio -- adjusted odds ratio of 1.5 for the highest
10 quartile of exposure. And this was based on -- the
11 exposure was based on emission -- air emissions data from
12 the National Air Toxics Assessment Program, or the NATA,
13 data.

14 So they did see -- so this was the first
15 finding -- first study of this association, and they did
16 see some preliminary evidence. However, I'd say that
17 there were -- there a number of limitations of this study.
18 As they say themselves, it was difficult to tease out the
19 effects of nickel from other higher -- highly correlated
20 compounds. And they did not get into that analytically.
21 And there are uncertainties about this -- the NATA data,
22 and how that correlates with personal exposure levels.

23 But I think that the biggest strength here was
24 that it did -- it did provide some justification for
25 looking further. So then after that study, there were the

1 remaining ones that we've discussed. The one by
2 Kalkbrenner had a similar design as the Windham study.
3 And that was in North Carolina and West Virginia. This
4 has some of the same strengths as well as limitations.
5 And they did not see a significant association. And then

6 Roberts from 2013 used data from the Nurses
7 Health Cohort study. So they actually had self-reported
8 data on the outcome. And they did see a significant
9 association with nickel among boys. However, after they
10 adjusted for other metals that were correlated, the
11 finding was no longer significant.

12 And for girls, which were only 15 -- around 15
13 percent of the cases, there was not a significant
14 association observed in any of the models. And then there
15 were the four studies -- additional studies that were
16 published from 2012 to 2018. McCanlies, Talbott,
17 Ehrenstein, and Kalkbrenner, and they did not find
18 evidence for an increased association with autism spectrum
19 disorder.

20 One, the study by Talbott, actually reported a
21 reduced risk of ASD with higher levels of this -- of
22 nickel in the air emissions data. So, in conclusion,
23 there was one. The initial study was positive, but a
24 limitation is in how the exposure was excess -- assessed,
25 and it didn't adjust for other metals. So I feel like the

1 evidence is somewhat inconclusive at this point on that
2 outcome as well.

3 And then I will go to the fetal growth studies.
4 There were, I think, 10 of those. Several of them had
5 more varied designs and stronger limitations that made it
6 difficult, in my opinion, to really make any strong
7 conclusions from them, and that was the Odland studies in
8 Russia; and Vaktskjold, again in Russia; and McDermott,
9 and Hu -- McDermott looking at soil samples and Hu looking
10 at maternal and cord blood. And they didn't tend to find
11 evidence for increased risk associated with the different
12 ways that they assessed nickel exposure.

13 And then there were the set of five studies,
14 which you also, in your presentation, grouped together.
15 So there were several somewhat higher quality cohort
16 studies conducted in the -- primarily in the U.S. and I do
17 think -- Dr. Gold, I agree it's important to keep into
18 context that these were rela -- they were -- some of them
19 were very, very large cohorts, which kind of, I think,
20 that plays into how we might interpret some of the
21 findings, and their significance.

22 Excuse me.

23 The study by Bell was in Kentuck -- was in
24 Connecticut and Massachusetts. And just to step back, all
25 of these were based on air emissions data I believe. So

1 Connecticut and Massachusetts it included 77,000 infants,
2 and they did report a significant decrease in birth
3 weight. It was approximately an 11 percent reduction in
4 birth weight, based on the interquartile range, which is
5 basically looking at sort of the difference in risk
6 between the people at the 25th and the 75th percentile of
7 the exposure.

8 And I'd like to note that the maximum distance
9 from the -- these were emissions data that have been sort
10 of modeled or extrapolated to the census tract level,
11 which is -- think of that as a neighborhood approximately.

12 And the maximum distance from the census tract to
13 the nearest monitor was 45 kilometers. I think it's
14 important also to think about how -- how all these studies
15 had very different sort of criteria for which exposures
16 they included relative to how far someone lived from an
17 actual monitor.

18 Ebisu and Bell, in 2012, was a study of ten
19 states on the east coast. So there was 1. -- let's see,
20 about 1.5 million births in that study. And that
21 represented about 17 percent of the original population.
22 And that was partly based on restricting to people who
23 lived within a certain distance of monitors. But the
24 maximum distance that was from a monitor to a county
25 border, because this was at the county level, was 76

1 kilometers.

2 And they found a significant association with low
3 birth weights. They found a six percent increase, based
4 on again an interquartile range. But again, the level of
5 detail and the -- how specific the exposure was to where
6 people lived was somewhat broad.

7 And then there were two studies in California.
8 The Basu study found that nickel was associated with
9 significantly lower birth weight. Again, this was A
10 really large study of 6 -- almost 650,000 births. They
11 had a more restrictive definition of where women lived
12 relative to the monitors. It was that they lived in a zip
13 code within 20 kilometers of a monitor.

14 And again -- so they found a significant
15 association, but I'd like to note the that, for example,
16 for the -- for birth weight it was only a one gram
17 difference, which is pretty small in magnitude. So I
18 think most findings were likely to be significant at
19 that -- statistically significant with almost 650,000
20 births, so that's why I think it's really important to
21 think about the magnitude there.

22 And then Laurent and others looked at births in
23 L.A. county in particular. And they used, I think, it
24 looks like a greater density of monitoring sites than
25 perhaps any of the other studies overall, and used very

1 sophisticated pollutant modeling, especially relative to
2 how some of the other studies went about it.

3 And they did find a significant association with
4 low birth weight. Again though, it was a pretty large
5 study. Let me see if I can find that in my notes. Oh,
6 it's about a million births. And it was a one percent
7 difference in the risk of low birth weight. So again,
8 very large study, found a significant finding, but it was
9 relatively small in magnitude.

10 And then we have Pedersen in 2016 combined data
11 from eight European cohorts. They included 34,000 births,
12 which is now starting to sound small, but it's not. And
13 they had about, I think they said, 20 to 40 monitors per
14 study city that was included from these eight cohorts.
15 And they did not find a significant association with low
16 birth weight with nickel, but they did see a significant
17 association with head circumference. So they saw a
18 significantly smaller head circumference. That's the only
19 study that I'm aware of that looked at head circumference.

20 So in conclusion, based on all these studies,
21 there were several that reported reduced birth weight with
22 higher estimated exposure from nickel via air pollution.
23 But I would interpret these with caution. The changes in
24 risk tended to be quite small and the studies were large.

25 And in addition, in particular, the east coast

1 studies had a pretty high threshold for distance from
2 monitors for assessment of nickel exposure. And other
3 alternative explanations I think can still exist to
4 explain some of these findings that weren't ruled out,
5 such as nickel not -- nickel is -- could be a surrogate
6 for other pollutants, which tended not to be adjusted, and
7 other -- there may be other aspects of living in an area
8 with higher nickel levels in the air or even living close
9 to a monitor in particular.

10 So therefore, I think there's some suggestion
11 that living in areas with higher nickel levels may be
12 associated with small increases, but alternative
13 explanations still exist for some of these findings.

14 And then we had a couple of studies -- there's
15 quite a variety outcomes here. A couple of studies
16 looking at transplacental carcinogenicity registry based
17 case control studies. One, looked at neuroblastoma and
18 retinoblastoma, which are cancers that primarily affect
19 very young children. That's when most cases occur. And
20 they had good -- they used cancer registry, had good
21 diagnosis of the cases, which is always a big strength,
22 but they were relatively small in the number of cases,
23 because these are relatively rare outcomes with like 50 to
24 60 cases in each of these -- for each of these outcomes.

25 They did find and assoc -- an increased

1 association with retinoblastoma with an adjusted odds
2 ratio of 1.5. The odds ratio for neuroblastoma was 1.1
3 and not significant. They did restrict their study to
4 subjects that lived within a five-mile radius of a
5 monitoring site. And they note that the studies -- it's
6 interesting, because nickel has been found to be
7 associated with lung and nasal cancers in exposed workers.

8 And there retin -- there's some animal evidence
9 showing an association with nickel with retinoblastoma.
10 However, I don't think they dealt with potential
11 correlations across other pollutants. So I think that
12 these findings are provocative. They have some biologic
13 plausibility, but it is just one study on these outcomes.
14 So it's hard to make firm conclusions at this point, based
15 on one observational epidemiologic study.

16 And then Togawa from 2016, there is the only
17 study of testicular germ cell tumors, which were diagnosed
18 at age 14 to 49. And this was a multi-country study in
19 several Scandinavian countries. It involved 8,000 cases.
20 It had good diagnostic information. Study was population
21 based, which improves its potential validity. They
22 used -- they did not see a significant association. This
23 study was based on parents' occupations. So that has its
24 own limitations. So I basically say there's one study
25 that wasn't significant. But I think it had some

1 limitations, so the jury is still out on whether -- so to
2 speak, on whether there's an association -- evidence for
3 an association in humans using human data.

4 And then there's the one study by Ni in 2014,
5 that show -- found that there was a significant
6 association between nickel and this marker of DNA damage,
7 the 8-OHdG. And that was -- a strength of that study was
8 that it was after adjustment for many other factors
9 including other metals.

10 And there were two other studies that were --
11 that were available for review, one by Zheng looked at a
12 composite measure of other adverse newborn outcomes. It
13 grouped together things like fetal distress, pre-term
14 birth and macrosomia. It did not find an association, but
15 basically I think it's -- you can't really conclude from
16 this study anything about those composite -- those
17 outcomes that were -- are within a -- are important, but
18 it's a composite, so I don't think we really have evidence
19 regarding those outcomes that can be used to make any firm
20 conclusions. And then there was one study on early
21 childhood pneumonia that did not find an association.

22 And so in conclusion, I'd say there were some
23 modest associations that were observed. Overwhelmingly,
24 they were -- they were not significant. Among the ones
25 that were positive, they tended to be for birth weight,

1 and they tended to be relatively small, based on the --
2 sort of the interquartile range that was observed, which
3 is, you know, certainly doesn't represent the entire
4 spectrum of what people might be actually exposed to.

5 And it also remains difficult to just -- to
6 isolate the effects of nickel alone.

7 Those are my comments. Any questions?

8 CHAIRPERSON GOLD: Thank you.

9 Any questions for Dr. Carmichael from the
10 Committee?

11 So, Dr. Pessah.

12 COMMITTEE MEMBER PESSAH: Just wondering, was
13 there any analysis done for proximity to the actual
14 monitors, and whether there was a relationship, because it
15 seems like these monitors were quite a variable distance
16 from --

17 COMMITTEE MEMBER CARMICHAEL: It was highly
18 variable across studies from one that -- and, you know,
19 they don't all report it either from, you know -- you
20 know, up to average of 76 kilometers to really restricting
21 to five kilometers. In a couple of the studies, I can't
22 remember which ones exactly, looked at -- they would go
23 from five kilometers to say 3. -- 2.5 or something more
24 restrictive to see -- to ask that exact question to see
25 whether the results held up. And I think that they --

1 they tended to in the studies that did it. But I'm sorry
2 I don't remember which exact studies looked at that.

3 CHAIRPERSON GOLD: Any other questions for Dr.
4 Carmichael?

5 Okay. Dr. Nazmi, anything you care to add?

6 COMMITTEE MEMBER NAZMI: So given Dr.
7 Carmichael's pretty thorough review and OEHHA's phenomenal
8 presentation - thanks for that - I'm just going to make
9 three quick points summarizing some of the findings the
10 way I see it. And I'm not going to get into individual
11 studies. So my first point is that -- is a methodological
12 one, that the methods of many of these studies might be
13 considered weak, in terms of study design, analysis, and
14 even sometimes reporting.

15 And even the larger studies, some of which Dr.
16 Carmichael mentioned, and the higher quality studies
17 tended to examine the impact of multiple chemicals. And
18 it was impossible, or nearly impossible, to tease out the
19 specific effects of nickel and nickel compounds on the
20 outcomes that were being assessed.

21 My second point is that findings were largely not
22 significant, and sometimes equivocal.

23 And my final point is that there were a few
24 suggest -- studies that were suggestive of risk of nickel
25 in compounds on some outcomes, and perhaps most notably

1 fetal growth and ASD that showed significant risk.
2 Sometimes the risk was small and sometimes there were
3 other factors to consider, as Dr. Carmichael suggested, in
4 terms of study size on these developmental outcomes.

5 But that said, I think the weight of the
6 evidence, the way I see it, is not -- is not very
7 compelling.

8 CHAIRPERSON GOLD: Thank you.

9 Any questions for Dr. Nazmi?

10 Anybody?

11 Nobody.

12 Okay. Thank you.

13 So next we're going to discuss human studies of
14 female reproductive effects. And I'll lead that
15 discussion first, to be followed by Dr. Nazmi.

16 Okay. So as the staff indicated, we had three
17 human studies that dealt specifically with female
18 reproductive effects of nickel, and two additional studies
19 that -- okay. I didn't receive the complete agenda, so I
20 apologize if I'm a little bit confused, but I thank you
21 for the correction.

22 Before we go to female, we will go to animal
23 studies of developmental. Okay. And, Dr. Plopper, you
24 are the primary discussant. I apologize for the
25 confusion.

1 COMMITTEE MEMBER PLOPPER: Okay. Well, to begin
2 with, I'd like to thank Dr. Campbell. You just saved us
3 all about 30 minutes --

4 (Laughter.)

5 COMMITTEE MEMBER PLOPPER: -- because that was
6 about half of what I had to say. And I want to also
7 comment on OEHHA for their efforts to develop a consistent
8 pattern of dose. I would say that the fairest way to look
9 at this is that the literature on animal exposure is
10 considerably uneven. And you did an excellent job of
11 trying to translate that all into an exposure of nickel at
12 a particular concentration and a time, and with a
13 particular body weight, and per day, which makes the first
14 graph you showed, overdoses, is a tremendous
15 simplification and an accurate one, by the way, of exactly
16 what the problem is here.

17 So I will -- I had a number of specific points to
18 bring out that you've already covered. So what I would
19 like to do is just spend a few minutes discussing how we
20 could possibly look at this data. And the first of these
21 is that there is a wide range of information that's
22 available on what is essentially the viability of the
23 conceptus.

24 And what -- that has been translated into the
25 literature, and I made a list of about half of them. Part

1 of them are number of pups per implantation. Another is
2 number of implantation sites per dam. Another is live
3 pups per group or per litter. And in two cases, it's just
4 the total number, which doesn't tell you if it was either
5 the litters or the dams.

6 And another is, of course, the live pups and then
7 the dead pups. And what's interesting in some of these
8 studies where they report the dead pups, the live pups are
9 the same. And this has been a tremendous -- it was
10 tremendous problem for me, because I don't understand
11 exactly how they got that way. And then the number of
12 litters with live pups, and then some postnatal viability.
13 And it depends. Some studies do it right at birth, and
14 some do it days later.

15 So I don't mean to confuse the issue, but there
16 are two ways to look at this. One is how many pups did
17 they find that were dead one way or the other, and the
18 other is how many were viable and how many survived
19 parturition.

20 And I think the data that you have there, if you
21 look at it from two points of view, one is how many
22 survived, what was the litter size at the end, and then
23 how many were found dead or didn't make it somewhere along
24 the line? And the other confusion here is that some of
25 the studies that assess pups prior to parturition do not

1 then necropsy the uterus of the dam to find out how many
2 were implanted to begin with.

3 So we have that as another confounding issue
4 here. And I think you did a nice job of pulling that all
5 together. And when you see where the inconsistencies are
6 in terms of either the live -- number of live pups in a
7 litter or the number of dead pups, the inconsistency is
8 based on when this measurement was made, and how thorough
9 it was done.

10 And it drove me crazy. There's a couple studies
11 where there's essentially no statistics. The statistics
12 and they're lumping everybody together. Yeah, and it
13 turned out the exposed animals had twice as many pups as
14 the others, because there were twice as many. And so did
15 they have more live litters? You can't really tell that
16 from here. So I don't mean to be negative, but I think
17 those are -- those needs to characterize what we do.

18 The other aspect of this is with this one -- and
19 then the other part of this is how did -- what was fetal
20 postnatal growth and where did they grow? And it's the
21 same problem, because it's the same pups taken the same
22 way. And in some cases, the -- its's prior to birth, some
23 cases it's right immediately postnatal. Some cases it's
24 some point during the postnatal period when lactation is
25 going on. So you have that as an additional confusion.

1 And I think maybe I'm going -- I'll end up -- I
2 don't want to get too negative on this. But when you go
3 and look at the table, the graphs and the -- I went
4 through every one and listed these out, the ones that
5 don't show some sort of negative impact on either the dead
6 pups or the litter size are the ones at either the lowest
7 dose levels level.

8 And there is a clear dose response there no
9 matter how you time it. And if you see from that graph
10 you showed of the doses along there, well, you can tie
11 those -- the dose is down to how much, but whether this
12 had a negative impact on pup viability or pup death. It
13 drives me crazy to have to use them both, but that's the
14 way they're put out there.

15 And the -- so there's only -- there are two that
16 show no -- no -- have a negative response, and didn't have
17 anything at all. Those were ones that were -- the
18 treatment was during pregnancy and the necropsy was
19 assessed immediately after the last exposure, which very
20 likely would reduce the chances. And the others were at
21 the low end of that dose range, so which is you would
22 expect. And there are two or -- there are two sets of
23 very nice studies that do complete dose ranges, and one
24 that looks at exposures at the early stage, the 0 to about
25 6 days pregnancy, another from 6 to 13, and then from 14

1 till whatever parturition is.

2 And when they assessed those on specific time
3 frames, they do find that it does have a negative impact
4 on the viability of the conceptus. Okay. The same thing
5 is true for assessing the body weights. No weight gain
6 for a large number of them. And it's due either to one --
7 the short term of the exposure or the short term after
8 exposure, in which the -- in which the size or the fetus
9 was assessed.

10 And it -- but it does -- and in some cases, where
11 there were those detailed studies, like the ones in the
12 mouse, which looked at specific time frames for exposure,
13 it's obvious that the organotypic the middle time frame
14 when all the organs and the embryo are organizing
15 themselves, the tissue is organizing, it's establishing a
16 relationship with the uterus. That is one of the most
17 susceptible times for body weight gain, and it's also one
18 of the susceptible times for death, as is the earlier
19 pre-im -- pre-implantation one. And I will say that most
20 of those studies that assessed implantation showed that
21 that -- that the implantation was not affected by -- by
22 the exposure, but the viability was.

23 So that meant that some of these conceptus
24 initially established a relationship with the uterus, and
25 then didn't -- didn't survive. And I think that was --

1 you covered about 90 percent of it. So I think I've
2 identified it. And my take on -- from those two is that
3 it's a dose -- both of those issues, how many pups there
4 are and where they -- whether they survive is a
5 dose-related and exposure-related problem, and as well as
6 the ability of the fetus to then grow afterwards.

7 If you look at the papers that don't have that,
8 there the ones that either have the very low
9 concentrations or they have the exposures at specific
10 times when the fetus would not be as susceptible. And I
11 will point out you had a nice graph that showed the
12 significant ones and the not significant ones. Well, if
13 you -- actually, I redid a calculation on some of the ones
14 that had different doses that were not significant, and
15 they have a dose response. And if they had one higher
16 concentration to expose, it would have been significant.

17 So it's -- it's there, but it's the low dose that
18 did it. And I'm -- okay. I'm not going to take too much
19 more time.

20 I want to say one other thing and that is that
21 part of this issue of fetal growth needs to address
22 exactly what systems are being targeted here. And there
23 were four studies that actually analyzed the pups
24 afterwards to find out what could be the problem. And the
25 biggest problem, probably because it's the easiest one to

1 assess, was an impact on the musculoskeletal system.

2 And you can think of just about any bone in the
3 pup's body, and it was either affected, because it wasn't
4 there or there was very low calcification. And what that
5 says is that there -- the two processes are -- at least
6 two processes are susceptible there. One is the formation
7 of the pre-bone. These are almost all cartilaginous bones
8 in the cartilaginous. So that means that the cartilage
9 didn't form to begin with, and then that it didn't ossify
10 afterwards.

11 And rather than go into anymore details. If you
12 want a list, I've got the whole list. And for me, it's
13 very interesting, but I'm sure it's not what the public
14 wants to hear.

15 So I don't have anything more to say. I thought
16 it was reasonable consistent. The best studies showed the
17 very clearest impact on time of exposure during gestation
18 and the -- and the concentrations.

19 And I'll stop there.

20 CHAIRPERSON GOLD: Thank you, Dr. Plopper.

21 Any questions for Dr. Plopper by the Committee?
22 Dr. Pessah.

23 COMMITTEE MEMBER PESSAH: So I guess in
24 summarizing what you just summarized, is there an effect
25 of nickel or is it an effect of how the study was and the

1 outcomes were reported?

2 COMMITTEE MEMBER PLOPPER: No, there's
3 actually -- that's a good point. I left that out, but she
4 brought it up already. These are all soluble nickel
5 compounds. And it is clearly the result of either --
6 they're either exposures to nickel sulfate or nickel
7 chloride, and it very clearly has to do with the -- with
8 the nickel that's there, I think. Sorry, if I didn't
9 bring that out. That's all.

10 CHAIRPERSON GOLD: Any other questions for Dr.
11 Plopper?

12 Okay. Dr. Allard, you're the secondary
13 discussant.

14 COMMITTEE MEMBER ALLARD: Thank you.

15 Again, I think for the sake of time, because of
16 the beautiful summaries that were performed, I will sort
17 of skim through my notes here and just mention the most
18 salient points.

19 I want to echo what was mentioned, which is
20 the -- these two concepts of principles of inconsistencies
21 that sort of emerged by reviewing the literature, as well
22 as doses. And, of course, as Dr. Campbell really
23 beautifully highlighted those two go hand-in-hand where
24 the inconsistencies lessen as you increase the dose,
25 right? That was highlighted in your graphs.

1 So the rat studies that tended to have lower
2 doses were more inconsistent than the mouse studies that
3 tended to be more consistent in reporting an effect from
4 nickel exposure were perhaps more consistent because the
5 doses tested tended to be higher. Although, I do want to
6 highlight that even at high doses, there were still some
7 studies showing no effect, while others did.

8 And at the end, skimming the entire body of
9 literature presented in the document -- in the hazard
10 identification document, as well as PubMed, at the end, it
11 seemed unclear to me as to why some of the studies looking
12 at the same -- around the same Exposure level would not --
13 would lead to inconsistent results.

14 So for the rat studies, I did tend to lend quite
15 a -- lean, sorry, more, of course, towards the oral
16 exposure, which I thought were more relevant. In terms
17 much of the route of exposure. Although I did consider
18 also the inhalation study that potentially could be
19 relevant for in some occupational settings perhaps. I
20 tend to lean more on the Siglin et al. study from 2000 --
21 so the 2000 Siglin A study, the one-generation study,
22 where they did a beautiful dose response, and identified
23 post-implantation losses and dead fetuses with a LOAEL of
24 10 milligram per kilogram per day, which -- and again, I
25 want to highlight how useful that was converted in nickel

1 alone. So the compound was nickel sulfate, hexahydrate.
2 And so nickel alone would be about 2.23 milligram per
3 kilogram per day.

4 And I tend to lean more on that one, because I
5 thought the outcomes reported there sort of aligned with
6 some of the outcomes mentioned in human studies.
7 Although -- so for me you know in the weight of evidence,
8 that's sort of going the same direction that give me more
9 confidence perhaps on the outcome of that study. And I'm
10 particularly mentioning here the Chashschin, I guess, et
11 al. Study from '94 in nickel refinery workers on page 27
12 that mentions a high incidence of spontaneous abortion and
13 abnormal pregnancies overall.

14 Skipping, skipping.

15 The Saini studies, I really appreciated the fact
16 that they try to identify the developmental window of
17 increased sensitivity. Although, I tend to look at them
18 from a more cautious perspective, perhaps one study
19 instead of three studies. So I do not necessarily
20 consider that there was perhaps repetition or confirmation
21 of outcomes between the different studies.

22 But again, the -- the doses tested in all this
23 these studies in mice were much higher than the ones done
24 in rats. So at the end, for me, it came down to the dose.
25 And the -- the lowest LOAEL, if that makes sense, reported

1 was for the -- the one-generation study in rats at 10
2 milligram per kilogram day. And this is -- you know, even
3 with an uncertainty factor, this is much higher than
4 the -- at least the average exposure level in human from
5 all sources of -- which is estimated from -- in various
6 documents, public documents at about 2.4 micrograms per
7 kilogram per day.

8 So I -- at the end, I did not necessarily felt
9 that there was a compelling picture here to -- for nickel,
10 in terms of developmental toxicity, at least in general
11 settings. Although some of the outcomes in animal studies
12 seemed to align perhaps in with some of the outcomes in
13 occupational settings, as I mentioned.

14 And I'll end my comments here.

15 CHAIRPERSON GOLD: Thank you.

16 Any questions for Dr. Allard by the Committee?

17 And how is the record doing? Are we ready for a
18 break?

19 Ready for a break. Okay. So five minutes. Five
20 minutes good?

21 Okay. So let's resume in five minutes.

22 (Off record: 11:48 a.m.)

23 (Thereupon a recess was taken.)

24 (On record: 11:55 a.m.)

25 CHAIRPERSON GOLD: So can we please try to

1 reconvene. Okay. First, I'll ask if the Committee has
2 any questions on developmental studies, either in humans
3 or animals at this time?

4 And then I'll apologize for misreading the agenda
5 and jumping ahead. Too eager to jump the gun. But we
6 will now start talking about female reproductive effects.
7 And I will lead the discussion on humans, followed by Dr.
8 Nazmi's comments. And then we'll go to animal studies.

9 Oh, and the plan is -- I think we can get -- I
10 don't want to foreclose any discussion, but I think we can
11 get through female reproductive effects and then take a
12 lunch break. So that's the current plan. Okay.

13 All right. So as I started to say before, we had
14 three human studies that dealt specifically with female
15 reproductive effects of nickel and two additional studies
16 that examined spontaneous abortions among nickel exposed
17 workers.

18 The three studies of specific female reproductive
19 effects included a small prospective longitudinal study,
20 cohort study by Bloom of 80 non-pregnant women who
21 participated in a survey. And the investigators examined
22 blood nickel concentrations and those of other metals at
23 baseline in relationship to the outcome time to pregnancy,
24 which is a measure of fecundity or subfertility, which was
25 detected using pregnancy kits.

1 So the statistical analyses in this paper
2 considered a number of relevant potential confounding
3 variables using appropriate statistical procedures. They
4 found a small but non-significant increase in time to
5 pregnancy in relation to blood nickel concentrations, and
6 characterized their findings as detecting no association
7 of nickel with the probability of a positive pregnancy
8 test.

9 While the study design, exposure, and outcome
10 assessment and analytic approach are strengths of this
11 study, the small sample size makes the results largely
12 non-conclusive. Additionally, we had a recent small
13 cross-sectional study conducted in South Africa by Maduray
14 of 43 women with eclampsia -- excuse me, pre-eclampsia, in
15 23 normotensive pregnant women, which showed -- showed
16 concentrations of nickel and serum in hair samples to be
17 non-significantly lower in women with pre-eclampsia and to
18 be negatively correlated with diastolic blood pressure.

19 However, this study incorporated no multivariate
20 modeling to control for confounding, even though their
21 data showed significant differences in some important
22 variables between the groups. So given the small sample
23 size, which may have been inadequate to provide adequate
24 statistical power to detect modest, but meaningful,
25 potentially meaningful differences as significant, and the

1 lack of control of confounding these results, also can't
2 be regarded as conclusive.

3 Then the third study by Zheng et al., was a case
4 control design to compare serum nickel concentrations in
5 96 women with polycystic ovarian syndrome to those in 105
6 controls selected from the same medical center who did not
7 meet the criteria for having symptoms of PCOS.

8 A blood sample for assaying nickel concentrations
9 was obtained from each participant on days two or three of
10 menstrual cycle. Mean serum nickel levels were
11 significantly higher in PCOS cases than controls. And
12 nickel levels were associated with a significant decrease
13 in sex binding -- sex hormone binding globulin, and small
14 increases in dehydroepiandrosterone and fasting insulin
15 levels, controlling only for body mass index and age and
16 waist to hip ratios.

17 The analyses focused on the relation of 11
18 different metals in relationship to 15 outcomes that
19 included nine different reproductive measures. Thus while
20 this study used appropriate statistical analyses, the
21 relatively modest sample size, and many statistical tests
22 conducted without adjustment for other potentially
23 confounding variables and for the multiple testing, as
24 well as the case control design did not provide a
25 convincing or conclusive results regarding the relation of

1 nickel to reproductive analytes.

2 So the two additional studies which the staff
3 mentioned and have already been mentioned in terms of the
4 developmental context examined the relation of nickel
5 exposure to spontaneous abortions and premature births,
6 which could also be considered as female reproductive
7 effects, as well as developmental.

8 So one of these was case control study of women
9 employed in 14 nickel exposed work areas in Russia. A
10 total of 474 cases and 4,571 controls from the birth
11 register and 184 cases and 1,691 controls who completed
12 questionnaires at these workplaces were included.

13 Assessment of nickel exposure was based on prior
14 monitoring of work areas or measured urinary nickel
15 concentrations. So the unadjusted odds ratio for nickel
16 exposure in relation to spontaneous abortion from the
17 questionnaire portion of the study was significant. But
18 once adjustment was made for confounding variables, it was
19 no longer significant, and was attenuated and there was no
20 evidence of a dose response.

21 The results from the birth registry data showed
22 no association of nickel in relationship to spontaneous
23 abortion. And then there was one earlier cross-sectional
24 study in Russia comparing 290 pregnant workers in a nickel
25 hydrometallurgy refining plant to 336 working in

1 construction. Nickel concentrations were measured in
2 departments at the plants and urinary nickel was also
3 measured in workers.

4 And the study revealed spontaneous abortion rates
5 in nickel-exposed workers of 16 percent and nine percent
6 in the comparison group of construction workers for an
7 odds ratio of 1.8, along with a nearly three-fold increase
8 in structural malformations in the offspring.

9 And an increased rate of premature births.
10 However, statistical testing was not clearly presented,
11 nor was adjustment made for potentially confounding
12 variables. And no comparison was made of nickel
13 concentrations among pregnant workers in the refining
14 plant who had spontaneous abortions to those who had term
15 pregnancies. So all of these limitations tend to make the
16 results of this study inconclusive.

17 So in conclusion, we have -- largely have
18 modestly sized studies of varying designs and quality
19 generally with only a single assessment of nickel
20 exposure. Albeit, it -- they tended to be individual
21 assessments as opposed to sort of ecological assessments.
22 And they examine different female reproductive outcomes.

23 So the observed relations with nickel exposure
24 have ranged from none to modest, and due to the designs,
25 differences in the designs, analytic methods used,

1 limitations and exposure assessment, and the modest
2 findings, it seems that none of them are sufficient or,
3 compelling, or convincing in indicating a causal relation
4 of nickel exposure to female reproductive effects.

5 I'll entertain any questions?

6 Okay. Seeing none.

7 Dr. Nazmi, I will ask you if you have any
8 additional comments.

9 COMMITTEE MEMBER NAZMI: I have nothing further
10 to add.

11 CHAIRPERSON GOLD: Okay.

12 That was quicker than I expected.

13 (Laughter.)

14 CHAIRPERSON GOLD: Okay. So any comments from
15 the panel or questions?

16 Do we want to try and move on to animal studies
17 of female reproductive and get that in before lunch? I
18 think we can probably do that. Is that okay?

19 Okay. So, Dr. Luderer, you're going to start us
20 off.

21 COMMITTEE MEMBER LUDERER: So I was going to
22 start with talking about the evidence from the large
23 number of studies that we've already heard discussed quite
24 a bit today that dealt with effects of nickel exposure on
25 prenatal mortality and growth as well as neonatal and

1 postnatal mortality, and growth.

2 And so I'll just, you know, summarize kind of my
3 perspective on those studies briefly, because I think that
4 is where the largest database is with -- for female
5 reproductive toxicity with also the caveat that was
6 brought up in the OEHHA document that it's difficult to
7 sort out whether this is toxicity due to direct effects on
8 the developing embryo or fetus versus the mother and we --
9 so -- but we will -- I think one can say that some of the
10 effect is via the mother, and so that's why it's included
11 under female reproductive toxicity.

12 So basically, I agree with what's been said that
13 there's evidence that at the higher doses, so the studies
14 that used higher doses tended to find effects both on
15 prenatal, embryonic mortality, and -- you know,
16 resorptions, various ways that that was measured in
17 addition to finding dead fetuses, as well as fetal weight
18 restriction, and postnatal, and neonatal mortality, as
19 well as decreased weight gain postnatally.

20 So that was more likely to be found in the
21 studies that used higher doses and at the higher doses in
22 studies that used a wider range of doses.

23 Also, I think it is notable that effects on these
24 endpoints were found in two different species, rats and
25 mice, and via different routes of exposure that have

1 already been talked about, as well as during multiple
2 different dosing windows. So then I think that the
3 database is quite -- is moderately strong for an effect on
4 the neonatal/postnatal mortality and growth being
5 decreased with higher doses of nickel, as well as pre
6 and -- prenatal embryonic death and growth restriction.

7 Going then to effects that are more specific for
8 female reproductive toxicity, I'm going to talk next a bit
9 about the effects on prolactin secretion. So there were
10 four papers that reported on effects of nickel chloride on
11 prolactin secretion by the anterior pituitary. And so two
12 of those -- two of three in vitro experiments used male
13 pituitaries and one used bovine pituitaries of unknown
14 sex. Only one in vivo study used females and their
15 weaning aged pups. And one reported on an in vivo study
16 in males.

17 Now, all four papers did show that nickel
18 chloride decreased prolactin secretion. Just to go into a
19 little bit more detail. In the Carlson et al. study, they
20 used male rat pituitary fragments in both static and
21 perfusion cultures and found that one hour approximately
22 long exposures to nickel chloride depressed the basal and
23 stimulated prolactin secretion.

24 Similar results were found in cultured bovine
25 pituitary fragments of unspecified sex. And in that same

1 paper, they also did an in vivo study with nickel chloride
2 infusions in chlorpromazine anesthetized rats. And they
3 again found does and time-dependently decreased serum
4 prolactin concentrations.

5 Finally, there was an in vivo study that utilized
6 subcutaneous injections and found decreased prolactin, but
7 again that was male rats.

8 I think -- the reason that I think that those
9 were in the document, and that I think they're relevant,
10 is that we -- there is -- I think that the -- the effect
11 of nickel chloride on prolactin secretion is not likely to
12 differ between the males and females. We do have one
13 female paper where we see that Smith et al. in their study
14 in rats found that female rats that had been treated with
15 the nickel chloride during -- in the drinking water, and
16 that were euthanized after the pups -- their offspring had
17 been weaned, that study did adjust for estrous cycle stage
18 when the prolactin levels were measured. And they found a
19 decreased -- significantly decreased prolactin in the
20 highest dose dams.

21 They did not observe statistically significant
22 effects on the pups. And they looked at two different --
23 the -- a first wave of litters and a second wave of
24 litters. However, looking at the first wave of litters,
25 there was a large amount of variability in the prolactin

1 concentrations in that study. And the prolactin
2 concentrations were very high, which to me raised the
3 question of perhaps there was some stressor that occurred
4 when those litters were euthanized.

5 The second litter, the -- there was actually a
6 difference in prolactin concentrations in the highest dose
7 group, which is the only dose group in the offspring for
8 which prolactin was measured, but it wasn't statistically
9 significant.

10 So overall, there appears to be more of an acute
11 effect of prolactin -- on prolactin secretion with nickel
12 chloride. Most of these studies just looked shortly after
13 administration of prolactin. One study did look at one
14 two, and seven days after a single dose and found that
15 then actually prolactin increased. That was in males.

16 So I think the prolactin studies are relevant in
17 light of another study, which I'm going to talk about
18 next, which is the effects of nickel on mammary glands --
19 on the mammary gland and on milk composition. So there
20 were two studies investigating the effects nickel on milk
21 composition, but one of those -- only one of those also
22 investigated effects on the mammary gland in the lactating
23 female and on endpoints related to offspring development
24 and -- and in that study was Dostal et al. study.

25 Unfortunately, the route of exposure here was

1 subcutaneous injections, which I don't think, you know, as
2 we've already talked about, are not relevant to humans.
3 Nonetheless, I think it is an important study, because it
4 is the only study that really looked at mammary gland
5 effects, and also measured nickel concentrations in the
6 milk.

7 And they found that repeated daily exposures to
8 nickel chloride raised the nickel levels in milk
9 significantly from less than two micrograms per liter that
10 was four daily doses to 513 in the 50 micromolar per
11 kilogram group and over 1,000 micrograms per liter in the
12 100 micromolar group.

13 And concomitant with these increases in the milk
14 nickel concentrations, they also reported decreased
15 mammary gland weight in the lactating females, as well as
16 decreased total RNA per gland, while the DNA per gland was
17 not altered and this resulted in decreased RNA to DNA
18 ratios.

19 And also, this then was associated with
20 alterations in the composition of the milk, so that there
21 were increases in the milk solids and lipids, while the
22 protein and lactose concentrations in the milk were
23 dose-dependently decreased. This study importantly
24 also -- which some of the studies that we had talked about
25 earlier noted that there was decreased water consumption

1 at high doses of nickel. This study actually did separate
2 experiments where they pair watered the lactating females,
3 and showed that not all the effects were due to decreased
4 water consumption in the females, and I thought that was
5 quite important.

6 So I think that looking at the prolactin effects,
7 together with these effects on the mammary gland and milk
8 composition that the effects on prolactin in lactating
9 dams of nickel may play a role in this altered nickel
10 composition, since we know that prolactin is very
11 important in that -- in lactation.

12 And this -- the Dostal et al. study also noted
13 decreased postnatal pup weight again, which we also
14 observed in many of the studies that we talked about
15 earlier. And the study suggests that the altered milk
16 composition may play a role in that, which was observed in
17 quite a lot of studies, but this is the only study that
18 looked at milk composition together with that.

19 Getting into some of the female, the next set of
20 studies I'm going to talk about is for the ovarian
21 toxicity of nickel in animals. This database is not as
22 deep as the database of the development database that we
23 talked about. It's, I would say, somewhat supportive of
24 nickel being an ovarian toxicant.

25 There -- and again, we have one study that used

1 subcutaneous injections, that's the study by Forgacs et
2 al. The -- this study provided details on group sizes and
3 statistics, which some of the other studies that fall into
4 this group of the ovarian studies did not do.

5 So I think it was -- it showed an effect with
6 subcutaneous injections that were begun on the day of
7 estrous. So they performed vaginal cytology on the rats.
8 And then on the day of estrous they began subcutaneous
9 injections of nickel sulfate in this case for -- and they
10 continued the injections for every four days for 21 days.

11 So there -- they were analyzing estrous cycles
12 throughout this entire 21 days. And they showed
13 alterations in the estrous cycles. Importantly, in this
14 study, they showed individual animals and their estrous
15 cycling. Although, they didn't present a statistically --
16 a statistical analysis, they showed that one out of 13 in
17 the control group, five out of 14 in the 10 milligram per
18 kilogram group, five out of 14 in the 20 milligram per
19 kilogram group and 11 out of 13 in the 40 milligram per
20 kilogram groups had two or fewer cycles during those 21
21 days.

22 Considering that a normal cycle is four to five
23 days in length, that's a dramatic decrease in the normal
24 number of cycles. And if you look at that with Fisher's
25 exact test, there's a very significant effect with a p

1 value of 0.001, which they did not do, but they do provide
2 the data where you can do that.

3 They also then collected over -- from the eggs
4 from the oviduct at the time of euthanasia, and they
5 reported that there was a decrease in ovulation. However,
6 again, if you go to their estrous cycling date, you can
7 see that the rats that had a vaginal cytology that was
8 consistent with the day of estrous when you would expect
9 to find the ova in the oviduct, those rats all ovulated.
10 And they had the similar numbers of ova ovulated compared
11 to the control rats.

12 So it looks like their decrease in ovulation was
13 really having to do with the effect on estrous cycling and
14 whether or not they attempted to collect the ova on the
15 day when you would actually expect to find ova in the
16 oviduct.

17 So -- and consistent with that, they didn't
18 notice any differences in the number of corpora lutea when
19 they did histology.

20 The other thing that his paper looked at was
21 progesterone measurements collected from the ovarian vein
22 in anesthetized animals prior to euthanasia. And here
23 they -- only the highest dose group had a decreased
24 progesterone response to HCG. But again, given that this
25 was dose -- the dosing occurred during different points in

1 the estrous cycle of the animals, it's a little difficult
2 to -- to interpret.

3 The second study I'll talk about briefly was the
4 Rao et al. study, where this was a rats and mouse study
5 where they dosed the mice for 30 days, and they observed a
6 decreased ovarian weight that was dose-dependently
7 decreased, as well as protein content of the ovaries. And
8 in this study, similar to some of the -- the male
9 reproductive studies that were so -- that were summarized
10 earlier, there was evidence of oxidative stress in the
11 ovaries with decreased ovarian glutathione -- the
12 antioxidant glutathione and ascorbic acid, or vitamin C,
13 as well as decreased activity of several antioxidant
14 enzymes, superoxide dismutase, and catalase, and increased
15 lipid peroxidation.

16 A caveat with this study is that they were
17 sacrificed on random estrous cycle stages. They didn't
18 evaluate estrous cycling. However, you would expect that
19 this would decrease the ability to detect differences. In
20 the -- I'll briefly talk about the two-generation studies
21 really looked at ovarian weights and histology.

22 The Price et al. all study looked at those --
23 both studies looked at those endpoints, Siglin et al. also
24 report on estrous cycling. There were no effects on
25 ovarian weights or histology noted in either of those

1 studies, in -- to -- in the F1 generation in the Price
2 study, and in the F1 and F2 offspring in the Siglin et al.
3 study.

4 The estrous cycling was not affected in that
5 study, the Siglin et al. study. But as was noted by the
6 presentation, there were -- there were quite significant
7 differences between the F1 and F2 generation in terms of
8 their estrous cycles. In particular, the F2 generation
9 had -- appeared to have had large percentages in every
10 group, including the controls that were not cycling.

11 But there was a near significant increase in the
12 number -- the percentages of the offspring that had cycles
13 longer than 10 days, as was presented earlier, but that
14 was only in the F2 generation.

15 So I would say overall for the ovarian data,
16 they're suggestive, but -- and information to conclude
17 that nickel chloride may be an ovarian toxicant. But
18 again, as I noted, there are some inconsistencies among
19 the studies, largely having to do with the -- the
20 different endpoints being examined in different studies.

21 The evidence is strongest for the oxidative
22 stress-related endpoints and for the disruption of cycling
23 by the parental exposure.

24 For the -- there were also a few studies database
25 in the database looking at uterine toxicity. Two studies

1 that focused on uterine toxicity, and I think they both
2 suffer from weaknesses. One was an in vitro study, one
3 was an in vivo study that lacked sufficient experimental
4 details. The two-generation studies both reported no
5 effects on uterine weights or histology, but it didn't
6 appear that animals were euthanized on the same estrous
7 cycle stage.

8 And I should also say about the two-generation
9 studies regarding the ovarian histology that no follicle
10 counts were done. And there was not a lot of detail in --
11 just given about how many sections per -- at least that I
12 found were analyzed.

13 So overall, I would conclude that for the animal
14 database regarding female reproductive toxicity, the
15 largest database is for the effects -- is from the
16 developmental studies looking at prenatal and postnatal
17 mortality and growth. And there -- I think as we talked
18 about earlier, there is sufficient evidence to support
19 that there is an effective nickel at the higher doses on
20 those endpoints.

21 There's some evidence for ovarian toxicity of
22 nickel, but the quality of the study and the lack of
23 similar endpoints being observed in different studies
24 limits the strength of the conclusion that one can draw
25 from those studies.

1 CHAIRPERSON GOLD: Okay. Thank you.

2 Any questions for Dr. Luderer?

3 Dr. Allard.

4 COMMITTEE MEMBER ALLARD: Yeah. Just a question.

5 We'll talk about it when we talk about mechanisms. But

6 several studies have suggested that perhaps that decrease

7 in milk production, or differences in milk composition

8 could be responsible for the difference in weight, or

9 perhaps even death. And I didn't find in the document

10 that was provided to us any studies doing cross-fostering.

11 COMMITTEE MEMBER LUDERER: No, there weren't.

12 COMMITTEE MEMBER ALLARD: Did you find some

13 elsewhere --

14 COMMITTEE MEMBER LUDERER: No.

15 COMMITTEE MEMBER ALLARD: -- that would be able

16 to parse that out?

17 COMMITTEE MEMBER LUDERER: No.

18 COMMITTEE MEMBER ALLARD: Okay.

19 CHAIRPERSON GOLD: Any other questions for Dr.

20 Luderer?

21 Okay. And the secondary discussant then is Dr.

22 Plopper.

23 COMMITTEE MEMBER PLOPPER: Well, I'll keep this

24 short. I agree with what she said. And it's not a solid

25 literature. The in vitro studies suggest that their

1 uterus and the ovary may be targets, but you already
2 pointed out those limitations. And I will say that to try
3 and understand what the impact of this would be on female
4 reproduction, I guess most of the studies don't -- as you
5 pointed out, they do their exposures if they want to check
6 something. They don't necessarily follow an estrous
7 cycle.

8 And what disappointed me was that in some of the
9 necropsy, the live studies where they tried to find it out
10 afterwards, they didn't go back and count corpora lutea,
11 so they don't know what we're seeing here. So I would
12 agree with everything she said. Just my concern.

13 Thank you.

14 CHAIRPERSON GOLD: Okay. Thank you.

15 Any other questions or comments?

16 Okay. I suggest that we take a lunch break, and
17 we'll come back to male reproductive studies when we
18 return after lunch.

19 Forty-five minutes maybe? So 10 after 1:00 we'll
20 reconvene, if that's -- Carol you have something first.

21 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah. I just
22 wanted to remind the members as usual not to talk among
23 yourselves about the issues that you're considering today.
24 Maybe just talk about the weather at lunch. And this is
25 the same for the members of the public. If you -- if you

1 talk to someone from the public about this, then you
2 should disclose that when you come back from your lunch.

3 CHAIRPERSON GOLD: Okay. Thank you. If there's
4 nothing else, let's break now for lunch and return at
5 1:10.

6 (Off record: 12:22 p.m.)

7 (Thereupon a lunch break was taken.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 A F T E R N O O N S E S S I O N

2 (On record: 1:10 p.m.)

3 CHAIRPERSON GOLD: Okay. Can we try to
4 reconvene, please?

5 Okay. Having discussed developmental effects and
6 female reproductive effects, we're now going to talk --
7 move on to talk about male reproductive effects first in
8 humans, then in animals. And then we'll have a final
9 presentation about mechanistic studies. So, Dr. Baskin,
10 you're going to lead us on human male reproductive
11 effects.

12 COMMITTEE MEMBER BASKIN: Thank you. And thanks
13 to the scientific panel for already presenting a really
14 fantastic synopsis of the scientific papers that we were
15 able to review. So I'm going to discuss the human male
16 reproductive studies. I guess backing up a second, nickel
17 is important for something in our body and nobody really
18 knows what that is, but it relates to I think iron
19 transfer. And like all of the rare elements, we need
20 them, but we don't really understand them quite well.

21 So there's nine human studies. And the
22 methodologic -- there's a lot of methodology issues here,
23 in that there's not fantastic controls, a number of the
24 patients recruited were from subfertile populations. And
25 most importantly, there were a huge number of

1 co-variables. In other words, in the majority of studies,
2 they were testing for other heavy metals. And they also
3 didn't take into account environmental issues, such as
4 smoking, alcohol, or potentially other exposures, which
5 are consistent with the problems of epidemiologic studies
6 in general.

7 Breaking it down, there were four cross-sectional
8 studies in humans that examined the effect of nickel using
9 reproductive endpoints, which indirectly were essentially
10 semen parameters or plasma testosterone. These were
11 performed basically all over the world. And as mentioned,
12 there's a number of compounding factors, which make it
13 really difficult to interpret whether nickel is the
14 critical issue or causative agent.

15 There were five other cross-sectional studies,
16 which looked specifically at sperm parameters. A majority
17 of these were done in China. And during the same time
18 period of these studies, data really from the World Health
19 Organization shows that semen and sperm parameters have
20 really dropped across the world, but they not are
21 necessarily related to fertility. So again, some issues
22 with how this data should be interpreted.

23 The Zeng, Zhou, Sancini, and Wang study were the
24 most significant looking at specifically the levels of
25 nickel in the urine. And they were able to associate that

1 again with subpar sperm quality, but there's really no
2 causation that can be proved from this epidemiologic
3 evidence.

4 The final study, the Zafar study looked at the
5 toxics metals, not just zinc, but did look at zinc plus a
6 number of other ones in human seminal plasma. This was a
7 study done in Pakistan. And again, they showed some
8 decreased parameters. But this study, as well as the
9 others, was really -- there are multiple limitations,
10 mostly due to sample size, and again the co-variables.

11 So summarizing the nine human studies. They're
12 just not of high enough quality to really definitively
13 show that nickel was a causative factor. Although,
14 there's clearly an association.

15 I'm going to move on to the animal studies,
16 unless there's questions.

17 CHAIRPERSON GOLD: No, I think what we'll do is
18 have the secondary discussant for human and then we'll
19 move to animal. So first, are there any questions or
20 comments for Dr. Baskin?

21 Okay. Dr. Carmichael, I believe you're the
22 secondary discussant.

23 COMMITTEE MEMBER CARMICHAEL: Yes. And I have no
24 further comments. Basically, Dr. Baskin made all the
25 points that I would have.

1 CHAIRPERSON GOLD: Very good.

2 Dr. Baskin, I guess you can go on with animal
3 male reproductive

4 COMMITTEE MEMBER BASKIN: The animal studies show
5 a little bit of a different outcome. And I think there
6 were 24 -- well, 24 plus maybe three, depending on how you
7 interpret that.

8 In animal studies, of course, we can directly
9 test the effects of zinc. So splitting up these studies,
10 four of the studies had an end number of less than five,
11 so I just kind of discarded that based on the fact that
12 that was insufficient statistically. And one was looking
13 at nanoparticles, which didn't seem 100 percent germane to
14 what we were looking at.

15 Six of the studies, and these are -- backing up a
16 sec, the majority of studies are done in rats with a
17 number of them done in mice, and then a few other
18 asterisks, which I'll get to. Six of the studies showed
19 no effect, and seven of the studies had pretty decent
20 solid scientific evidence that nickel caused gonad
21 toxicity.

22 And what I mean by gonad toxicity is they either
23 looked histologically and there were beautiful sections of
24 the testicles showing abnormalities in respect to nickel
25 in a number of these studies. They looked at enzymatic or

1 biochemical defects in the testes. And then ultimately
2 they looked at sperm morphology. So I think the science
3 was reasonable solid there.

4 The studies that I would cite as the Das study
5 from 1997, the Das study from 2000, the Doreswamy study
6 from 2004, the Zara study in 2012, and the Murosky study
7 of 2012. So these really spanned, you know, close to at
8 least two decades.

9 Again, the majority of studies were done in rats.
10 One of the questions I had in relation to these were --
11 the effects were clearly there, but were they reversible.
12 That issue wasn't really addressed. In general, it seemed
13 to be dose related, and the studies were done in multiple
14 different fashions, but some were done by gavage, which
15 simulate, I'm assuming, you know, ingesting nickel.
16 Others were done intraperitoneal, which obviously would
17 not simulate, you know, drinking water or ingesting it.

18 And then finally, I wanted to highlight four
19 studies and these were somewhat indirect studies. And
20 what I mean by that is the authors assumed, based on their
21 review of literature, that nickel was toxic to the gonads.
22 And so they weren't trying to prove that nickel was --
23 they are already basically made the scientific assumption
24 that nickel would cause toxicity. And these studies were
25 really focused on seeing if they could prevent the

1 toxicity.

2 So right there it's reasonably incriminating that
3 they felt that nickel was essentially a bad actor to the
4 gonads, and again based on enzymatic biochemical or
5 histologic, an analysis of the sperm. And they used
6 various different methods to try to prevent nickel from
7 being a problem. And these studies specifically are the
8 Das study in 1997, the Kakela study in 1999, the Jargar
9 study in 2012, and the Xie study in 1995, if I'm
10 pronouncing that correctly.

11 So, in summary, there is some concerning animal
12 data that based, I think, on our standards of scientific
13 valid testing that shows that nickel in animals, or
14 soluble nickel I think specifically in an animals can have
15 deleterious effect on male reproduction.

16 CHAIRPERSON GOLD: Okay. Thank you.

17 Any questions or Dr. Baskin?

18 Okay. Dr. Allard, you're the secondary
19 discussant.

20 COMMITTEE MEMBER ALLARD: Yes, I actually agree
21 with Dr. Baskin. And I came to the same conclusion that
22 the data that exists actually supports an effect on male
23 reproductive systems. So I do also want to basically
24 highlight the fact that a lot of the studies were done by
25 injection, which I did not actually give too much weight

1 to, because I did not believe that this was a significant
2 route of exposure. And also, I think it's important to
3 highlight that the distribution of nickel, depending on
4 the route of exposure is actually quite different. So I
5 really considered the oral route and mainly considered
6 those studies.

7 Although, of course, I try to see whether the
8 outcomes align between injection and oral studies. So I
9 guess I'm not going to necessarily mention all the studies
10 that were done. I particularly leaned on Kakela et al.
11 myself from 1999, where male rats were exposed to nickel
12 chloride hexahydrate in drinking water. I was sort of
13 surprised actually at the size of the effect where there's
14 a very strong reduction in the size, especially at 28 days
15 of exposure and the viability of the pups.

16 Other studies also mentioned a dominant lethal
17 effect, which we can perhaps talk a little bit more when
18 we talk about mechanisms next.

19 So to make a long story short, I think altogether
20 the studies, as I mentioned, clearly highlight effect on
21 spermatogenesis in particular. There's definitely, across
22 the many studies, perhaps there were -- in the comments
23 that were mentioned that the fixation protocols do not
24 seem to be consistent. But looking across all these
25 studies, the effect on the seminiferous tubule was

1 actually quite consistent, even if different procedures
2 were actually -- were actually used. So, to me, that
3 actually gives strength to it.

4 The other component that made me decide that this
5 effect is likely real is the fact that the doses
6 administered to get this effect is actually much lower
7 than the other endpoints that we've talked about so far.

8 So, for example, the apoptosis LOAEL in the
9 seminiferous tubule was obtained at 2.5 milligrams per
10 kilogram per day by gavage. And actually there was still
11 a trend at 1.25 milligrams per kilogram per day. So not
12 significant, but it's still trending. So, right,
13 altogether, I'm supportive of a male reproductive toxicity
14 for nickel.

15 CHAIRPERSON GOLD: Okay. Any questions by the
16 Committee about male reproductive?

17 Okay. Seeing none. The last section we're going
18 to deal with is mechanistic studies. And again, Dr.
19 Allard is on. You're going to be the first discussant
20 about mechanistic studies.

21 COMMITTEE MEMBER ALLARD: Okay. So moving on to
22 mechanistic studies. It was clear from the document that
23 there are actually not that many mechanistic studies.
24 Although, different kinds of mechanisms were mentioned as
25 potential ways that the nickel could actually act.

1 So the way -- the way that I've organized things
2 myself in my mind is actually followed an adverse outcome
3 pathway paradigm. So with adverse outcome pathway with,
4 if you're not familiar with it, this is just a way to
5 organize different data sets and components of data sets
6 where you try to organize things from a very molecular
7 level molecular level on the left end and try to link that
8 at the different level of organization from cellular to
9 organ to organism to population response.

10 So I -- what I tried to do is actually link the
11 different elements that we've talked about so far today
12 from human population, and then actually backtrack to try
13 to find the molecular events that could be explanatory of
14 the various outcomes that have been discussed.

15 So I'm -- normally, you would have one AOP per
16 sort of endpoint. And I'm -- that would be a lot of AOPs,
17 considering everything that was mentioned today. So I'm
18 not necessarily going to do that. I'm going to highlight
19 the ones that I thought were perhaps the most convincing
20 ones.

21 So the -- some of the ones that I considered,
22 were issues with pregnancy, neonatal/perinatal deaths, and
23 especially male fertility issues, because of the -- what I
24 believe to be the strength of the -- of the rodent data in
25 that -- in that sense.

1 So looking at all this, the sort of four
2 different mechanisms that I gleaned from the literature
3 from this document, as well as the larger body of
4 literature, were the fulling oxidative stress and DNA
5 damage is something that comes back quite often.
6 Actually, in the hazard identification document itself.
7 So it's a commonly cited cause for the impact of nickel on
8 a variety actually of biological systems.

9 So, for example, here in the testis, the study
10 from Doreswamy et al. from 2004, while performed by ip
11 injection showed an increased level of signs of oxidative
12 stress such as, for example, lipid peroxidation.
13 Something that was actually observed in other tissues as
14 well in other studies.

15 The -- but to go back to the Doreswamy et al.
16 study, they also, together with signs of oxidative stress,
17 detected DNA damage in testis and epididymis in a
18 concentration dependent manner, which they basically
19 surmised is linked to the generation of oxidative damage,
20 which would make sense, but is not necessarily directly
21 shown here.

22 Again, signs of oxidative stress have been
23 identified in other tissues, such as in the ovary, the Rao
24 et al. study from 2009 points out again lipid peroxidation
25 in the ovary following nickel chloride exposure in mice at

1 all stages.

2 And I want to link that back to the signs of
3 oxidative stress in human studies, although again of
4 varying qualities. But the Ni et al. study from 2014
5 highlighted oxidative damage as measured by a
6 8-hydroxydeoxyguanosine levels in umbilical cord blood.
7 And the larger body of literature has also indicated that
8 oxidative stress can be detected in the blood correlating
9 with nickel exposure.

10 And I think while I build my own AOP, I was
11 comforted in routing some of the outcomes of the -- the
12 outcome that I think would derive from this is definitely
13 the decrease in male fertility. And other AOPs have
14 actually been done, albeit for aquatic species, with
15 nickel. So Briggs et al. in 2016 published an AOP for
16 nickel, again in aquatic species. And they do mention
17 our -- the generation of reactive oxygen stress and
18 oxidative stress as being perhaps one of the main causes
19 of the different endpoints that have been observed in
20 aquatic species.

21 Although they also mention disruption of calcium
22 and magnesium as being potentially also involved in -- in
23 different endpoints.

24 So the -- again, looking at the literature
25 presented here, and the wider body of literature, the

1 generation of oxygen species, reactive oxygen species and
2 DNA damage could be the cause, especially of the increased
3 apoptosis that was mentioned several times across various
4 studies, but especially in the testes in males.

5 We've also talked about the alteration of
6 hormonal production and levels. This could be linked
7 again to the male decrease in sperm production observed in
8 animal studies. So, for example, the -- I hope I don't
9 mispronounce. I probably will -- the Forgacs et al. study
10 from 1998 looked at the production of testosterone by
11 Leydig cells in vitro in response to gonadotropins and so
12 that nickel sulfate exposure decreased the production of
13 testosterone. This was also observed in other studies
14 that I'm not necessarily going to mention here.

15 Another study by the same author in 1997 looked
16 at granulosa cells in response to HCG and saw that the
17 progesterone production was also dramatically decreased by
18 nickel sulfate. So the production of hormones and the
19 ability of nickel to alter the production of hormones
20 could potentially be responsible for, at least again in
21 males, the decrease in sperm production that's observed.

22 There was some mentions of alteration of
23 enzymatic level which is the third mechanism. Although, I
24 think the evidence was very thin on that one. And the
25 last one is the milk production/composition change. And

1 at least I felt on this end that the -- the data was a
2 little bit stronger, again to explain why there's elevated
3 perinatal death. Perhaps milk is not sufficient to
4 provide nutrition at these stages, and the reduction in
5 prolactin observed after nickel exposure could be
6 explanatory in that sense. Although, we don't really
7 understand why prolactin could -- would be decreased after
8 nickel exposure.

9 If indeed, as has been observed in other systems,
10 calcium and magnesium and homeostasis is altered, perhaps
11 that could explain why prolactin production would be
12 decreases.

13 So I want to step a little bit -- to conclude my
14 part here, I wanted to step a little bit away from just
15 the studies I mentioned in the hazard identification
16 document. Just like I did last time, I do tend to look at
17 the ToxCast data of in vitro data sets to look at what,
18 you know, the collection of the hundreds and thousands of
19 assays that have been performed what nickel seems to show
20 activity towards.

21 And it seems that at an extremely low level,
22 nickel sulfate in the nanomolar range has a very strong
23 ability to activate P53, which I thought was very
24 consistent -- oh, and sorry, this was corroborated in
25 other studies and reviews of toxicogenomics data that

1 showed the P53 pathways, dependent pathways, were strongly
2 altered following nickel exposure.

3 So I thought the apoptosis -- the apoptotic
4 findings that I often mentioned in the testis were really
5 congruent with the in vitro ToxCast data generated in a
6 completely different cell type. We're talking about
7 colorectal cell HCT116, I believe, cell line. But this
8 very strong ability to activate P53 and lead to Apoptosis
9 was consistent with many of the findings that pertain to
10 the male reproductive toxicity.

11 I -- one thing that I was surprised not to see
12 anywhere was the mention of a epigenetics. And yet,
13 there's quite a bit of data out there about epigenetics
14 and nickel exposure. DNA methylation seems to be strongly
15 altered by nickel. Actually, DNA methyltransferase
16 activity seems to be lowered by nickel exposure, at least
17 in Chinese hamster sells. That is the Lelal study from
18 1998. So we've known for a while now that DNA methylation
19 is altered by nickel. And yet, this has not been
20 necessarily explored further in any of the studies that
21 we've looked at so far today.

22 And histone modifications also seem to be altered
23 at least H3K trimethyl and H3K9 dimethyl show from the
24 Brocheto & Costa 2015 study in PBMCs in peripheral blood
25 mononuclear cells show the global levels of these marks

1 seems to be decreased. And again there's a lot of
2 developmental effects that could be, of course, caused by
3 DNA methylation. This is extremely sensitive and I'm not
4 saying that this is necessarily to be part of an AOP, but
5 this is something to think about when we think about
6 nickel, and something to explore -- to explore further.

7 So, basically all of this together in terms of
8 the mechanisms, it's clear that we are only at the
9 beginning of being able to build an AOP based on the data
10 that exists -- the mechanistic data that exists, but that
11 there's definitely convergence of studies that show that
12 nickel exposure can create a reactive oxygen species and
13 an oxidative stress response that this is likely
14 causative, or perhaps in parallel, causes DNA damage,
15 which eventually increase apoptosis. And again this could
16 be explanatory towards the male reproductive toxicity
17 findings.

18 I'll end my comments there.

19 CHAIRPERSON GOLD: Thank you.

20 Any questions for Dr. Allard?

21 Okay. Seeing none. Dr. Pessah, you're our
22 secondary discussant.

23 COMMITTEE MEMBER PESSAH: Sure. So I think the
24 potential mechanisms were covered in quite some detail.
25 Thank you. There is some data that hasn't been included

1 in this report, which really addresses some of the known
2 influences of nickel, maybe not vis-à-vis with regard to
3 developmental or reproductive toxicity, but it's just in
4 general about the toxicity of nickel, which involves
5 shifts in metabolism in a number of in vitro systems, in
6 particular the hypoxia inducible factor alpha if -- one
7 alpha, which seems to be quite sensitive and seems to
8 impact mitochondrial function. And so that probably
9 should be taken into account, given that it's one of the
10 more potent effects of nickel.

11 Again, its relationship to everything we've heard
12 is perhaps less clear, because those outcomes haven't been
13 measured in a -- in a reproductive study.

14 Other than that, I really don't have much to add.

15 CHAIRPERSON GOLD: Okay. Thank you.

16 Any comments or questions by the Committee at
17 this point?

18 Dr. Plopper.

19 COMMITTEE MEMBER PLOPPER: Well, one of the
20 things I'd like to hear addressed is the fact that if
21 this -- the mechanisms that have been proposed, if they're
22 in other systems with other toxicants that continued
23 exposure alters the biology of the targets. And they
24 actually become resistant, particularly for oxidant
25 stress. And I'm just wondering how that would fit into

1 this picture, because some of the studies we talked about
2 are long-term exposures in humans and in animals, and
3 there's less response. And I'm just wondering if part of
4 -- if that is -- if oxidant stress is a mechanism -- I
5 know there's probably no literature on it, but it's just
6 been striking me from what you've been saying that these
7 are things that would normally -- would very often -- not
8 normally, but would very often alter with time.

9 So when we have some studies that are
10 three-generational animal studies, the survivors of the
11 survivors of the survivors are the ones that are tested in
12 the third generation. So are those the ones that most
13 easily develop a resistance, because they become adapted?

14 That's all I -- just -- I don't know if that
15 helps or hinders, but it's something I think we need to
16 think about, because the human studies those are long
17 exposures. And that's usually long enough to cause a
18 resistance, a change if it's an oxidant stress type of
19 mechanism.

20 CHAIRPERSON GOLD: Dr. Allard, do you want to
21 comment or...

22 COMMITTEE MEMBER ALLARD: Yeah, I -- I would
23 actually need to go back to look exactly at the studies
24 and make correlations, but I thought -- I think that
25 question of timing, at least in my mind, might explain

1 why, in some studies, they actually see a decrease in
2 antioxidant response, and in some studies they actually
3 see an increased response to -- to potential oxidative
4 stress, so -- but in -- but in both cases, they took that
5 as showing that there was an altered way of dealing with
6 oxidative stress, whether they saw a decrease in SOD or an
7 increase in SOD, for example.

8 So perhaps the timing would actually explain the
9 discrepancies between the studies in seeing, you know,
10 either a lowered response or a heightened response.

11 CHAIRPERSON GOLD: Dr. Pessah, did you want to
12 add anything to that?

13 COMMITTEE MEMBER PESSAH: No, I just want to
14 caution that an outcome of oxidative stress is totally
15 pleiotropic and non-specific, and virtually any foreign
16 substance can be associated with a change in the level of
17 oxidative stress markers.

18 And so whether this is really an AOP or a just a
19 consequence of the exposure that would have occurred
20 whether they used any metal for that matter really is
21 unclear at this point. So that's why I thought maybe if
22 you look into the mechanisms of shifts in metabolism,
23 which are really important in metabolically active
24 tissues, such as testes and sperm, that might give you
25 some insight into an AOP.

1 But I'm less -- less convinced that just showing
2 that you have oxidative stress biomarkers are at all
3 causative for some of these other effects.

4 COMMITTEE MEMBER ALLARD: I absolutely agree. I
5 think -- as I mentioned, I think we are really at the
6 early stages of trying to thread some of those findings
7 together. What I did appreciate though in the other AOP
8 for aquatic species that was published is that they --
9 they made similar, I would call them, jumps at this stage,
10 talking about how nickel can affect iron homeostasis and
11 iron itself, but being important for exploration could
12 explain some of oxidative -- oxidative stress findings
13 that were found across multiple species at this stage.

14 But right, it's a very sort of broad, you know,
15 oxidative stress and then what? You know, how do you move
16 on from that? I lean much more on the apoptotic pathway
17 especially because this was identified in vitro at
18 extremely low levels and in the normal range.

19 CHAIRPERSON GOLD: Okay. Any other comments or
20 questions at this point?

21 Okay. Can we take one minute to organize the
22 public comments. I have three requests. And I just want
23 to make sure there are no more.

24 Two of them we know about, right. Yeah. So we
25 have -- we gave 15 minutes to the folks from Gradient, and

1 15 minutes -- excuse me -- to the folks from NiPERA,
2 because they requested that by the deadline.

3 And then we have two others now for five-minute
4 presentations. If there's anyone else, this is your last
5 chance.

6 Okay. So we have Robyn Prueitt from Gradient.

7 (Thereupon an overhead presentation was
8 Presented as follows.)

9 DR. PRUEITT: Thank you. Thank you very much for
10 the opportunity to speak here today. I'm Robyn Prueitt, a
11 toxicologist at Gradient, an environmental and risk
12 sciences consulting firm. And I'll be providing comments
13 on the epidemiology evidence regarding the potential
14 reproductive and developmental toxicity of nickel and
15 nickel compounds. And while some of this will reiterate
16 what was presented by both the staff and the Committee
17 here today, I will also discuss how we evaluated study
18 quality in a fairly systematic way, and how we considered
19 the form of nickel evaluated in the studies.

20 --o0o--

21 DR. PRUEITT: So we evaluated the 40 epidemiology
22 studies reviewed in the hazard identification materials as
23 well as the four additional studies of autism that were
24 sent to the Committee members after the original hazard
25 identification materials were completed.

1 On our initial review, we found that one
2 developmental study and one male reproductive study
3 were -- did not evaluate statistical associations between
4 nickel exposure and any health outcome. So we found that
5 these studies are not informative for evaluating potential
6 health hazards of nickel.

7 --o0o--

8 DR. PRUEITT: So for our evaluation, we first
9 assessed the quality of the studies by conducting a
10 standardized risk of bias analysis, which I will explain
11 further on the next slide. We then evaluated the study
12 results with consideration of how the factors that affect
13 the risk of bias impact the interpretation of the results,
14 and also with consideration of the form of nickel
15 evaluated.

16 Then we integrated the evidence across the
17 studies that evaluated the same type of outcome
18 considering the consistency of the results, and placing
19 more weight on studies with lower risks of bias when
20 possible.

21 When integrating the evi -- when -- and we did
22 this because when integrating evidence, it's important to
23 give appropriate weight to results based on study quality
24 rather than just whether the findings are positive or
25 null.

1 --o0o--

2 DR. PRUEITT: For the risk of bias analysis, we
3 used the risk of bias rating tool that was developed by
4 the National Toxicology Program's Office of Health
5 Assessment and Translation. And this tool is used to
6 assess the Study quality characteristics that may impact
7 the validity of a study's results. We sign risk of bias
8 ratings to each study across nine different domains
9 including the three key domains of exposure assessment,
10 outcome assessment and confounding, as well as the domains
11 of selection bias, attrition bias, statistical methods,
12 exposure levels, temporality, and the form of nickel.

13 And so using this tool, we found that all of the
14 epidemiology studies have a moderate risk of bias, and
15 thus are generally a low quality, which decreases the
16 reliability of their results.

17 In general, most of the studies did not employ
18 appropriate statistical approaches to assess potential
19 confounding. They used area level exposure measurements
20 or assessed exposure using indirect measures that were not
21 validated. And they were not able to assess the temporal
22 relationship between nickel exposure and the outcome of
23 interest. And this indicates a high risk of bias in these
24 domains.

25 Some studies had a higher or lower risk of bias

1 across more domains than others. And the results of
2 studies with a lower risk of bias across the key domains
3 are likely more reliable than studies with a higher risk
4 of bias across these domains.

5 --o0o--

6 DR. PRUEITT: For the three studies of female
7 reproductive outcomes, they evaluated non-occupational
8 exposures, which are primarily to soluble nickel sulfates
9 and nickel oxides. And each study evaluated different
10 outcomes. As discussed earlier, the studies with time to
11 pregnancy and pre-eclampsia is the outcome reported no
12 association. And then a third study reported higher
13 nickel concentrations in the serum of polycystic ovary
14 syndrome cases, as well as decreased levels of sex hormone
15 binding globulin with in -- with increasing serum nickel
16 concentrations.

17 But there were no changes in other clinical
18 chemistry parameters that would be expected to change in
19 relation to sex hormone binding globulin levels.

20 And as discussed earlier by the Committee, this
21 study they looked at many different hormones and did not
22 adjust for multiple comparisons. So this decreased sex
23 hormone binding globulin levels in the absence of any
24 other changes -- related changes, you know, could be a
25 spurious results.

1 So given the factors that contributed to the
2 moderate risk of bias for this study, as well as the other
3 studies, particularly a lack of accounting for important
4 confounders, likely selection bias and an inability to
5 assess the temporal relationship between exposure and
6 outcomes, these reported associations need to be confirmed
7 in other studies before they can be used to support a
8 hazard listing for nickel as reproductive toxicant. So
9 overall these three studies did not provide evidence for a
10 causal association between exposure to nickel and female
11 reproductive outcomes.

12 --o0o--

13 DR. PRUEITT: So we evaluated eight cross-
14 sectional studies that evaluated male reproductive
15 outcomes. And as with the studies of female reproductive
16 outcomes, all these studies evaluated exposures to
17 primarily soluble nickel sulfates and nickel oxides. And
18 studies evaluated associations between nickel exposure and
19 hormone levels, sperm DNA damage, and sperm function
20 parameters.

21 And the table on the slide shows the results for
22 each specific endpoint with a zero indicating no
23 association, and up or down arrows indicating an increase
24 or decrease in -- of the endpoint respectively.

25 And as you can see from the table, the results

1 are inconsistent across studies. It appears that a lot of
2 the null results were not presented by the staff earlier,
3 but I think we have a complete listing here in this table.
4 It's notable that in five of the eight studies, the
5 participants were infertile or were male partners in
6 couples undergoing infertility assessment in China or
7 Pakistan. So the results of these studies are not
8 generalizable to the general U.S. population.

9 Because all of the studies were found to have
10 moderate risk of bias, the validity of their results is
11 questionable, and they do not support a hazard listing for
12 nickel or nickel compounds as male reproductive toxicants.

13 --o0o--

14 DR. PRUEITT: Of the developmental studies, seven
15 evaluated birth defects with five reporting null or
16 statistically significant negative associations with
17 nickel. And three of those studies had a low risk of bias
18 across many of the domains evaluated, which may increase
19 the reliability of their results.

20 And two of those studies evaluated associations
21 with high occupational exposures to soluble nickel
22 aerosols in a nickel refinery, where high exposures to
23 insoluble forms of nickel such as sulfidic, oxidic, and
24 metallic nickel also occur.

25 And of the two studies reporting statistically

1 significant positive associations, one was an occupational
2 study that was criticized by the editors of the journal it
3 was published in for being incompletely documented, and
4 was deemed inconclusive. And so that's the Chashschin
5 study. Also, its results were not reproduced in a more
6 thorough study of the same cohort of workers that reported
7 no results, which is one of the studies by Vaktskjold.
8 I'm not sure I'm pronouncing these names correctly.

9 And so because the majority of studies reported
10 null or negative results, including those with more
11 reliable results, they do not support a causal association
12 between exposure to nickel or nickel compounds and birth
13 defects.

14 --o0o--

15 DR. PRUEITT: So of the 10 studies that evaluated
16 low birth weight, five reported null or negative
17 associations with nickel exposure, four reported
18 statistically significant positive associations, and one
19 reported a borderline significant positive association.

20 One study reporting a negative association, and
21 two of the studies reporting positive associations have a
22 lower risk of bias across more domains than the other
23 studies, so their results are likely more reliable. And
24 the two studies reporting positive associations were
25 multi-pollutant studies that used univariate analyses to

1 evaluate associations, that's the Ebisu and Bell and the
2 Bell study.

3 And then the study reporting a negative
4 association, another study by Vaktskjold is an
5 occupational study with high exposures to multiple forms
6 of nickel. And as Dr. Mike Taylor will be noting in his
7 presentation that will come up after mine, an independent
8 analysis by a biostatistician, Steve Seilkop, indicates
9 that this study had adequate statistical power to detect
10 the effects on low birth weight reported in the Ebisu and
11 Bell study, if they are indeed causal, at nickel
12 concentrations that are much lower than those estimated
13 for the workers in this study.

14 This demonstrates the importance of testing
15 hypotheses generated by individual pollutant analyses in
16 multi-pollutant studies. So given this analysis, as well
17 as the inconsistency of the results for low birth weight
18 across studies, even those of similar reliability, the
19 studies evaluating nickel associations with low birth
20 weight do not provide evidence to support a causal
21 association.

22 --o0o--

23 DR. PRUEITT: Seven studies evaluated autism
24 spectrum disorder, including the four studies sent to the
25 Committee members after the original hazard identification

1 materials were completed. Five of these studies reported
2 no association with autism, including one study that
3 evaluated occupational exposures.

4 Of the two studies reporting statistically
5 significant positive associations, these are limited by a
6 lack of confidence in the exposure assessment, a lack of
7 accounting for important confounders, and inappropriate
8 statistics. So it's unclear if the positive results are
9 attributable to bias or confounding.

10 Overall, the majority of studies reported no
11 results. And together, they do not support a causal
12 association between nickel exposure and the development of
13 autism.

14 --o0o--

15 DR. PRUEITT: A few additional studies assessed
16 associations between nickel exposure and adverse pregnancy
17 outcomes, DNA oxidative damage, and the development of
18 early life cancers. And each outcome was evaluated in
19 only one or two studies, and none of the studies accounted
20 for potential confounders.

21 And in addition, the results across these studies
22 were largely null. So overall, they do not provide
23 evidence to support a causal association with nickel
24 exposure.

25 --o0o--

1 DR. PRUEITT: So, in conclusion, we found that
2 all of the reviewed epidemiology studies have a moderate
3 risk of bias, indicating that they're not valid according
4 to generally accepted principles of study quality.

5 Most studies evaluated associations with
6 exposures to soluble and oxidic nickel. And the results
7 were largely null or inconsistent across studies
8 evaluating the same outcome. The studies of nickel
9 refinery workers had additional exposures to sulfidic and
10 metallic nickel and their results were largely null, or
11 with any positive results not being reproducible in more
12 reliable studies.

13 Overall, the epidemiology studies do not provide
14 clear evidence for associations between exposure to any
15 form of nickel and reproductive or developmental outcomes.
16 Therefore, they do not provide sufficient or even limited
17 evidence for recommending nickel and nickel compounds for
18 listing as reproductive toxicants.

19 Thank you.

20 CHAIRPERSON GOLD: Thank you. Are there any
21 questions from the Committee for Dr. Prueitt?

22 Thank you.

23 DR. PRUEITT: Thanks.

24 CHAIRPERSON GOLD: Next, we have Michael Taylor
25 from NiPERA and he was given 15 minutes as well.

1 (Thereupon an overhead presentation was
2 Presented as follows.)

3 DR. TAYLOR: Very good. Thank you. Thank you
4 for the opportunity to address the Committee today.

5 I'm Mike Taylor. I'm a toxicologist NiPERA.
6 NiPERA is the science branch --

7 Microphone on. Yes. I'm just not close enough.

8 NiPERA is the science branch of the Nickel
9 Institute, which is a global association of leading nickel
10 producers. And I'm here today obviously to tell you our
11 view of the data available for the proposed listing of
12 nickel and nickel compounds for reproductive and
13 developmental toxicity.

14 We solicited advice from experts in reproductive
15 and developmental toxicity, both for animal evidence that
16 experts at Exponent reviewed Dr. John DeSesso and Dr. Amy
17 Williams, and -- as far as the human evidence, the experts
18 at Gradient, Dr. Julie Goodman and you've heard from Dr.
19 Robyn Prueitt, and also Steve Seilkop who's an
20 independent biostatistician.

21 And they've all submitted written comments. And
22 this presentation will summarize those comments and expand
23 on them a little bit. I hope you've got the opportunity
24 to -- to review those receive -- you've both received and
25 got the opportunity to review those written comments.

1 --o0o--

2 DR. TAYLOR: So while we know exposure is not a
3 criterion for listing under Proposition 65. For
4 reproductive effects though, it's the consideration of
5 internal doses, systemic exposure in bioavailability that
6 are important, when evaluating the consistency of study
7 outcomes, both across different population studies,
8 workers and general public, and, of course, between humans
9 and animals as well.

10 As far as the general population the -- by far
11 the greatest route of -- the greatest source of
12 exposure -- internal exposure is via the diet, because
13 nickel is naturally contained in our diet. It's essential
14 to plants and found in plants in this -- in our diet.

15 It's also found in the earth's crust, so it's a
16 natural component of water. And found in drinking water,
17 the absorption of nickel is highest in drinking water in
18 the fasting state up to 27 percent absorption. But
19 absorption is much lower from food, typically one to three
20 percent, and even lower than from insoluble compounds,
21 which might be found in soil or nickel metal or nickel
22 alloys.

23 And I think this is a point I want to make about
24 the relevance of different routes of administration. And
25 what can happen between an oral route of administration

1 say, and then perhaps an injection or an I.P. route, where
2 during the oral route when the absorption is lower and
3 controlled, nickel is bound in the serum to proteins and,
4 of course, absorption is regulated.

5 During injection, or I.P., studies that -- those
6 mechanisms were overwhelmed. There's a lot more free
7 nickel available in the serum, and then a lot more
8 systemic availability that is artificially high that you
9 couldn't get through a relevant route of exposure.

10 But regarding inhalation, the public is exposed
11 to soluble and oxidic nickel compounds in ambient air at
12 very low levels. And, in fact, the blue doesn't even show
13 up I think on that chart as far as the contribution at
14 normal levels to systemic exposure in the public.

15 Workers can be exposed to soluble, insoluble
16 compounds or metallic nickel, depending on what their
17 processes are that they're working on. And welders can be
18 exposed if there's nickel in their materials to complex
19 oxides call spinels.

20 And at very high levels of inhalation exposure,
21 this route can dominate systemic delivery, as you can see.
22 So again, it's important to consider these different
23 exposures when thinking about different studies, and done
24 by different routes.

25 --o0o--

1 DR. TAYLOR: So as I've alluded to, and we've
2 talked about already some today, that nickel can be found
3 in different chemical forms. They have different physical
4 chemical properties, and thus different toxicological
5 properties, the water soluble nickel compounds, and that
6 includes nickel chloride, nickel sulfate. They're both
7 very soluble. And that's what the majority of studies
8 have been done on.

9 And insoluble -- water Insoluble nickel
10 compounds, and then metallic nickel, that's the form of,
11 you know, obviously, nickel metal and in the form of
12 nickel in alloys.

13 And the bioavailability and the systemic toxicity
14 of the soluble nickel compounds are much greater than
15 that, of the insoluble compounds and of nickel metal. And
16 very early on, we mentioned nickel carbonyl. It's already
17 on the list. And it's a -- while it's relatively
18 insoluble in water, it's an organometallic and is actually
19 pretty highly bioavailable. And it's a -- it's a
20 different animal. We're not really discussing it here
21 in -- as far as these compounds go.

22 And speaking of compound, nickel metal obviously
23 is not a nickel compound. It's elemental nickel and it
24 has a different mechanism of nickel ion release. It
25 requires corrosion on the surface to release nickel ions,

1 rather than the dissociation that's involved with the
2 dissolution of nickel from nickel compounds.

3 And obviously it's in a zero valence state. It's
4 grouped with nickel compounds for -- I think, for this
5 evaluation because it's grouped with nickel compounds for
6 the Proposition 65 listing for carcinogenicity. And it's
7 important to remember that the carcinogenicity effect is
8 by inhalation -- by inhalation only is a local respiratory
9 tract effect, and not a systemic effect.

10 And actually, nickel metal typically has a
11 lower -- or a carcinogenicity classification as far as not
12 being a known human carcinogen. And all this goes
13 together just to talk about the different properties that
14 these different compounds and nickel metal can have, and
15 why they should be considered separately for Proposition
16 65 listing for reproductive toxicology.

17 --o0o--

18 DR. TAYLOR: And if that's the case then, there
19 would be a separate consideration done perhaps for each
20 group of compounds, and then for nickel metal for each
21 classification -- classification of effect that's been
22 discussed here today.

23 And I think in that case, the strength and
24 consistency of the evidence available by each of those --
25 for each of those groups could be considered. And also

1 bioavailability and toxicokinetic data could be considered
2 as well in those decisions.

3 That's what we've done in our written comments,
4 and our outside experts have done that as well. And I'll
5 go through these. But as you can see, in our view, we
6 view that listing is warranted, based on the soluble
7 nickel effects on developmental toxicity, just based on
8 animal studies only.

9 --o0o--

10 DR. TAYLOR: So about those developmental
11 effects, we -- the high dose oral exposure of soluble
12 nickel compounds, both in rats and mice, increased
13 developmental effects consistently, and increased -- dr.
14 Plopper, we just call them perinatal mortality, because
15 it's -- it's -- it could be several things, but it tends
16 to cause the death of pups around the time of parturition.

17 And so the perinatal mortality effect is -- is
18 what we've seen and what's seen consistently. There can
19 be other effects at higher doses, but the perinatal
20 mortality effects in rats is the most sensitive effect in
21 the most sensitive species. The lowest low effect level.

22 --o0o--

23 DR. TAYLOR: And I do -- I do think the evidence
24 shows that rats are more sensitive than mice, just because
25 the low effect levels in rats are much lower than the no

1 interest in the effect. And therefore there was --
2 what -- pretty much the definitive study design with the
3 Vaktskjold studies and the birth registry -- the Kola
4 birth registry established, and the Vaktskjold studies
5 were then performed, and at much higher urinary nickel
6 levels than is seen in the general public, where effects
7 are sometimes reported in multi-pollutant studies for
8 things like small gestational age. Those effects were not
9 repeated or not realized in the -- in the worker studies.

10 And then this also compares to the levels where
11 the rats have seen and not seen effects as far as the
12 internal exposures there go.

13 And this just would say either -- either the
14 mechanism of action for these effects in rodents either
15 aren't relevant for humans or that humans just aren't
16 achieving -- aren't able to achieve those levels of
17 internal exposures even at very high levels of workplace
18 exposure that aren't realized anymore in the workplace in
19 modern times.

20 --o0o--

21 DR. TAYLOR: As far as the insoluble compounds go
22 in the nickel metal as far as developmental toxicity, we
23 felt that there's no reliable animal studies that properly
24 assess these effects for insoluble nickel compounds in
25 nickel metal, no definitive study that can be used to make

1 a determination of causality. But insoluble nick
2 compounds and nickel metal are unlikely to cause these
3 effects just due to their much lower bioavailability than
4 the soluble compounds.

5 For instance, they have 100-fold or greater oral
6 absorption of nickel from nickel oxide and nickel metal
7 shown in toxicokinetics study. And also, there's much
8 lower acute toxicity values for these -- for insoluble
9 compounds in nickel metal than soluble compounds just
10 showing the systemic toxicity is limited.

11 So we feel that in these compounds -- in soluble
12 compounds and nickel metal have not been clearly shown to
13 cause developmental toxicity.

14 --o0o--

15 DR. TAYLOR: As far as female reproductive
16 effects go, Exponent did a review of those studies and
17 used only the ones that are done by relevant routes of
18 administration, in this case just compared the oral
19 studies. And those found that only -- they only thought
20 that the paranoid or mortality effects of the soluble
21 compounds warranted hazard listing. There weren't clear
22 effects that the maternal effects were involved. It
23 wasn't clear that maternal effects were involved. And
24 they just -- they concluded that the listing should be for
25 developmental toxicity only for the soluble compounds.

1 I'll move on as my colleague has already covered
2 the human studies. But as far as female reproductive
3 toxicity, neither nickel compounds, soluble compounds
4 insoluble compounds or metal, have met the listing
5 criteria.

6 --o0o--

7 DR. TAYLOR: The male reproductive toxicity,
8 again when only considering the relevant routes of
9 exposure, Exponent looked at those studies that looked at
10 different aspects of male reproductive toxicity, and found
11 them -- the results to be highly contradictory across the
12 studies. In those cases, there were some problems with
13 testicular histopathology, fixation. It takes special
14 fixative to rapidly penetrate the membranes or the
15 covering of the testes and fix those tissues before they
16 break down.

17 And it's important to remember that the large and
18 robust multi-generational rate studies of solu -- of
19 soluble nickel compounds have not shown any effects on
20 male fertility. Soluble compounds though, we wouldn't
21 expect either to have effects for insoluble compounds or
22 nickel metal either.

23 So in that case, our view is that no compounds,
24 either soluble or insoluble compounds, nor nickel metal
25 have met the criteria for listing.

1 --o0o--

2 DR. TAYLOR: The last thing I'll show you is that
3 the European Commission in 2008 evaluated the same set of
4 data that -- at least up to what was available to them in
5 2008 to decide on their classification in Europe for
6 different -- for nickel compounds and for nickel metal,
7 and at that point, decided that only the soluble
8 toxicity -- soluble compounds met the criteria for
9 developmental toxicity based on the animal evidence. The
10 insoluble compounds metallic metal did not, specifically
11 because of a lack of bioavailability. So the harmonized
12 classifications are just for developmental toxicity for
13 soluble compounds, not for reproduction infertility or for
14 effects versus via lactation in Europe.

15 --o0o--

16 DR. TAYLOR: So our request is that you consider
17 this consistent messaging from both our experts and from
18 Europe and list for developmental toxicity. However, the
19 main request is that you consider all these compounds
20 separately, because they have different physical chemical
21 and toxicological properties.

22 Thank you.

23 CHAIRPERSON GOLD: Thank you very much.

24 Any questions from the panel?

25 Thank you.

1 We also have John Hewitt from Grocery
2 Manufacturers Association.

3 MR. HEWITT: If I could just ask, Chair Gold, for
4 the timer to stop just long enough for the comments to get
5 handed down, so that you'll have them in front of you,
6 because I'll reference those in my oral comments to try
7 and be succinct and quick as possible. Thank you.

8 CHIEF COUNSEL MONAHAN CUMMINGS: John, do you
9 have a copy for the court reporter.

10 MR. HEWITT: Carol, they are making their way.

11 CHIEF COUNSEL MONAHAN CUMMINGS: Oh, there they
12 are.

13 MR. HEWITT: And...

14 Thank you Chair Gold for your indulgence in
15 letting me pause long enough to hand those out. And for
16 the record, John Hewitt on behalf of the Grocery
17 Manufacturers Association. Today, I'm here also on behalf
18 of the Council for Responsible Nutrition and the American
19 Herbal Products Association. My apologies that we did not
20 meet the timeline for submitting comments in a timely
21 fashion. What you have in front of you are our written
22 comments, and I'll try to summarize those as quickly as
23 possible.

24 The -- I'll give you the desert first, the
25 conclusion first. It's our position that the umbrella

1 term nickel and nickel compounds encompasses dozens of
2 distinct chemicals, each with its own unique properties
3 and toxicity level. We believe that listing all of these
4 chemicals under a single Proposition 65 listing would
5 violate Prop 65 and the implementing regulations.

6 CRN, GMA, and AHPA would urge this committee to
7 reject this proposed listing and instead to consider only
8 whether individual compounds of the nickel and nickel
9 compounds category can clearly be shown through
10 scientifically valid testing to cause reproductive
11 toxicity with the meaning of Prop 65.

12 To support that, I would just -- I would ask that
13 the Committee focus its attention to the bottom of page
14 two and the top of page three of our written comments. In
15 that section, and I'll grab some of the -- just a few of
16 the key sentences there for the benefit of the audience as
17 well. You know, we believe the proposed listing seeks to
18 label dozens of chemicals, metallic nickel and every
19 nickel compound, both soluble and insoluble, as
20 reproductive toxins with a single stroke.

21 We believe that this Committee should endeavor to
22 be far more specific than the proposed listings to apply
23 specifically the scientific evidence before it.

24 I would say that in looking at the second
25 paragraph in paragraph -- on page three, OEHHA itself

1 acknowledged in its scientific document in support of the
2 proposed listing that quote, "The various nickel compounds
3 differ in toxicity", end quote. And that is from page
4 nine.

5 Despite this, of the dozens of unique nickel
6 chemicals in existence, OEHHA noted that only six forms of
7 nickel are typically used in the reproductive toxicity
8 studies, three forms of nickel salts, and three elemental
9 forms. As a result, from its very outset, we believe the
10 information provided to this committee for its
11 consideration concerned only a fraction of the known
12 nickel compounds.

13 Furthermore, studies have indicated that the
14 absorption not only differs due to the solubility of
15 nickel compounds, but also in the context of the route of
16 exposure. OEHHA acknowledged this in its scientific
17 document in support of the proposed listing. The
18 conclusion -- and the conclusion by Sunderman et al.,
19 which states that the dietary constituents significantly
20 reduce the bioavailability of nickel.

21 OEHHA also notes in this conclusion was further
22 supported by the findings of Solomons et al. and Nielsen
23 et al. and those are on page 12 of OEHHA's documents.

24 Again, we believe these findings suggest that the
25 very -- that there exists varying levels of toxicity, even

1 among the different routes of exposure to nickel
2 compounds. And seeing that I have about a minute left, I
3 will do my best to summarize, Chair.

4 So we would reiterate our previous point that
5 each form of nickel must be evaluated for toxicity before
6 determining its potential for listing. We would
7 respectfully direct this Committee's attention to page
8 nine of the Nickel Producers Environmental Research
9 Association's comments, which points out that IARC, NTP,
10 and the European Union all make categorical distinctions
11 between metallic nickel and nickel compounds, and have
12 classified them differently based on the varying levels of
13 carcin -- carcinogenicity.

14 We would also direct this Committee's attention
15 to the 2008 European Commission report cited on page 11 of
16 the aforementioned comments, which conclude, the insoluble
17 nickel compounds such as nickel oxide and sulfides and
18 also metal -- metallic nickel did not meet the criteria to
19 be classified as reproductive toxicants.

20 Seeing that I'm out of time, I will summarize
21 Chair Gold and say that, in summary, scientifically valid
22 testing does not exist to show the entire category of
23 metal -- metallic nickel and nickel compounds in this case
24 would cause reproductive toxicity.

25 Thank you, Chair.

1 CHAIRPERSON GOLD: Yeah. Thank you.

2 Are there any questions?

3 Okay.

4 The last person that we have is Joshan Unuvar. I
5 apologize if I mispronounced.

6 MR. UNUVAR: Thank you very much for the
7 opportunity. And I agree with the previous public
8 comments that nickel metal and soluble nickel compounds
9 have to be evaluated separately to prevent needlessly
10 alarming the public.

11 As mentioned, nickel exists in food and water.
12 And I have -- I gave some examples in my written comments.
13 For example cocoa powder is 12,000 micrograms per
14 kilogram. So it would be pretty hard to believe that, you
15 know, going to the grocery store and buying something, you
16 would be exposed to potential toxicants, and there is no
17 direct link to it.

18 Also, I want to comment on the fact that if
19 anything in general is being considered to be listed, it
20 should come with the safe harbor level or some minimum
21 level, because we have the most experience here in this
22 room. But once -- if something is listed without the safe
23 harbor level, then it goes -- it could potentially go to
24 the court system and the courts decide what that level is,
25 or some settlement between two companies or two entities

1 decide that. And it's not the right way to go, I believe.

2 And I want to -- so if -- if we don't go specific
3 in the listing, like some of the commenters said or don't
4 use safe harbor levels, you get companies making some
5 unnecessary warning to the public. I have an example
6 here. This is from a local glassware store basically
7 saying the eyewear products in the store can expose you to
8 the chemical nickel metallic which is known to the State
9 of California to cause cancer. So as far as I know,
10 metallic nickel is exempted from cancer listing.

11 So basically, what they're saying is if you wear
12 eyeglasses you could get cancer. It's simply not true.
13 But this is what the public is being exposed to.

14 And I'll have another example. I recently
15 purchased -- leased an electric vehicle and had a -- had
16 this warning on the side window. It says, "Operating,
17 servicing, and maintaining a passenger vehicle or
18 offhighway motor vehicle can expose you to chemicals
19 including engine exhaust, carbon monoxide...", and so on.
20 So this was a pure electric vehicle. Doesn't have engine
21 or exhaust. But here we go, I am being warned against
22 cancer, breathing exhaust, or engine -- idling the engine
23 and stuff like that.

24 So a warning might -- a listing might come easy
25 or might seem like the right thing to do at some point.

1 But the implication of that -- of those listings could be
2 very different what the -- what the Board or the Committee
3 intends to do.

4 That's it.

5 CHAIRPERSON GOLD: Thank you.

6 Dr. Sandy, did you want to comment?

7 DR. SANDY: Thank you. I'll just clarify the
8 listing, because it's been talked about a few times, for
9 these compounds as causing cancer under Prop 65, we have
10 separate listings we. Have one for nickel in parentheses
11 metallic, so metallic nickel is listed, and then a
12 separate listing for nickel compounds as causing cancer.

13 And those listings are based on findings from the
14 report on carcinogens of the NTP, which has classified
15 nickel compounds as known to be human carcinogens, and
16 metallic nickel as reasonably anticipated to be a human
17 carcinogen, as well as IARC, which is classified metallic
18 nickel in Group 2B as a carcinogen, but nickel compounds
19 as Group 1, known human carcinogens.

20 CHAIRPERSON GOLD: Thank you.

21 Does the Committee have any questions for this
22 presenter?

23 Thank you very much.

24 So I'm going to ask the recorder if you'd like a
25 break?

1 THE COURT REPORTER: No.

2 CHAIRPERSON GOLD: No. Would the Committee like
3 a break?

4 Keep going. Keep going.

5 Okay. So that now that we're done with the
6 public comments, and the discussion by the staff and the
7 Committee, we can have further discussion as the Committee
8 wishes before we take a vote.

9 So if there's anything further anyone wants to
10 say or ask the staff, now would be the time?

11 Dr. Luderer.

12 COMMITTEE MEMBER LUDERER: Just something that
13 was raised I think in the presentations and also kind of
14 mentioned during the panel presentations earlier today,
15 and as well as the OEHHA staff presentation is that the
16 majority of the studies that we reviewed for the
17 experimental animal studies really were for soluble nickel
18 compounds. And I think when there were very few -- there
19 was one study -- actually, I think two studies by the same
20 group of the nickel nanoparticles and microparticles. So
21 it may be worth the panel kind of revisiting what we --
22 the studies that were positive were, for the most part, I
23 believe the soluble nickel compounds.

24 CHAIRPERSON GOLD: Thank you. So did you want to
25 make a comment, Dr. -- so I was going to raise this as a

1 question, so thank you for making the comment. The
2 question is whether the Committee wants -- I have actually
3 two questions. One is whether we want to consider
4 separate soluble insoluble in metals? I'd be interested
5 in hearing from the Committee on that question?

6 COMMITTEE MEMBER ALLARD: The -- if you move on
7 to the question of solubility, then what would be the
8 cutoff? I guess that's my question. We need to determine
9 as a cutoff for solubility to call something soluble
10 versus insoluble. And, of course, opens a gray area that
11 most of the data was with sulfate and chloride versions.
12 And I personally feel more comfortable making a decision
13 about those rather than extrapolate to any other forms,
14 especially because you would assume that the distribution
15 could be completely different, and therefore the effect
16 would be different.

17 CHAIRPERSON GOLD: So you brought up my second
18 question, but you also have a subset of the first
19 questions, which is if we specify solubility, then we have
20 to the amount of solubility, or to consider that.

21 The second question is whether we are talking
22 about all nickel or nickel -- and nickel compounds, or if
23 we want to restrict it to certain types of nickel.

24 So again, I open the floor to comments?

25 I encourage you to speak now, because I want to

1 hear you before we vote.

2 CHIEF COUNSEL MONAHAN CUMMINGS: Could -- sorry,
3 could I make a comment?

4 CHAIRPERSON GOLD: Yes.

5 CHIEF COUNSEL MONAHAN CUMMINGS: I would remind
6 you that when we did the opening comments that if you're
7 uncomfortable and you want more information, or you're --
8 you know, you need more time to think about something,
9 it's entirely okay for you to do that. If you want us to
10 follow up on something, you know, for whatever reason, you
11 want a definition of soluble - I don't know if there is
12 one - you don't have to feel compelled to vote is all I'm
13 saying.

14 CHAIRPERSON GOLD: Dr. Luderer.

15 COMMITTEE MEMBER LUDERER: Okay. I'll just say
16 that I agree with Dr. Allard that it would be difficult to
17 define a cutoff for solubility. And that the vast
18 majority of the studies were the nickel chloride and
19 sulfate that we reviewed. And so if we were -- if the
20 panel thought that restricting the -- that the conclusions
21 are really limited to those compounds, then that might be
22 a better way to go than solubility.

23 CHAIRPERSON GOLD: So just to clarify, so you
24 want to specify the compounds, is that -- is that what
25 you're suggesting?

1 COMMITTEE MEMBER LUDERER: I'm agreeing that that
2 would be something that I would like to hear what the
3 other panel members have to say as well.

4 CHAIRPERSON GOLD: As would I. So again, I open
5 it up to the Panel.

6 Dr. Pessah.

7 COMMITTEE MEMBER PESSAH: Thank you. I think
8 you've opened up a can of worms, because I totally agree
9 that the form is going to be very important in determining
10 the weight of evidence. But also, I think the literature
11 suggests that whether you're fasting or have food in the
12 gut, can have a 40-fold difference in bioavailability.
13 And if dietary intake is the primary form, why would we
14 choose solubility over whether the studies were fed or
15 fasted, right? I mean, we didn't account for that in our
16 discussion.

17 CHAIRPERSON GOLD: Anyone else have a comment?

18 The only clarification I would make about the
19 nickel chloride is that's true of the animal studies. I
20 think in many of the human studies we don't know --

21 COMMITTEE MEMBER LUDERER: Right.

22 CHAIRPERSON GOLD: -- what the source of exposure
23 is.

24 Other comments?

25 Dr. Luderer.

1 COMMITTEE MEMBER LUDERER: Although, just to
2 respond, I mean, I think the issue of fed or fasted, I
3 mean, in humans obviously we can't -- it could be either.

4 COMMITTEE MEMBER PESSAH: Right, but if it falls
5 on the animal studies to really make an informed decision,
6 then you can't ignore it, right, because then we didn't
7 present the data in terms of what the evidence was for
8 fasted versus fed studies, did we? I don't remember did
9 we do that?

10 COMMITTEE MEMBER ALLARD: Sorry. We did not, but
11 ultimately, the compounds tested in animals, except for a
12 few nanoparticles once, most of them were just chloride
13 versus sulfate, or chloride and sulfate, sorry. So
14 whether it was fasted or not, that -- most of the evidence
15 was just about these two, and that's it.

16 CHAIRPERSON GOLD: Dr. Nazmi.

17 COMMITTEE MEMBER NAZMI: I might ask counsel to
18 comment on the allowability of us voting on specific
19 compounds versus what the original charge was. Are we
20 changing something, if we --

21 CHIEF COUNSEL MONAHAN CUMMINGS: Well, you're --
22 as I understand it, I'm not a chemist or a toxicologist.
23 But if you're talking about potentially listing a couple
24 of the chemicals within the category of nickel and nickel
25 compounds, you can do that. If you feel like the evidence

1 isn't enough to do the whole class, or group, or however
2 you want to define it, you can do that. It's totally
3 fine.

4 There is enough notice. I mean, if you went
5 outside nickel and suddenly said we want to list chromium,
6 which is already there, you wouldn't be able to do that.

7 CHAIRPERSON GOLD: So, in other words, we could
8 make it more restrictive. It's fairly broad and general.

9 Dr. Plopper.

10 COMMITTEE MEMBER PLOPPER: So that's what
11 happened with, was it, nickel carbonyl or something?
12 You've already -- one of them has already been listed,
13 correct?

14 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah, but I
15 think that just depends on the listing authority.

16 COMMITTEE MEMBER PLOPPER: Or EPA, yeah.

17 CHIEF COUNSEL MONAHAN CUMMINGS: You know, it was
18 identified specifically by that authority. I don't know.

19 DR. SANDY: EPA.

20 CHIEF COUNSEL MONAHAN CUMMINGS: EPA. So it --
21 they didn't do it as a group, they identified a single
22 chemical.

23 COMMITTEE MEMBER PLOPPER: One other comment.
24 I'd just like to follow up on this issue. I'd prefer to
25 see it done by compounds and not by a solubility issue,

1 because I agree with Isaac, the solubility of these
2 compounds and their ability to be taken up depends on so
3 many biological factors that haven't been assessed here.
4 I don't know that we would have time to go through and
5 even discuss all the opportunities.

6 So if -- I would feel comfortable with the
7 solubility as a soluble compound, but not an issue of
8 setting a limit, because I don't know that we could really
9 do that.

10 CHAIRPERSON GOLD: Can I just clarify? I thought
11 I heard you say at the beginning that you wanted it by
12 compound. But at the end it sounded like you said
13 solubility versus not so, just for --

14 COMMITTEE MEMBER PLOPPER: No, I'm just saying
15 soluble. If we could -- we have -- I think there's data
16 that could -- a reasonable division could be set -- made
17 one way or the other for soluble. Two of these soluble
18 nickel compounds. That's all. Specific, yes, since it's
19 already been done before.

20 CHAIRPERSON GOLD: Two specific compounds. So
21 are we saying nickel chloride and nickel sulfate, is that
22 what we're saying?

23 COMMITTEE MEMBER PLOPPER: That's what I'm
24 saying.

25 CHAIRPERSON GOLD: I don't want to put -- I'm not

1 putting words. I'm asking the question.

2 COMMITTEE MEMBER CARMICHAEL: I have a question.

3 CHAIRPERSON GOLD: Dr. Carmichael.

4 COMMITTEE MEMBER CARMICHAEL: Is it -- that it's
5 want to divide that further, and is that distinguished
6 from metallic nickel?

7 CHAIRPERSON GOLD: Can you answer that question,
8 Dr. Allard if metal is different from -- I don't mean to
9 put you on the spot.

10 COMMITTEE MEMBER CARMICHAEL: I mean, I'm just
11 not -- I'm not sure how many different -- what the -- what
12 the most sensible groupings are, not trying to figure it
13 out, but we haven't mentioned metallic nickel, I don't
14 think, unless that's just in the insoluble group.

15 COMMITTEE MEMBER ALLARD: Right. I think at this
16 stage we're only talking about the -- whether bolus of
17 studies are really about, which are the nickel chloride
18 and nickel sulfate. And where I think we've been mostly
19 talking about non-grouping at this stage, right. Sort of
20 ignoring metallic nickel.

21 CHAIRPERSON GOLD: So if I can get a sense of the
22 Committee, I hear an argument for limiting this to nickel
23 chloride and nickel sulfate, based mostly on the animal
24 studies, I would state. Does anyone want to argue
25 something in addition or different from that?

1 COMMITTEE MEMBER CARMICHAEL: I just wanted to
2 clarify is that -- that's true for all of the different
3 endpoints that we were talking about, that those two
4 compounds are what they -- the evidence boils down to?

5 CHAIRPERSON GOLD: Since we're dealing it with
6 animals, largely because in the humans it's different, I
7 think, than the animal studies. So I'll put it to the --
8 maybe to Dr. Baskin about the male studies and then I'll
9 do similarly with the female and developmental.

10 Not to put you on the spot.

11 COMMITTEE MEMBER BASKIN: No. No. I mean, we
12 obviously haven't done our homework in relation to this
13 specific question. But how hard is it to distinguish
14 soluble from insoluble nickel compounds? That seems to
15 be -- I mean, metallic nickel, like the nickel in my
16 pocket, it seems like it's insoluble. If you ingest it,
17 kind of a problem, or can be a problem from multiple
18 different reasons, because the nickel can get absorbed
19 evidently from your stomach acid.

20 So that's where I think the issue is. I mean,
21 all the studies they looked at were really soluble nickel.
22 They weren't testing whether the rat ate a nickel.

23 (Laughter.)

24 CHAIRPERSON GOLD: Dr. Luderer, do you want to
25 comment from the perspective of the female reproductive in

1 the animal studies. I think you have, but just to be
2 clear.

3 COMMITTEE MEMBER LUDERER: I mean, the Majority
4 were nickel chloride and nickel sulfate. There was one
5 group that looked at the nanoparticles and microparticles
6 in male and female. But the female study I thought had a
7 lot of -- it was not a very well done study. There were a
8 lot of problems with that study. And since it was only
9 one, I kind of hesitate to -- to base a decision about
10 those particles entirely on the one study at least
11 certainly regarding female reproductive toxicity.
12 Whereas, there's a large database for nickel chloride and
13 nickel sulfate.

14 CHAIRPERSON GOLD: Dr. Plopper, do you want to
15 say anything about the animal studies for developmental
16 effects in terms of solubility versus specific compounds?

17 COMMITTEE MEMBER PLOPPER: Well, almost all the
18 very definitive studies in animals were with the soluble
19 compounds, the chloride and the sulfate. They were dose
20 responsive, two species.

21 CHAIRPERSON GOLD: So -- and, Dr. Donald, has put
22 up the slide that shows that the compounds we're talking
23 about are largely highly soluble, correct?

24 DR. DONALD: Yes.

25 CHAIRPERSON GOLD: So the question is again, do

1 we want to talk about solubility, in which case we
2 probably should specify the amount of solubility, or do we
3 want to talk about specific compounds, or do you need more
4 information, which is yet another alternative? Do you not
5 want to make a decision today?

6 Dr. Allard.

7 COMMITTEE MEMBER ALLARD: I think this table
8 raises a lot of questions. Again, I personally only feel
9 confident making a decision based on data. So we have
10 mostly data about nickel chloride and nickel sulfate
11 again. But if you assume that solubility is the main
12 factor here -- although we have to take into account other
13 things, as mentioned earlier, then we would be ignoring
14 the nitrate version, which is to be sitting, you know,
15 quite nicely in between the solubility between chloride
16 sulfate.

17 The cutoff would be a discussion that could be
18 extremely lengthy and would require a lot of further
19 discussion. Ultimately, most of the studies are chloride
20 and sulfate. And again, I'm going to repeat myself here,
21 but if most of the data is on these two compounds, then we
22 can only decide on these two compounds personally.

23 CHAIRPERSON GOLD: Anyone else have a comment?

24 So if we were to restrict it to nickel chloride
25 and nickel sulfate, I want to hear if anyone on the

1 Committee would be uncomfortable with that or have a
2 different preference?

3 So I take no comment as you prefer to restrict it
4 to these two compounds?

5 Anyone disagree with that?

6 COMMITTEE MEMBER BASKIN: Yeah. When you look at
7 the solubility in water, there's somewhat of a cutoff
8 point between 93 and 1.13. Although, the compounds that
9 are insoluble have also been listed as hat -- as
10 hazardous. So let's say we -- we go with that plan, just
11 the two compounds that have been looked at, do we relook
12 at the other compounds another time?

13 CHAIRPERSON GOLD: Okay. We can request that of
14 the staff if you want to do that, but I -- so I'll ask the
15 question of staff. I presume we were given everything you
16 could find on nickel and nickel compounds, is that
17 correct?

18 COMMITTEE MEMBER BASKIN: So the other issue is
19 is that we think nickel, not the chloride or not the
20 sulfate, is the issue here. So I personally would lump
21 them all together.

22 DR. DONALD: To answer your question, Dr. Gold,
23 yes, we provided all the data we could identify relevant
24 to the potential for nickel and nickel compounds to cause
25 reproductive toxicity.

1 CHAIRPERSON GOLD: Okay. Anyone have any other
2 comments, because now we have a couple of opinions?

3 Again, one option is to say you're not prepared
4 to make a decision today. Does anyone feel that they're
5 in that position?

6 Everyone is prepared to vote today.

7 COMMITTEE MEMBER BASKIN: I mean, I'm prepared to
8 vote because there's not anymore data that we're going to
9 get to vote on. Is the staff kind of in agreement?

10 DR. CAMPBELL: (Nods head.)

11 COMMITTEE MEMBER BASKIN: It looks like there was
12 a very thorough analysis performed.

13 CHAIRPERSON GOLD: Dr. Sandy and then we'll go
14 to --

15 DR. SANDY: So we've given -- as Dr. Donald said,
16 we've given you everything on the developmental and
17 reproductive toxicity of nickel. I'll offer to you the
18 possibility of looking at other information if -- as Dr.
19 Baskin said, if you think it's nickel, would it be helpful
20 to you to consider how nickel compounds are listed and
21 metallic nickel as causing cancer, or some other toxicity
22 endpoint, the thought process of how to, and why to list
23 that broad group, or if you feel that Dr. Baskin --

24 CHAIRPERSON GOLD: Would that be helpful is the
25 question, right?

1 DR. SANDY: Exactly.

2 CHAIRPERSON GOLD: To show the way of thinking
3 how it was thought about for a different endpoint. Would
4 that be helpful?

5 Dr. Donald.

6 DR. DONALD: If I could also offer. If you
7 believe today that any subset of the chemicals presented
8 to you have been clearly shown to cause reproductive
9 toxicity, you could choose to vote on those today and
10 defer decisions on others to a future meeting if there's
11 relevant information that you would -- further information
12 you would like to consider for those.

13 CHAIRPERSON GOLD: So yet another possibility.
14 Dr. Pessah, you had a comment or question or something.

15 COMMITTEE MEMBER PESSAH: No. I think I'll hold
16 my tongue.

17 (Laughter.)

18 CHAIRPERSON GOLD: And Dr. Nazmi, you look like
19 you had something to say or ask?

20 COMMITTEE MEMBER NAZMI: I think what I was going
21 to say was going to lead to that option three.

22 DIRECTOR ZEISE: So just to clarify then, option
23 three would be to look separately at those two compounds
24 for which there's the most evidence. And then at a future
25 meeting for OEHHA to make a presentation about

1 potential -- different kinds of nickel compounds and how
2 they might form different kinds of ions and get into the
3 chemistry a bit more, and also potentially present on some
4 other findings for other endpoints is that what option
5 three is? I just want to clarify what Dr. Nazmi and Dr.
6 Donald suggest.

7 CHAIRPERSON GOLD: Dr. Nazmi.

8 COMMITTEE MEMBER NAZMI: I wasn't necessarily
9 advocating for option three, but --

10 (Laughter.)

11 COMMITTEE MEMBER NAZMI: -- I left like that's
12 where it was going.

13 CHAIRPERSON GOLD: So I think I might even hear
14 four options. So let's see if I can state them and then
15 see if we can come to a consensus. And the reason it
16 matters is if we're going to vote, we need to know
17 specifically what we're voting on.

18 Okay. So one possibility is to vote on nickel
19 and nick compounds sort of the broad category. Another
20 possibility is to restrict it to nickel chloride and
21 nickel sulfate. Another possibility is to say we don't
22 have enough information to vote at all today and seek more
23 information. Oh, there's one more. Another one is to
24 divide it on solubility. And the last one is to get
25 further information on how nickel might get metabolized or

1 the toxicity of it in other contexts and get more
2 information and defer the decision until we have that.

3 So I hear maybe five possibilities. And again,
4 the reason this matters is because if we're going to vote,
5 we have to vote on something specific. And, you know, we
6 have to know exactly what we're voting on.

7 So I would appreciate a sense of the Committee in
8 terms of -- well, let me ask the question. Do people want
9 to vote today or do they want to defer? Let me ask that
10 question.

11 How many -- this is just a straw poll. Can we do
12 that?

13 CHIEF COUNSEL MONAHAN CUMMINGS: (Nods head.)

14 CHAIRPERSON GOLD: Okay.

15 COMMITTEE MEMBER ALLARD: So I'm -- personally,
16 I'm ready to vote, but it depends what we've -- to vote on
17 nickel chloride and sulfate. I'm not ready to vote on all
18 nickel and nickel compounds.

19 CHAIRPERSON GOLD: Okay.

20 COMMITTEE MEMBER ALLARD: Because then that would
21 just be mixing a lot of things that I would not feel
22 comfortable voting on. So it depends what we're voting
23 for

24 CHAIRPERSON GOLD: Yeah. Okay. So fair enough.
25 So we could -- we could vote on that and still request

1 other information if we want it for future -- for the
2 future.

3 So that -- Dr. Pessah.

4 COMMITTEE MEMBER PESSAH: So I was just
5 wondering, I'm trying to draw a parallel with what we've
6 done in the past on other things we've brought to the
7 Committee. You know, I'm going to use this as an analogy.
8 It may be an inappropriate analogy, but if you -- if
9 you're trying to make a decision on an organophosphate,
10 you don't make a decision on all organophosphates simply
11 because they're not all the same. And when I look at this
12 chart, at the physical chemical differences across nickel
13 compounds and nickel metal, it's vastly different from the
14 range of physical chemical properties of organophosphate.

15 And so what you're asking us to do is make a
16 decision on whether it's just about nickel, regardless of
17 the form or what we have evidence on, which are the two
18 that were mentioned previously.

19 If I had to make a suggestion, I would be more
20 comfortable with just going what we have data on.

21 CHAIRPERSON GOLD: Me too.

22 Counsel has a comment.

23 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah, I don't
24 know if it adds anything to the conversation. But I did
25 want to mention that under Prop 65 currently in terms of

1 metals, chromium, including hexavalent compounds, is
2 listed for reproductive toxicity in cancer. Mercury and
3 mercury compounds are listed for reproductive toxicity.
4 Arsenic, including inorganic oxides are listed for
5 reproductive toxicity. Arsenic inorganic arsenic
6 compounds are listed for cancer. Nickel compounds are
7 listed for cancer. We already knew that, including
8 metallic nickel separately. Beryllium and beryllium
9 compounds are listed for cancer. Cadmium and Cadmium
10 compounds are listed.

11 So I'm not saying that the data was, you know,
12 the same, and they only did -- tested two things. But
13 just for your -- for context, it is -- it wouldn't be an
14 unusual kind of approach.

15 COMMITTEE MEMBER BASKIN: Can I ask the staff a
16 question? And this relates probably mostly to human
17 studies. But when they measured, for example, nickel in
18 the urine, were they doing mass spec just on nickel?

19 DR. KIM: That is my understanding.

20 COMMITTEE MEMBER BASKIN: That was kind of mine
21 too. So all of these compounds that are soluble, it
22 doesn't matter whether it's chloride, sulfide, or the ones
23 that we didn't study, implies we're really looking at
24 nickel. And to me, if nickel chloride and nickel sulfate
25 are concerning animals, I would look at nickel acetate,

1 and nickel nitrate, and nickel subsulfide, and nickel
2 carbonate and be concerned. So I personally would lump
3 those together based on -- I get it that they're Different
4 molecules. But we're looking at the nickel aspect. We're
5 looking at the heavy metal aspect of this compound.

6 CHAIRPERSON GOLD: Before we make a decision, I
7 would just again comment that the two compounds we're
8 talking about were mostly in the animal studies. In the
9 human study, some of them measured nickel in urine, some
10 in blood. They had different findings. And some looked
11 at airborne nickel. So I think we need to think about the
12 totality. But if we're largely being driven by animal
13 studies, then that's a consideration.

14 So, Dr. Pessah, you have something to say?

15 COMMITTEE MEMBER PESSAH: I doubt any of the
16 human studies speciated. I think it all looked at total
17 nickel.

18 THE COURT REPORTER: Your microphone.

19 COMMITTEE MEMBER PESSAH: Oh, Sorry.

20 The human studies, when they measured nickel,
21 were total tickle. They didn't speciate.

22 CHAIRPERSON GOLD: Which was sort of -- well,
23 sort of argue in favor of what Dr. Baskin is saying, in
24 terms of considering all nickel. So it sounds to me,
25 correct me if I'm wrong, that people want to vote today,

1 but we're -- right, unless somebody says we really need
2 more information and want to defer the vote. But then it
3 comes down to whether -- I hear both things. A couple of
4 people that want to restrict it to two compounds and a
5 couple of people that want to look at the more generic
6 topic of nickel and nickel compounds.

7 Dr. Luderer.

8 COMMITTEE MEMBER LUDERER: Well, maybe one way to
9 sort of think about it a little bit more broadly would be
10 to go back and ask those of you who reviewed the
11 epidemiological literature in detail. I mean, if the
12 strength of the epidemiological database is -- as a whole,
13 is strong enough to make us concerned about the
14 developmental reproductive effects of nickel, then that
15 would be an argument for broadening -- you know, for
16 considering all nickel compounds, because as you say, we
17 can't -- we really don't know what the -- what specific
18 nickel compounds humans are exposed to.

19 CHAIRPERSON GOLD: Let me ask a ask question of
20 counsel. Is it possible to have different categorizations
21 for different outcomes? So for female, it might -- you
22 know, it might be one -- it might be nickel and nick
23 com -- I'm just giving you an example.

24 CHIEF COUNSEL MONAHAN CUMMINGS: Yes.

25 CHAIRPERSON GOLD: And I don't mean this. And

1 for something else restricted to just nickel chloride and
2 nick sulfate. Can we do that?

3 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah. You can
4 go endpoint by endpoint for whatever grouping you do. I
5 was also going to mention you don't have to only have one
6 question. You can -- you know, if some -- if you want to
7 vote on whether you want to listen to all, you know, the
8 soluble ones or all of them. And then depending on how
9 that comes out, then -- if -- then you can look at the
10 more individual ones. It's entirely up to you. You can
11 have more than one question.

12 CHAIRPERSON GOLD: Dr. Carmichael.

13 COMMITTEE MEMBER CARMICHAEL: As far as the -- I
14 would say the weight of the evidence is from the animal
15 literature, rather than the epidemiologic literature.

16 CHAIRPERSON GOLD: I would agree. And so with
17 the possible exception of male reproductive, do you feel
18 like the human studies are contributory? No. Yes. No.
19 Please speak into the microphone.

20 COMMITTEE MEMBER BASKIN: The human studies, the
21 epidemiologic studies often don't show causality. They
22 show a concern. And that's why the animal studies were
23 done. I mean, we've done this for years.

24 CHAIRPERSON GOLD: Okay. So here's -- if I
25 can -- I don't want to put words in -- I'm asking a

1 question. So, Dr. Carmichael, you were the primary
2 discussant on the human developmental studies. And you
3 said just moment ago that you thought most of our -- most
4 of this is being driven by animal studies. Would you say
5 that for the developmental outcomes?

6 COMMITTEE MEMBER CARMICHAEL: Yes, the
7 developmental outcome as well as the male reproductive
8 outcome effects, which I was the secondary on, but didn't
9 give extra comments on. So therefore, as I'm thinking
10 about this, I'm going with what the specific types of
11 compound were, however we define that.

12 CHAIRPERSON GOLD: From the animal studies.

13 COMMITTEE MEMBER CARMICHAEL: From the animal
14 studies.

15 CHAIRPERSON GOLD: And, Dr. Baskin, you're
16 nodding your head. Are you in agreement?

17 COMMITTEE MEMBER BASKIN: I'm in agreement.

18 CHAIRPERSON GOLD: And so -- and I would say from
19 the female reproductive, I don't think the human studies
20 are particularly contributory. So anyone else want to
21 make a comment at this stage?

22 Do they disagree with what's been said?

23 Dr. Pessah.

24 COMMITTEE MEMBER PESSAH: So if the human studies
25 are all based on total nickel, and they're not convincing

1 or compelling, and the animal studies only looked at two
2 forms of nickel predominantly. I still raise the issue of
3 how can you generalize in the animal studies when you've
4 really only got two forms of nickel that have been
5 studied.

6 CHAIRPERSON GOLD: So you're arguing for
7 restricting it to those two compounds?

8 COMMITTEE MEMBER PESSAH: Based on that, yes.

9 CHAIRPERSON GOLD: Is that a yes.

10 COMMITTEE MEMBER PESSAH: That was a yes.

11 CHAIRPERSON GOLD: Okay. And so -- can I come
12 back to you in second. Dr. Baskin, you were making the
13 argument of more generic -- or general formulation rather
14 than restricting it, so comment.

15 COMMITTEE MEMBER BASKIN: I mean, sodium chloride
16 has chloride in it and it's not dangerous unless you take
17 mega amounts. Okay. Nickel chloride is probably
18 dangerous. Certainly dangerous in the animal studies. I
19 think we're studying nickel here, so I'm okay
20 incorporating -- I'm personally okay incorporating the
21 other nickels that are -- that are on the list, soluble
22 nickels. Nickel and you -- that's I guess tricky to
23 define.

24 CHAIRPERSON GOLD: Right. So then we get into
25 the issue of solubility.

1 COMMITTEE MEMBER BASKIN: Yeah.

2 CHAIRPERSON GOLD: So you're still arguing for a
3 broader inclusion. Dr. Allard, you were wanting to make a
4 comment.

5 COMMITTEE MEMBER ALLARD: Yeah, I mean for what
6 it's worth, because it's not about developmental
7 reproductive toxicity. It's again going back to the
8 ToxCast data set. I was surprised looking at it last
9 night again how different the profiles were for nickel
10 sulfate and nickel chloride. There's no explanation
11 behind it. It's just the -- you know, different
12 parameters and different assays that were run, but not the
13 same pathways came out between nickel chloride and nickel
14 sulfate.

15 I'm not necessarily -- I love mining the data
16 set. I don't know all the details of it, and I'd love to
17 talk to someone about it, but I can just relay that the
18 profiles are different between the two compounds.

19 Is it then just about nickel? And I'm not sure.
20 The ToxCast data set to me makes me believe that maybe
21 it's not just about nickel, that there's other parameters,
22 physical characteristics that makes things different.

23 CHAIRPERSON GOLD: So are you arguing for --

24 COMMITTEE MEMBER ALLARD: I'm still arguing for
25 the same thing to only -- personally, I would only feel

1 comfortable voting about sulfate and chloride, and with
2 perhaps more discussions at a later date about the other
3 compounds, but for today, just chloride and sulfate,
4 personally

5 CHAIRPERSON GOLD: Dr. Nazmi.

6 COMMITTEE MEMBER NAZMI: I wrote down these
7 options as we've been chatting. And I tend to agree with
8 Dr. Baskin that we are examining nickel. So I guess I
9 would, for the straw vote, favor lumping them together, as
10 we're thinking about the votes. And I would feel
11 comfortable voting on that.

12 CHAIRPERSON GOLD: Okay. I have another question
13 for counsel.

14 (Laughter.)

15 CHAIRPERSON GOLD: So is it an option to have two
16 sets of votes?

17 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah, that's
18 totally fine. That's totally fine, yeah.

19 CHAIRPERSON GOLD: We could have one that's --
20 woops, sorry -- generic nickel --

21 CHIEF COUNSEL MONAHAN CUMMINGS: You can do the
22 whole -- the whole group first and then --

23 CHAIRPERSON GOLD: -- All nickel. And we could
24 have another one that's nickel chloride and nickel
25 sulfate.

1 CHAIRPERSON GOLD: Right, or soluble, or whatever
2 ones you want to vote on.

3 CHAIRPERSON GOLD: Soluble. Again, if we do
4 soluble, we should come up with a level of solubility?
5 No, don't have to.

6 DIRECTOR ZEISE: Can you comment?

7 CHIEF COUNSEL MONAHAN CUMMINGS: You don't have
8 to. Although I think giving us some advice in terms of
9 what you are thinking of on solubility. I mean, I think
10 Dr. Baskin was saying that there's a fairly simple cutoff
11 point, at least on this chart. But, you know, whether or
12 not that's the correct one, I don't know. But you don't
13 have to actually set a number to -- we just need to kind
14 of understand what you mean by soluble.

15 CHAIRPERSON GOLD: The only comment I would make
16 is that nickel by itself would fall below the cutoff I
17 think the way you defined it, and yet you're saying all
18 nickel, so...

19 CHAIRPERSON GOLD: Please use the microphone.

20 COMMITTEE MEMBER BASKIN: We have to define it,
21 so everybody knows that we're talking about. So even
22 though I agree that insoluble nickel can be dangerous, we
23 could -- we could simply, for the point of discussion, say
24 insoluble versus soluble, so it would include all the
25 compounds on the list there, except for the bottom three.

1 CHAIRPERSON GOLD: Okay. So any degree of
2 solubility is what you're saying?

3 COMMITTEE MEMBER BASKIN: Or what we choose to
4 vote on. So I'm not a chemistry expert. So my
5 recommendation is that because there seems to be some
6 concern here. And I'm also concerned too that we do a
7 couple different votes. But I think we need to come back
8 to the Committee and get a chemistry expert to tell us
9 what -- you know, what this really means, if staff can
10 provide that.

11 CHAIRPERSON GOLD: That suggests deferring the
12 vote.

13 COMMITTEE MEMBER BASKIN: Well, I think we can --
14 I mean, you can vote for all of it, and you can vote for
15 just the two compounds and see where the numbers fall.

16 CHAIRPERSON GOLD: Or you could vote for
17 solubility versus non-solubility.

18 COMMITTEE MEMBER BASKIN: Correct.

19 CHAIRPERSON GOLD: We could have three votes.

20 COMMITTEE MEMBER BASKIN: Correct. Dr. Plopper,
21 one second.

22 COMMITTEE MEMBER PLOPPER: Since we're talking
23 about nickel, aren't we talking about nickel ions --
24 anions? It's the nickel anion that's the problem. And I
25 was trying to look through this literature to find out if

1 somebody has actually characterized when they measure
2 nickel, what is it? What is the nickel that's the problem
3 here, and an ion -- and an anion has a different
4 reactivity than a -- than the whole metal itself, and
5 particularly the -- what are -- the ones that I see that
6 are insoluble are also the ones that don't react well with
7 just about anything. And so I -- but I'm not a chemist.

8 So I -- I don't know. I think it would help to
9 have some perspective on the chemistry that's floating in
10 there. Is it anion when it's being measured as soluble.
11 If it is, then that's a real concern, because it's -- it
12 doesn't -- doesn't matter how many compounds you use. If
13 it makes anions, then that's what the problem is. Yes, I
14 think that's a concern.

15 CHAIRPERSON GOLD: Dr. Sandy.

16 DR. SANDY: Yeah. So my early suggestion to come
17 back with some information. If I may, I'll just read some
18 quotes from the report on carcinogens substance profile on
19 nickel compounds. "Nickel compounds generate nickel ions
20 in target cells at sites critical for carcinogenesis", and
21 then it goes on. Another partial quote here is, "Metallic
22 nickel can slowly dissolve in the human body and release
23 ionic nickel". Another one is, "Both soluble and
24 insoluble forms of nickel caused genetic damage".

25 So just to give you a flavor of its -- I don't --

1 it doesn't appear that the report on carcinogens used
2 soluble versus insoluble, because they recognized that
3 it's nickel ions for that endpoint, and I was suggesting
4 you might want to think about how other people have looked
5 at the toxicity. Is it nickel?

6 COMMITTEE MEMBER BASKIN: So what you're saying,
7 in the right situation, you know, the nickel metal or
8 nickel alloy, if it's under the right conditions -- so
9 let's say a child swallows itself and there's acid in the
10 stomach, the nickel ion could be released, even though
11 it's deemed basically insoluble, and it could be
12 dangerous, and it was deemed a carcinogen. Am I
13 interpreting that correctly?

14 DR. SANDY: I -- the way you've put it, I
15 cannot -- I don't want to say if that exact example was
16 what was in the minds of the folks on the Report on
17 Carcinogens Panel, but I was just giving you some quotes,
18 they are saying that metallic nickel can slowly release
19 ionic nickel into cells in the body.

20 COMMITTEE MEMBER BASKIN: So the implication is
21 that metallic nickel, which is considered insoluble, could
22 potentially be dangerous, is that correct?

23 DR. SANDY: Yes, they're saying metallic nickel
24 can slowly dissolve in the human body and release ionic
25 nickel. And another sentence I read, it's nickel ions in

1 the target cells that they believe are critical for
2 carcinogenesis. And the question for you would be do you
3 think that's critical for developmental and reproductive
4 toxicity?

5 COMMITTEE MEMBER BASKIN: Thank you.

6 CHAIRPERSON GOLD: Dr. Allard.

7 COMMITTEE MEMBER ALLARD: Just based on
8 the amount of data that I saw on PubMed, I think it was
9 easier for carcinogenicity to come to this conclusion,
10 just because they were so many more studies performed with
11 regards to nickel's carcinogenicity than what I've been
12 able to find. And I saw with regard -- in the -- in the
13 HID with regards to reproductive and developmental
14 toxicity.

15 So, yeah, I think there was just a lot more
16 confirmation of that possibility with regards to
17 carcinogenicity in terms of data available.

18 CHAIRPERSON GOLD: Dr. Pessah.

19 COMMITTEE MEMBER PESSAH: I agree with what was
20 just said. I was going to say the same thing.

21 CHAIRPERSON GOLD: Okay. We can have a
22 one-minute comment from the audience, if you like.

23 Mr. -- could you identify yourself

24 MR. UNUVAR: Joshan Unuvar. I'm a material
25 scientist, Ph.D. I agree with the ion versus metal

1 distinction. And we can see that nickel is dissolving,
2 but very, very little. It's only 1 -- 1.13 milligrams per
3 liter. But if you look at the nickel chloride, it's
4 almost five and a half orders of magnitude larger. So
5 we're -- we can't really put them in the same basket. And
6 I want to ask for a little more clarification. The way I
7 read it, nickel in alloys are exempt from the carcinogen
8 listing. And you might have -- some other people here
9 might have data on that. I don't have the printout, but
10 it's specific excludes nickel in the metallic form and
11 alloys.

12 CHAIRPERSON GOLD: Yeah. I'm not sure -- I
13 don't -- I'm sure the cars -- what the CIC has done is
14 relevant particularly to us, or -- and they took it from
15 an authoritative body as I understood. So I think what
16 this Committee has to decide, which chemical it's going to
17 deal with it, right? We can be guided somewhat by what's
18 been done, but it's for carcinogenicity, and we have to
19 decide about developmental and reproductive.

20 MR. UNUVAR: Sure. Sure. And also just -- let's
21 say you swallow nickel piece. It's a one-time exposure,
22 and it's not -- not like being exposed to the air
23 inhalation every day. So it's not -- doesn't maybe fit
24 the profile of continuous exposure. And it's so slow that
25 it's not going to affect it.

1 CHAIRPERSON GOLD: I would also point though, the
2 solubility is in water. And the concern is the acid in
3 the stomach, so -- all right.

4 One quick comment, Dr. Taylor.

5 DR. TAYLOR: Thank you very much. I appreciate
6 it. I do this for a living, so I'm enjoying the
7 discussion. And I would look at that chart and say that
8 your dividing line, you know, for soluble I would call it
9 right under nickel ammonium sulfate. Look at the orders
10 of magnitude difference between that and nickel
11 subsulfide. Nickel subsulfide certainly we consider it an
12 insoluble nickel compound, or it's -- you know, as a
13 sulfidic compound. So I would just say that the carso
14 discussion is fair, because that's a local effect in the
15 lungs. Nickel only causes inhalation respiratory tract
16 carcinogenicity, a local -- requiring, you know, the
17 particles to be there.

18 We're talking with repro a systemic effect, which
19 requires dissolution and systemic delivery. And in that
20 case, I would say that if you swallow a nickel, even in
21 the stomach acid -- and you can do these kind of tests of
22 metallic nickel and nickel compounds in the stomach acid
23 and see how much is released.

24 You know that this is a threshold effect. We've
25 looked at the thresholds and the blood levels it requires

1 to see them, that you don't reach those. You cannot reach
2 those thresholds by swallowing an insoluble compound even
3 in stomach acid.

4 CHAIRPERSON GOLD: All right. Thank you.

5 I'm going to suggest that we take a break.

6 (Laughter.)

7 CHAIRPERSON GOLD: And I'd like the Committee
8 members to think, but not discuss the issues among
9 themselves. And let's say 10 minutes, and we'll reconvene
10 at 3:15.

11 (Off record: 3:04 p.m.)

12 (Thereupon a recess was taken.)

13 (On record: 3:15 p.m.)

14 CHAIRPERSON GOLD: Can we reconvene, please. I'm
15 going to pose one question to the Committee and then I'm
16 going to propose a way to vote. So my first question is,
17 does anyone on the committee want to defer and not vote
18 today?

19 So everyone is prepared to vote one way or
20 another.

21 Okay. Then counsel has suggested an approach to
22 the voting that I think would be very helpful. Are we
23 ready to vote or is there more discussion?

24 Dr. Baskin.

25 COMMITTEE MEMBER BASKIN: So I appreciate we got

1 this lovely folder, which were the public comments and the
2 comments from people who had interest here. And from the
3 NiPERA -- if I'm pronouncing that right -- production,
4 page 16, the conclusion in blue, "The only effects that
5 have been clearly shown through scientifically valid
6 testing, according to generally accepted principles are
7 the developmental toxicity effects observed in animal
8 studies with soluble nickel compounds.

9 So clearly NiPERA, who I'm only familiar with
10 because of this book, has a definition of soluble versus
11 insoluble. So I think we can come up with that definition
12 too. And if it's simply the bottom three are insoluble
13 and the top three are soluble, I would be -- I would
14 propose we vote if we want to use soluble in a definition,
15 based on that.

16 CHAIRPERSON GOLD: Okay. Just to clarify, what
17 staff would like, if we decide to do that, is that we
18 don't need to come up with a cutoff level or anything like
19 that, but just give them a sense what they need to
20 consider in determining solubility. Okay.

21 Okay. All right. So the proposal is that we'll
22 vote three times, if necessary. But the first vote will
23 be the most broad -- most broad generic category, so
24 nickel and nickel compounds. And depending on how you
25 feel, you may or may not be comfortable with that, you

1 vote the way you want.

2 Okay. If that settles it, then we don't need to
3 vote further. But if it doesn't, then we'll go to the
4 next sort of smaller -- slightly smaller category of
5 soluble versus insoluble. And if that doesn't settle it,
6 then we'll go to the narrowest, which would just be the
7 two compounds.

8 Everybody in agreement with doing -- that
9 approach. Any problems with that approach?

10 Okay. And we're going to do this for three
11 outcomes. So three times three, if necessary. So nine
12 votes, if necessary.

13 Yes.

14 MR. UNUVAR: My I read a section --

15 CHAIRPERSON GOLD: Carcinogenicity?

16 No, I think we're talk about -- I think we're, A,
17 done with public comments, and B, dealing with
18 reproductive developmental.

19 Okay. Are we ready to vote?

20 Okay. So bear with me. We're going to go broad
21 and -- okay. Yes. All right. So first, we're going
22 to -- we're going to do this in the order that we had the
23 presentations in terms of the outcomes we're dealing with,
24 so we're going to start with developmental, and we're
25 going to start with the broadest category of nickel and

1 nickel compounds, okay, just to clarify what we're voting
2 on.

3 So the question before you is has nickel and
4 nickel compounds been clearly shown through scientifically
5 valid testing, according to generally accepted principles
6 to cause developmental toxicity? All those who believe
7 yes -- all those voting yes, please raise your hand.

8 (No hands raised.)

9 CHAIRPERSON GOLD: All those voting no.

10 (Hands raised.)

11 CHAIRPERSON GOLD: Two, three, four and four.

12 We've got eight.

13 Okay. All right. So that -- unless you want to
14 do it that way.

15 DIRECTOR ZEISE: Oh, no, no.

16 CHAIRPERSON GOLD: No, that's fine. That's fine.

17 That's what I was going to do, but.

18 Okay. So for developmental toxicity we'll
19 consider now a soluble versus insoluble. Good. Okay.

20 COMMITTEE MEMBER ALLARD: I'm sorry. Can you
21 tell us what the third kind of vote will be?

22 CHAIRPERSON GOLD: The third one will be the two
23 compounds.

24 COMMITTEE MEMBER ALLARD: Okay. Making sure.

25 Thank you.

1 (Laughter.)

2 CHAIRPERSON GOLD: Okay. Has soluble nickel been
3 clearly shown through scientifically valid testing,
4 according to generally accepted principles to cause
5 developmental toxicity? All those voting yes, please
6 raise your hand.

7 (Hands raised.)

8 CHAIRPERSON GOLD: Those voting no please raise
9 your hand.

10 (Hands raised.)

11 CHAIRPERSON GOLD: So anyone abstaining?

12 (No hands raised.)

13 CHAIRPERSON GOLD: Well, I voted, but are we
14 missing one vote. I'm missing one vote, so let's do it
15 once more.

16 All those voting yes for soluble nickel
17 compounds.

18 (Hands raised.)

19 CHAIRPERSON GOLD: Four -- five. I missed you
20 sorry.

21 All those voting no, just to confirm.

22 (Hands raised.)

23 CHAIRPERSON GOLD: Three. Okay.

24 No abstentions.

25 We have one more. Okay -- oops, I'm sorry. All

1 right. Still dealing with developmental outcomes.

2 Has nickel chloride and nickel sulfate been
3 clearly shown through scientifically valid testing,
4 according to generally accepted principles to cause
5 developmental toxicity? All those voting yes, please
6 raise your hand.

7 COMMITTEE MEMBER PESSAH: Can you vote twice?

8 CHAIRPERSON GOLD: Yes. These are sort of
9 exclusive.

10 (Laughter.)

11 (Hands raised.)

12 CHAIRPERSON GOLD: So that's four, five, six.

13 All right. Let me state it again, so we're
14 clear. What we're voting on. We did soluble versus
15 insoluble. Now, what we're doing is nickel chloride and
16 nickel sulfate. Now, let me repeat. Has nickel chloride
17 and nickel sulfate been clearly shown through
18 scientifically valid testing, according to generally
19 accepted principles to cause developmental toxicity? All
20 those voting yes, please raise your hand.

21 (Hands raised.)

22 CHAIRPERSON GOLD: Three four -- we have eight.

23 So no noes and no abstentions.

24 CHAIRPERSON GOLD: Okay.

25 COMMITTEE MEMBER PESSAH: I'm sorry. I need to

1 change my vote on the last one to soluble. It was soluble
2 to yes, because I think I voted no on that. Sorry. I
3 apologize.

4 CHAIRPERSON GOLD: So you voted no, so we need to
5 vote again.

6 DIRECTOR ZEISE: I think to clarify the record,
7 it would be good just to ask the question again.

8 CHAIRPERSON GOLD: Okay. All right. So going
9 back to the second vote. Okay. Has soluble nickel been
10 clearly shown through scientifically valid testing,
11 according to generally accepted principles to cause
12 developmental toxicity? All those voting yes, please raise
13 your hand.

14 (Hands raised.)

15 CHAIRPERSON GOLD: Six.

16 And those voting no.

17 (Hands raised.)

18 CHAIRPERSON GOLD: Two.

19 And no abstentions.

20 CHAIRPERSON GOLD: Okay. So that takes --

21 All right. So next we're going to do female
22 reproductive toxicity in the same way in the same order.
23 Are we clear?

24 Okay. All right. So the broadest category now.
25 So has nickel and nickel compounds been clearly shown

1 through scientifically valid testing, according to
2 generally accepted principles to cause -- no, that's the
3 wrong one. Sorry -- yes, to cause female reproductive
4 toxicity. All those voting yes, please raise your hand.
5 This is for nickel and nickel compounds?

6 (No hands raised.)

7 CHAIRPERSON GOLD: Those voting no?

8 (Hands raised.)

9 CHAIRPERSON GOLD: Eight.

10 No abstentions.

11 Okay. Now, we'll vote on solubility and female
12 reproductive toxicity.

13 So has soluble nickel been clearly shown through
14 scientifically valid testing, according to generally
15 accepted principles to cause female reproductive toxicity?
16 All those voting yes, please raise your hand.

17 (No hands raised.)

18 CHAIRPERSON GOLD: All those voting no, please
19 raise your hand.

20 (Hands raised.)

21 CHAIRPERSON GOLD: Eight.

22 And then finally for female reproductive
23 toxicity, has nickel chloride and nickel sulfate been
24 clearly shown through scientifically valid testing,
25 according to generally accepted principles to cause female

1 reproductive toxicity? All those voting yes, please raise
2 your hand?

3 (No hands raised.)

4 CHAIRPERSON GOLD: All those voting no, please
5 raise your hand.

6 (Hands raised.)

7 CHAIRPERSON GOLD: Eight.

8 And no abstentions.

9 Very good. All right. Now, we're going to
10 finally go to male reproductive toxicity with the broadest
11 category, so nickel and nickel compounds. Has nickel and
12 nickel compounds been clearly shown through scientifically
13 valid testing, according to generally accepted principles
14 to cause male reproductive toxicity? All those voting
15 yes, please raise your hand.

16 (No hand raised.)

17 CHAIRPERSON GOLD: All those voting no, please
18 raise your hand.

19 (Hands raised.)

20 CHAIRPERSON GOLD: Eight.

21 No abstentions.

22 Now, we're going to solubility. Has soluble
23 nickel been clearly shown through scientifically valid
24 testing, according to generally accepted principles to
25 cause male reproductive toxicity? All those vote yes,

1 please raise your hand.

2 (Hands raised.)

3 CHAIRPERSON GOLD: Six yes.

4 Those voting no, please raise your hand.

5 (Hands raised.)

6 CHAIRPERSON GOLD: Two.

7 No abstentions. So that solves this one.

8 So we're done.

9 DIRECTOR ZEISE: Yes.

10 CHAIRPERSON GOLD: Thank you. I think this is
11 the most complicated vote I've been involved in. Well, we
12 don't do the last two compounds, because they're covered
13 by the solubility one.

14 CHIEF COUNSEL MONAHAN CUMMINGS: Right.

15 CHAIRPERSON GOLD: Correct?

16 That's the -- Okay. So I -- yes, we're clear
17 now.

18 Okay. So the staff though has asked for just
19 general guidance. We don't need cutoff levels, just sort
20 of -- what sort of information or criteria would guide
21 you -- would help to guide them in determining solubility?
22 So anyone wishing to comment on that?

23 No guidance.

24 Okay. They're a bright group. We're going to
25 leave it to you.

1 (Laughter.)

2 COMMITTEE MEMBER BASKIN: We can give you
3 guidance, but I think that you're way smarter than us to
4 sort this out. But if you were asking me and pin me to a
5 wall, I would say above nickel.

6 CHAIRPERSON GOLD: Does that include nickel,
7 nickel and above or nickel --

8 COMMITTEE MEMBER BASKIN: No, above nickel.

9 CHAIRPERSON GOLD: Above nickel.

10 Thank you.

11 Okay. So I believe we're on staff presentation
12 at this point?

13 So, counsel, are you prepared to do the
14 presentation?

15 CHIEF COUNSEL MONAHAN CUMMINGS: I think the --
16 Michelle is first.

17 DIRECTOR ZEISE: Carol, this is the consent item.

18 CHAIRPERSON GOLD: Item 3, the consent item.

19 CHIEF COUNSEL MONAHAN CUMMINGS: So sorry.
20 Totally forgot about that one. Hopefully this will take
21 just a second.

22 So our -- can you get the slide up?

23 MS. RAMIREZ: Yes.

24 CHAIRPERSON GOLD: We provided you with a staff
25 report on the Section 2700 list of chemicals which need

1 testing under Prop 65. This is a separate list from the
2 list of chemicals known to cause cancer or reproductive
3 effects. If you look in your materials --

4 --o0o--

5 CHIEF COUNSEL MONAHAN CUMMINGS: -- hopefully,
6 you can see something like this. And hopefully you looked
7 at that. It's got several pages.

8 So our -- since this is a consent item, we're
9 just asking you to say yes or no about whether or not we
10 should make the following changes:

11 --o0o--

12 CHIEF COUNSEL MONAHAN CUMMINGS: So for -- we
13 would like to know whether or not we should remove these
14 chemicals. I'm not going to try and --

15 (Laughter.)

16 CHIEF COUNSEL MONAHAN CUMMINGS: -- pronounce
17 them. I can say Borax.

18 (Laughter.)

19 CHIEF COUNSEL MONAHAN CUMMINGS: But these three
20 chemicals that were recommended by the Department of
21 Pesticide Regulation to remove because they say they've
22 been fully tested.

23 So, yes.

24 CHAIRPERSON GOLD: Can we have a show of hands of
25 everyone that approves of removing these from the list?

1 Oh, sorry. We do have a voting form.

2 So based on the recommendations in the OEHHA
3 staff report, should Section 27000 of Title 27 of the
4 California Code of Regulations be amended, and as
5 indicated in Section 6 of the staff, report those: --

6 DIRECTOR ZEISE: Can you wait till the end?

7 CHIEF COUNSEL MONAHAN CUMMINGS: End of what?

8 Oh, I can just show you all -- I mean, we can
9 show you all of these at once. Basically, they were
10 covered in the report. These are the ones that DPR
11 recommended that we. Remove

12 --o0o--

13 CHIEF COUNSEL MONAHAN CUMMINGS: These are the
14 ones that you U.S. EPA recommended that we remove from
15 that list.

16 --o0o--

17 CHIEF COUNSEL MONAHAN CUMMINGS: These are the --
18 the one chemical that they suggest that we add to the list
19 from Department of Pesticide Regulation, and the types of
20 testing that they're asking for are listed there.

21 --o0o--

22 CHIEF COUNSEL MONAHAN CUMMINGS: And the
23 Department of Pesticide Regulation is recommending that we
24 modify these two listings in the way it's marked here, so
25 we're taking out the only one is required caveat, so it

1 sounds like they want all of those to be done.

2 And the petroleum oil and classified, they're
3 making it more clear what they're applying it to. So
4 hopefully that helps.

5 --o0o--

6 CHIEF COUNSEL MONAHAN CUMMINGS: And I think
7 there's one more. And this one -- this chemical that is
8 quite long would be added to the list for -- and asking
9 for particular types of testing that are listed on here.

10 CHAIRPERSON GOLD: Okay. So can I get a vote
11 from the Committee on making the amendments that are
12 recommended in the report that you just heard?

13 All those voting yes, please raise your hand.

14 (Hands raised.)

15 CHAIRPERSON GOLD: Zero noes, and no abstentions.
16 Thank you very much.

17 Okay. Anything else from counsel?

18 Not until after Michelle, I think.

19 (Thereupon an overhead presentation was
20 presented as follows.)

21 CHAIRPERSON GOLD: Okay. So we're going to staff
22 updates now.

23 MS. RAMIREZ: Hello. Since your last meeting, we
24 have administratively added two chemicals to the
25 Proposition 65 list. The first slide here shows that

1 Vinylidene chloride (1,1-Dichloroethylene) and TRIM VX
2 were added for cancer

3 --o0o--

4 MS. RAMIREZ: The next slide has the chemicals
5 under consideration for administrative listing or
6 modification of existing listing. A notice of intent to
7 modify the listing of ethanol in alcoholic beverages was
8 published on August 3rd, 2018. This is proposed under the
9 Labor Code listing mechanism for the cancer endpoint.
10 Bevacizumab is under consideration for administrative
11 listing under the formally required listing mechanism for
12 the female reproductive and developmental endpoints. The
13 notice of intent to list was published on October 5th
14 2018.

15 --o0o--

16 MS. RAMIREZ: And since your last meeting, four
17 safe harbor levels have been adopted in regulation. A no
18 significant risk level of 108 micrograms per day has been
19 adopted for Malathion effective April 1st, 2018; a no
20 significant risk level of 1,100 micrograms per day has
21 been adopted for glyphosate effective July 1st, 2018; a no
22 significant risk level of 0.88 micrograms per day has been
23 adopted for vinylidene chloride effective July 1st, 2018;
24 and a maximum allowable dose level of 290 micrograms per
25 day has been adopted for metham sodium effective October

1 1st 2018.

2 --o0o--

3 MS. RAMIREZ: On this last slide, as you can see,
4 we've also proposed safe harbor levels for three
5 chemicals. No significant risk levels have been proposed
6 for bromochloroacetic acid, and bromodichloroacetic acid,
7 and maximum allowable dose levels have been proposed for
8 end N-Hexane by the oral and inhalation routes.

9 And now I'll turn things back over to Carol.

10 Thank you.

11 CHIEF COUNSEL MONAHAN CUMMINGS: Thank you. So
12 this is just a quick update on litigation related to Prop
13 65. And I don't recall exactly what date it was that you
14 all met last time. So this may or may not be new to you.
15 But we have our first case in the federal court under Prop
16 65. We were part of litigation that was filed by the
17 National Association of Wheat Growers among many others
18 against our office and the Office of the Attorney General
19 challenging the warning requirement for the chemical
20 glyphosate under the first amendment.

21 So the companies and individuals are arguing that
22 give a warning is contrary to the first amendment rights
23 to not give false and misleading information.

24 So that case is pending currently. It's -- the
25 court entered an order against the Attorney General's

1 office preventing any enforcement of the warning
2 requirement pending the outcome of the case. The court
3 did dismiss our office because the listing of glyphosate
4 is not subject to the first amendment. It's government
5 speech, so we're out of that case, but watching it
6 closely.

7 Recently, the case was stayed because there's
8 three cases pending in the Ninth Circuit Court of Appeal
9 that are about warnings and compelled warnings, not Prop
10 65 ones. But given the decisions in those cases, we can
11 find out what might happen in ours.

12 So we also have -- in the state courts on appeal,
13 we still have the case that was brought by the American
14 Chemistry Council challenging the listing of BPA. It's
15 been sitting in the court of appeal for several years now.

16 And also, the American Chemistry Council case
17 challenging the listing of DINP, which is a carcinogen
18 listing, I believe. And then a case brought by Syngenta
19 challenging the listing of the triazines the whole class
20 of pesticides known as the triazines is pending in the
21 trial -- in the court of appeal.

22 We were successful in defending all of those
23 listings, but now they're -- those cases have been
24 appealed.

25 We still have a couple older cases in the trial

1 courts. That two of them are just derivative of the
2 triazine and BPA cases. They had to do with the Public
3 Records Act. And a brand new case was just filed very
4 recently in September 2018 against our office by a group
5 that one of the plaintiffs groups that enforces Prop 65,
6 the Center for Enviro -- let's see, Center for
7 Environmental Research on Toxics, is that correct? I just
8 called them CERT.

9 DR. SANDY: Education and Research on Toxics.

10 CHIEF COUNSEL MONAHAN CUMMINGS: Education and
11 Research on Toxics. That's correct. Sorry. And that
12 case is challenging our proposed regulation that would
13 find that the exposures to listed chemicals in coffee --
14 carcinogens in coffee don't pose a significant risk of
15 cancer, in that particular chemical mixture. So we're
16 being challenged on that.

17 Oh, yes, just -- just because we -- we've got two
18 different listings for BPA. The one that is in the court
19 of appeal was for the developmental endpoint. BPA is also
20 listed as a female reproductive toxicant. It's currently
21 on the list.

22 And the other thing I don't usually bring up
23 regulatory actions, unless we get sued over them, but I
24 did want to mention that we finally have adopted -- fully
25 adopted their regulation that we worked on for about five

1 years or so to update the -- the warnings for Prop 65.
2 You're -- you'll -- if you haven't already, you're going
3 to start seeing some new ones that actually include a name
4 of a chemical, and a source of exposure, and a URL to
5 our -- our website. We have a separate website that just
6 has information on chemicals, and locations, so that
7 Californians can actually find out what it is they're
8 being exposed to, and maybe how to reduce their exposures.

9 And so that was a very long process. And that
10 regulation finally went fully in effect at the end of
11 August. And so hopefully that will resolve some of the
12 issues where people just don't know. I mean, you can list
13 the chemical, but if it's -- they don't know how they're
14 being exposed, it's not very helpful.

15 So thank you.

16 CHAIRPERSON GOLD: Thank you.

17 So that I believe concludes the staff updates.

18 So now we'll have a summary of the Committee
19 actions.

20 DIRECTOR ZEISE: Okay. So the Committee
21 deliberated on nickel and nickel compounds. And by a vote
22 of six to two identified soluble nickel for the male
23 reproductive toxicity endpoint, as being clearly shown
24 according to scientifically valid testing, according to
25 generally accepted principles to cause male reproductive

1 toxicity.

2 Similarly for developmental toxicity, the
3 Committee added soluble nickel -- the soluble nickel
4 endpoint as being clearly shown through scientifically
5 valid testing, according to generally accepted principles.

6 So those two chemicals will -- I'm sorry, so
7 soluble nickel will be identified as known to cause
8 reproductive toxicity for both the developmental toxicity
9 and male reproductive toxicity endpoints. And the
10 Committee declined to list the general class of nickel and
11 nickel compounds for any endpoint.

12 Then with respect to identifying chemicals known
13 requiring testing, the Committee affirmed the changes that
14 OEHHA proposed to Section 2700.

15 And so that's the Committee actions taken today.

16 Then I guess I'd just like to end with thank yous
17 to the Committee for taking time out of your busy
18 schedules, and for all the deliberation today to get us to
19 closure on what nickel -- the nickel and nickel compounds
20 consideration.

21 And I'd like to thank the members of the public
22 for providing public comments for coming to the meeting to
23 comment, and for listening on the webcast, and, of course,
24 thank the RCHAB staff, the legal staff, and the
25 implementation staff for all their hard work to prepare

1 the materials for the meeting, and to make the
2 presentations at the meeting.

3 So thank you all. And I'll just finally turn it
4 back over to you, Ellen.

5 CHAIRPERSON GOLD. Anybody have any further
6 comments or questions?

7 Okay. I would like to thank the staff and the
8 Committee for their diligent and conscientious efforts on
9 this particular issue. And for everyone who made
10 presentations today.

11 So thank you.

12 And with that, I believe we can adjourn.

13 (Thereupon the Developmental and
14 Reproductive Toxicant Identification
15 Committee adjourned at 3:43 p.m.)
16
17
18
19
20
21
22
23
24
25

1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the
5 foregoing California Office of Environmental Health Hazard
6 Assessment, Developmental and Reproductive Toxicant
7 Identification Committee was reported in shorthand by me,
8 James F. Peters, a Certified Shorthand Reporter of the
9 State of California, and thereafter transcribed under my
10 direction, by computer-assisted transcription.

11 I further certify that I am not of counsel or
12 attorney for any of the parties to said meeting nor in any
13 way interested in the outcome of said meeting.

14 IN WITNESS WHEREOF, I have hereunto set my hand
15 this 24th day of October, 2017.

16
17
18
19 
20
21

22 JAMES F. PETERS, CSR, RPR
23 Certified Shorthand Reporter
24 License No. 10063
25