

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
CARCINOGEN IDENTIFICATION COMMITTEE

JOE SERNA JR.
CALEPA HEADQUARTERS BUILDING
1001 I STREET
COASTAL HEARING ROOM
SACRAMENTO, CALIFORNIA

WEDNESDAY, NOVEMBER 19, 2014
10:00 A.M.

TIFFANY C. KRAFT, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 12277

A P P E A R A N C E S

COMMITTEE MEMBERS:

Thomas M. Mack, M.D., M.P.H., Chairperson

Jason Bush, Ph.D.

Shanaz Dairkee, Ph.D.

David A. Eastmond, Ph.D.

Joseph Landolph, Ph.D.

Peggy Reynolds, Ph.D.

Luoping Zhang, Ph.D.

STAFF:

Dr. Lauren Zeise, Deputy Director, Scientific Affairs

Dr. John Budroe, Section Chief, Air Toxicology and Risk Assessment Section

Ms. Carol Monahan-Cummings, Chief Counsel

Dr. Jennifer Hsieh

Dr. Kate Li

Dr. Gwendolyn Osborne

Dr. Karin Ricker

Dr. Martha Sandy, Chief, Reproductive and Cancer Hazard Assessment Branch

Dr. Feng Tsai

Dr. Patty Wong, Section Chief, Cancer Toxicology and Epidemiology Section

ALSO PRESENT:

Gordon Burns, Undersecretary, CalEPA

I N D E X

	PAGE
I WELCOME AND OPENING REMARKS	
Lauren Zeise, Ph.D., Deputy Director	1
Carol Monahan-Cummings	
Chief Counsel, Office of Environmental Health	
Hazard Assessment(OEHHA)	3
II CONSIDERATION OF CHEMICALS AS KNOWN TO THE STATE TO CAUSE CANCER	
A. Dibenzanthracenes	
- Staff presentation(Feng Tsai, Jennifer Hsieh, Gwen Osborne)	11
- Public Comments	
- Committee discussion and decision	35
B. N-Nitrosomethyl-n-Alkylamines	
- Staff presentation(Karin Ricker, Kate Li)	57
- Public comments	
- Committee discussion and decision	70
III STAFF UPDATES	
Chemical listings via the administrative listing mechanisms	87
Proposition 65 litigation (Carol Monahan-Cummings, Chief Counsel, OEHHA)	90
IV SUMMARY OF COMMITTEE ACTIONS	94
V Adjournment	96
VI Reporter's Certificate	97

1 P R O C E E D I N G S

2 DEPUTY DIRECTOR ZEISE: Good morning, everyone.
3 Let's get started. Hello. I'm Lauren Zeise. I'm Deputy
4 Director for Scientific Affairs at the Office of
5 Environmental Health Hazard Assessment. I'm sitting in
6 for Dr. George Alexeeff, the Director, who wasn't able to
7 make this meeting.

8 I'd like to welcome the Committee and the
9 audience to the meeting, including those that might be
10 listening via webcast.

11 The first thing I'll do is introduce the
12 Committee. So the Chair of the Committee is at my left is
13 Dr. Thomas Mack. He is professor in the Department of
14 Preventative Medicine and Pathology at the University of
15 California Keck School of Medicine.

16 To my right is Dr. --

17 CHAIRPERSON MACK: Southern California.

18 DEPUTY DIRECTOR ZEISE: What did I say? USC
19 school. Sorry.

20 So to my right is Dr. David Eastmond, who is
21 Professor and Chair of the Department of Cell Biology at
22 the University of California at Riverside.

23 And then to his right is Dr. Joseph Landolph, who
24 is an associate professor of molecular microbiology and
25 immunology and pathology at the USC Keck School of

1 Medicine and associate professor of molecular pharmacology
2 and pharmaceutical science at the USC School of Pharmacy.

3 To Dr. Mack's left is Dr. Shanaz Dairkee. She's
4 senior scientist at the California Pacific Medical Center
5 and a consulting professor for the Stanford University
6 School of Medicine.

7 To her left is Dr. Jason Bush, an associate
8 professor of cancer biology at the California State
9 University Fresno.

10 To his left is Dr. Peggy Reynolds, who is a
11 senior research scientist at the Cancer Prevention
12 Institute of California and a consulting professor at the
13 Stanford University School of Medicine.

14 And to her left is Dr. Luoping Zhang. She is an
15 associate adjunct professor of toxicology at the School of
16 Public Health at the U.C. University of California at
17 Berkeley.

18 So welcome, everyone.

19 Now I'd like to introduce staff.

20 So there's Dr. John Budroe, who recently left the
21 cancer toxicology and epidemiology section as Chief to
22 return to as Section Chief of the Air Toxicology and Risk
23 Assessment Section.

24 Next to John is Dr. Patty Wong, who we're
25 welcoming as the new Section Chief for the Cancer

1 Toxicology and Epidemiology Section. And that section is
2 the one that generates documents and materials for the
3 hazard identification deliberations of this Committee.

4 Next to Patty is Dr. Martha Sandy, who is the
5 Branch Chief for the Reproductive and Cancer Hazard
6 Assessment Branch.

7 Next to Martha is Carol Monahan-Cummings, our
8 Chief Counsel.

9 Next to her is Feng Tsai -- Dr. Feng Tsai,
10 Gwendolyn Osborne, Jennifer Hsieh, Karin Ricker, and Kate
11 Li. And these are all members of the cancer toxicology
12 and epidemiology section. So welcome, everyone.

13 For logistics, I'd like to announce the meeting
14 is being webcast. And so if people could speak into the
15 mikes and introduce themselves as they speak if you're
16 from the audience.

17 And we're going take a brief moment -- Carol,
18 would you like to say something?

19 CHIEF COUNSEL MONAHAN-CUMMINGS: Good morning.
20 We have Gordon Burns, who is the Associate Secretary for
21 CalEPA, who is going to administer the oath to Chairman
22 Mack of our Committee. And it will just take a couple
23 minutes. But Dr. Mack has been reappointed to the
24 Committee and we need to give him the oath before we
25 proceed.

1 CALEPA UNDERSECRETARY BURNS: Should we do it
2 right here?

3 I will read it to you and repeat. We'll try to
4 get through this better than Obama and the Chief Justice.
5 Raise your right hand.

6 I, state your name.

7 CHAIRPERSON MACK: I, Thomas Mack.

8 CALEPA UNDERSECRETARY BURNS: Do solemnly swear.

9 CHAIRPERSON MACK: Do solemnly swear.

10 CALEPA UNDERSECRETARY BURNS: That I will support
11 and defend the Constitution of the United States.

12 CHAIRPERSON MACK: That I will support and defend
13 the Constitution of the United States.

14 CALEPA UNDERSECRETARY BURNS: And the
15 Constitution of the state of California.

16 CHAIRPERSON MACK: And the Constitution of the
17 state of California.

18 CALEPA UNDERSECRETARY BURNS: Against all
19 enemies, foreign and domestic.

20 CHAIRPERSON MACK: Against all enemies, foreign
21 and domestic.

22 CALEPA UNDERSECRETARY BURNS: That I will bear
23 true faith and allegiance to the Constitution of the
24 United States.

25 CHAIRPERSON MACK: That I will bear true faith --

1 I missed the last word.

2 CalePA UNDERSECRETARY BURNS: And allegiance.

3 CHAIRPERSON MACK: And allegiance.

4 CalePA UNDERSECRETARY BURNS: To the Constitution
5 of the United States.

6 CHAIRPERSON MACK: To the United States.

7 CalePA UNDERSECRETARY BURNS: And the
8 Constitution of the State of California.

9 CHAIRPERSON MACK: And the Constitution of the
10 State of California.

11 CalePA UNDERSECRETARY BURNS: That I take this
12 obligation freely.

13 CHAIRPERSON MACK: That I take this obligation
14 freely.

15 CalePA UNDERSECRETARY BURNS: Without any mental
16 reservation.

17 CHAIRPERSON MACK: Without any mental
18 reservation.

19 CalePA UNDERSECRETARY BURNS: Or purpose of
20 evasion.

21 CHAIRPERSON MACK: Or purpose of evasion.

22 CalePA UNDERSECRETARY BURNS: I will well and
23 faithfully discharge the duties on which I'm about to
24 enter.

25 CHAIRPERSON MACK: That I will faithfully

1 discharge the duties on which --

2 CalePA UNDERSECRETARY BURNS: I'm about to enter.

3 CHAIRPERSON MACK: To enter.

4 CalePA UNDERSECRETARY BURNS: Thank you.

5 CHIEF COUNSEL MONAHAN-CUMMINGS: Thank you,
6 Gordon.

7 CalePA UNDERSECRETARY BURNS: Thank you very
8 much. Congratulations.

9 CHIEF COUNSEL MONAHAN-CUMMINGS: Sorry for the
10 interruption.

11 DEPUTY DIRECTOR ZEISE: We'll resume with a few
12 logistics before I turn the meeting over to Carol for some
13 introductory remarks.

14 In terms of logistics, drinking fountains and
15 rest rooms out the back door and to the left. Emergency
16 exits are clearly marked at the door here, at the back
17 door, and the side door. And there is a cafeteria
18 downstairs.

19 Okay. So now, Carol, would you like to make some
20 introductory remarks?

21 CHIEF COUNSEL MONAHAN-CUMMINGS: Sure. I wanted
22 to point out -- and you may have noticed some of the
23 logistics are a little bumpy today. But the primary
24 reason for that is that we had two really very long-term
25 staff with the Implementation Unit Prop. 65 that retired

1 in the summer. One was Cindy Oshita, who had been with
2 the office for probably over 30 years, and the other one
3 was Susan Luong who had been with the office over 20 years
4 I believe. So they had supported this Committee and all
5 the background stuff for so many years that we all took
6 them somewhat for granted.

7 And so the supervisor for that group has been
8 working on filling those two positions. And currently,
9 they are not filled, but we're hopeful that they will be
10 the next time this Committee meets. Right now, we're
11 using backup folks. And I wanted to introduce Monet Vela,
12 who is over here at the computer. She's done really hard
13 work trying to cover the positions of three people. And
14 so you may have gotten some e-mails from her. Other
15 staff, my staff counsel Fran Kammerer. We've got -- I
16 don't know if Barbara Moseman -- Barbara is not here.
17 She's our legal assistant has helped a lot. A number of
18 other OEHHA staff have pitched in. So I wanted to, even
19 though they're not here, thank Cindy and Susan and also to
20 thank our staff for pitching in.

21 So I just want to make the usual comments I make
22 at the beginning of the meeting. I wanted to remind the
23 Committee that you have listing criteria that was adopted
24 by the Committee and you have copies of that in your
25 binders today. And you were sent that with the other

1 materials along with the information on the chemicals that
2 we're discussing today.

3 Your listing decision should be based on that
4 criteria and your own scientific expertise, and not
5 considering the future impact of the listing. For
6 example, whether or not a warning might be required for a
7 chemical exposure sometime in the future or how a listing
8 might impact a particular industry or business.

9 The clearly shown standard that you have for the
10 listings under this Committee is a scientific judgment
11 call. It's not a legal standard of proof. Sometimes
12 folks want to make it sound like it's beyond a reasonable
13 doubt, like in a criminal case, and that's not the case.
14 It's essentially a weight of the evidence standard.

15 Also, the Committee can decide and often does to
16 list a chemical based on only animal evidence of
17 carcinogenicity. The chemical does not need to be shown
18 to be a human carcinogen. And you don't need to consider
19 whether current human exposures to the chemical are
20 sufficiently high enough to cause cancer in humans. So
21 what you're actually doing is just identifying chemicals
22 that are known to cause cancer, whether in animals or
23 humans. The only caveat to that obviously is if there is
24 no possibility that the chemical could cause cancer in
25 humans, even though it does in animals. Sometimes there's

1 some theories about mode of action, but I'll leave that up
2 to you all to figure out. I'm not a scientist.

3 The members of the Committee are appointed by the
4 Governor because of your scientific expertise and you
5 don't need to be feel compelled to go outside that charge.

6 So today, you have the options of considering
7 listing chemicals, chemical groups, or declining to list
8 or you can defer that decision on listing or not listing
9 to another meeting if you feel like you don't have enough
10 information to make a decision today. So you're not
11 required in any manner to make a decision today if you're
12 not comfortable.

13 So any questions on that? Okay. Thank you.

14 CHAIRPERSON MACK: Well, I'll add my welcome
15 given by Lauren. For those of you who are here, we'll get
16 on with the issue.

17 We have two groups the dibenzanthracenes and a
18 group of nitrosomethyl-n-alkylamines. And the one thing
19 that's novel to some extent for the deliberation of the
20 Committee is that we'll begin by thinking about them as a
21 group. And if the Committee feels like that the evidence
22 suggests they could be listed as a group, we will list
23 them as a group. If they're not, we'll take them
24 individually. And as Carol said, we have the option of
25 not listing them at all or not deciding.

1 So with that, let's begin with the
2 dibenzanthracenes. And the first -- first of all, I'll
3 turn to Dr. Landolph and Dr. Dairkee will discuss those
4 when the time comes. And Dr. Bush and Dr. Zhang for the
5 nitrosamines.

6 So Martha, tell us what to do next. Or actually
7 do it.

8 DR. SANDY: Thank you, Dr. Mack.

9 I wanted to say a few things for the members that
10 weren't on the CIC back in 2011, just so they have some
11 background on where these chemicals came from.

12 So back in 2011, we brought to the CIC the
13 chemical group dibenzanthracenes and the two chemical
14 isomers in that group that are not already listed under
15 Proposition 65 for ranking by your Committee. And at that
16 time, the CIC ranked both the group and the individual
17 isomers not already listed as having a high priority for
18 selection and hazard identification document preparation.

19 So in 2011, shortly after your meeting, OEHHA --
20 we announced that we had selected the dibenzanthracenes
21 and those two isomers not already listed for hazard
22 identification document preparation. And we also issued a
23 request for relevant information on the assessment of the
24 evidence of carcinogenicity of these compounds. And no
25 information was received at that time. So that's the

1 background on why we're bringing these two today.

2 And now I'll turn it over to Dr. Wong, who will
3 introduce her staff.

4 DR. WONG: Good morning, Dr. Zeise and CIC
5 members.

6 I would like to introduce the presenter today for
7 in order of presentation, Dr. Feng Tsai, Dr. Gwendolyn
8 Osborne, and Dr. Jennifer Hsieh. They will present
9 evidence on the chemical dibenzanthracenes.

10 (Whereupon the following overhead presentation
11 was given.)

12 DR. TSAI: Good morning. My name is Feng Tsai.
13 Today, we are here to present the evidence on the
14 carcinogenicity of dibenzanthracenes (DBAs). This
15 presentation is an abbreviated version of the data that
16 were reviewed in the hazard identification materials.
17 These materials were prepared to assist the CIC's
18 consideration of listing the DBAs as a group or listing
19 individual chemicals within the group that are not already
20 on the Proposition 65 list.

21 --o0o--

22 DR. TSAI: Here's the overview of today's
23 presentation. First, we'll introduce the chemicals. Next
24 we'll present the available carcinogenicity data,
25 including animal bioassays, initiation promotion studies,

1 and other relevant data, such as genotoxicity, metabolism,
2 and structure activity comparisons. We'll also present
3 information on possible carcinogenic mechanisms and end
4 the presentation with a brief summary of evidence.

5 --o0o--

6 DR. TSAI: DBAs are 5-rings PAHs with a common
7 anthracene core. There are three isomers in this group.
8 Each isomer has two additional benzene rings attached at
9 different carbon bonds of anthracene. Here's the chemical
10 structure of anthracene with the naming scheme.

11 The first DBA isomer is dibenz(ah)anthracene.
12 The next isomer is dibenz(ac)anthracene. And the third
13 one is dibenz(aj)anthracene.

14 These isomers share similar chemical properties.
15 For example, they are lipophilic with low water
16 solubility.

17 In addition, each isomer contains at least two or
18 more "bay region" structures that are important for the
19 formation of reactive metabolites, such as diol epoxides.
20 Bay region theories have been proposed to predict the
21 carcinogenic potency of PAHs.

22 Throughout our presentation, we will use the
23 short-hand terms "ah" isomer, "aj" isomer, and "ac"
24 isomers to refer to the different chemicals within this
25 group.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

--o0o--

DR. TSAI: This slide presents the cancer classifications reviews from other agencies. Usually, we present this information at the end of the talk. But because one isomer in this group, the ah isomer, is already listed under Proposition 65, we'd like to bring this information up now.

The ah isomer, with its extensive data, is also classified as a carcinogen by NTP, IARC, and USEPA. In fact, the ah isomer was the first pure chemical shown to be carcinogenic in animal studies as early as 1930.

The ac and aj isomers are classified by IARC as Group 3 chemicals.

None of these agencies reviewed DBAs as a group.

Since the ah isomer is already listed, our presentation will focus more on the evidence available for the ac and aj isomers. The ah isomer data will be presented briefly with the ah isomer colored in brown to show in the slides that this is a listed carcinogen.

--o0o--

DR. TSAI: DBA are products of incomplete combustion or pyrolysis. Emission sources are listed here, such as from cooking or smoking. Human exposure can come from contaminated air, food or water.

There are no commercial uses of DBAs. They are

1 used only for research purposes.

2 From biomonitoring studies, DBAs have been found
3 in human tissues and also in wildlife. This slide shows
4 the carcinogenicity data in human and animal studies.

5 --o0o--

6 DR. TSAI: No human data were identified for the
7 pure DBAs, however, there are many epidemiology studies
8 demonstrating that PAH mixtures containing DBAs, such as
9 coke-oven emissions, are carcinogenic.

10 For animal data, ah is the most studied isomer.
11 It has been shown to induce tumors at multiple sites in
12 multiple species by multiple routes. Positive tumor
13 findings for the ah isomer are summarized in Table 4 of
14 the HID.

15 In contrast, the ac and aj isomers have limited
16 animal data, only tested in mice. The ac isomer has a
17 total of 9 animal bioassays conducted by three different
18 routes-- dermal, subcutaneous and intraperitoneal
19 injection.

20 The (aj) isomer only has two mouse bioassays, one
21 by the dermal route and one by the subcutaneous route.

22 --o0o--

23 DR. TSAI: First, we'll present three ac
24 bioassays by the dermal route. The first two studies done
25 in '62 and '68 did not show treatment-related tumors,

1 possibly due to less than lifetime dosing and study
2 period, and small numbers of animals. For example, Finzi
3 et al, study was conducted in 20 animals and observed for
4 25 weeks.

5 --o0o--

6 DR. TSAI: The third dermal study applied the ac
7 isomer in Swiss mice twice a week for 65 weeks and
8 observed the animals for life. The controls of 20 animals
9 were treated with solvent for 100 weeks. Skin tumors were
10 observed only in the ac treated group. The first tumor
11 was observed at 60 week, suggesting the previous two
12 dermal study with a study duration of 25 or 56 weeks may
13 not have been sufficient to permit the observation of
14 treatment-related tumors.

15 As shown in this table, there were statistically
16 significant increases of skin squamous cell carcinoma and
17 combined carcinoma and papilloma in the treated mice,
18 compared with no skin tumor in the controls.

19 Based on our pathology reviews, skin tumors are
20 considered rare in mice, usually with background incidence
21 less than 1%. Moreover, Lijinsky, et al, reported that
22 historical control of Swiss mice were untreated and
23 solvent treated only control Swiss mice was really rare.
24 Moreover, the authors state that the control mice rarely
25 develop an occasional skin papilloma but never a

1 carcinoma.

2 --o0o--

3 DR. TSAI: Next, we'll present five subcutaneous
4 bioassays for the ac isomer. The first two bioassays did
5 not report any treatment-related tumors, possibly due to
6 limitations in study design.

7 In the third study, Kouri, et al, administered a
8 single injection of the ac isomer, tested at two dose
9 levels to three strains of mice with different binding
10 affinities for aryl hydrocarbon receptor (AhR). Two
11 strains have high affinity for the AhR and the third
12 strain has low affinity for the AhR. At 12 months, one
13 rare skin fibrosarcoma was observed at high dose groups in
14 each of the high-affinity strains. The study did not
15 report tumor incidences in the control.

16 --o0o--

17 DR. TSAI: The third route of exposure was done
18 by ip injection for the ac isomer. Two control groups
19 were used in this study, one vehicle control group and one
20 positive control group. The ac isomer was administered
21 during the first two weeks of life to male mice. As shown
22 in the table, the ac treated animals have a statistically
23 significant increase of liver adenomas observed at 12
24 months. Liver adenomas may progress to liver carcinomas.

25 --o0o--

1 DR. TSAI: Now we'll present bioassays data for
2 the aj isomer. There are only two bioassays identified,
3 one by dermal application and the other by subcutaneous
4 injection. Both are conducted with female Swiss mice.

5 In the dermal application study, the aj isomer
6 was applied twice a week for 60 to 81 weeks at two doses
7 and observed for life in group of 30 mice. The control
8 group had 20 animals to begin with, and 14 animals
9 survived to week 60. Survival in the low dose group was
10 statistically significant lower than that of the control,
11 while survival in the high dose group was similar to that
12 of the control. No explanation was given in the paper.

13 As shown in the table, the aj-treated groups show
14 increases in skin papilloma and squamous cell carcinoma.
15 These increases were statistically significant by pairwise
16 comparison for carcinoma in the high dose and for combined
17 papilloma/carcinoma in both high- and low-dose groups. In
18 addition, statistically significant dose response
19 relationships were observed for both carcinoma and
20 combined papilloma/carcinoma by the exact trend test.

21 In summary, this dermal bioassay shows
22 treatment-related skin tumor increased both by the
23 pairwise and by trend test.

24 The second study administered the aj isomer by a
25 single subcutaneous injection to 25 female mice and

1 observed for life. Though not statistically significant,
2 rare skin sarcomas were observed in 3 of 15 ac treated
3 animals, compared with none in the solvent control group.
4 The author did not specify whether or not these are
5 injection-site sarcomas.

6 --o0o--

7 DR. TSAI: Next we'll present data from the
8 initiation promotion studies with ac and aj isomers and
9 their metabolites.

10 First, this slide summarized the results for the
11 ac isomer and some of its metabolites. The first column
12 lists key study design elements, such as the mouse strain
13 tested and the study duration. The ac isomer and its
14 metabolites were studied in the 2-stage model using
15 different strains of mice. All studies used TPA as tumor
16 promoter, except the first one, which used croton resin.

17 Results noted with a positive sign indicates
18 statistically significant initiating effects observed in
19 the ac or ac metabolite-initiated group, compared with
20 promoter-only group. A "+/-" sign indicates that some
21 tumor initiating activity was observed, but either the
22 increase in tumor incidence did not reach statistically
23 significance at $p=0.05$, or there were no control data
24 available for statistical comparison.

25 For example, the fifth study listed in the table

1 by Scribner and Scribner 1980 shows 75% of ac-initiated
2 mice developed skin papilloma, but there were no control
3 data available for that study. As discussed in some detail
4 in the HID and summarized in this table, the majority of
5 the studies on the ac isomer show evidence of the
6 tumor-initiating activity.

7 In addition, two of the ac metabolites tested
8 were also skin tumor initiators, with a third metabolite
9 showing some initiating activity, although the increase in
10 tumor incidence did not reach statistical significance.

11 --o0o--

12 DR. TSAI: We won't report all studies. Here we
13 show an example of an ac isomer initiating promotion
14 study.

15 The ac isomer was applied as a tumor initiator,
16 followed by 56 to 58 weeks of TPA promotion. As shown in
17 the table, the ac/TPA treated group had a statistically
18 significant increase in papilloma, compared with the TPA
19 only group. The ac/TPA treated group also had increased
20 skin carcinoma incidence, but the increase did not reach
21 statistical significance.

22 --o0o--

23 DR. TSAI: Next we'll present the results on the
24 aj initiation promotion studies. All studies listed here
25 used SENCAR mice, which is considered the most sensitive

1 strain for the initiation-promotion model. All results
2 show statistically significant tumor initiating activities
3 for the aj isomer and its two diol or diol epoxide
4 metabolites.

5 --o0o--

6 DR. TSAI: Here's an example of the ac initiation
7 promotion study.

8 Harvey, et al, tested the aj isomer and two of
9 its metabolites as initiators, followed by 14 weeks TPA
10 promotion. All three chemicals tested show initiating
11 activity, with increased numbers of papillomas per mice
12 and a statistically significant increase of papilloma
13 incidence, compared to the vehicle-initiated group.

14 In addition, the 3,4-diol 1,2-epoxide metabolite
15 showed greater initiating activity than the parent
16 compound on an equimolar basis.

17 --o0o--

18 DR. TSAI: Next let's look at other relevant data
19 on DBAs.

20 The next two slides present a brief summary of
21 the genotoxicity data. A more complete review is in the
22 HID.

23 The Ah isomer, a listed carcinogen, is genotoxic,
24 as shown in a number of different assay systems listed
25 here.

1 The ac isomer induces bacterial DNA damage, and
2 both the ac and aj isomer are mutagenic in bacteria assays
3 and have tested positive in multiple in vitro and in vivo
4 assays that will be shown in the next slide.

5 In addition, metabolites of each of the three DBA
6 isomers are also genotoxic. Some metabolites are more
7 potent than the parent compounds.

8 --o0o--

9 DR. TSAI: This table summarizes the genotoxicity
10 results for the ac and aj isomers and their diol and diol
11 epoxide metabolites.

12 The first column lists the different genotoxicity
13 assays, and the results are presented for each of the
14 isomers and their metabolites.

15 First, let's look at the parent compounds. Both
16 the ac and aj isomers induce bacterial gene mutation or
17 DNA damage. Both form DNA adducts in vitro and in vivo.
18 And both induce mutations in mammalian cells, including
19 oncogene mutations in mice. In addition, the ac isomer
20 also induces UDS, tested positive in mouse micronucleus
21 assay and induces somatic mutations in fruit flies. The
22 aj isomer has not been tested in these assays.

23 Next, let's look at the metabolites. Metabolites
24 of both isomers are tested positive in bacterial assays
25 and form DNA adducts in vitro and in vivo. In addition,

1 ac metabolites induce sister chromatid exchange; aj
2 metabolites induce oncogene mutations in mice.

3 In summary, this table shows that both the ac and
4 aj isomers and their metabolites are genotoxic in multiple
5 short-term tests.

6 --o0o--

7 DR. TSAI: Studies on the induction of
8 morphologic changes by the DBAs are presented in this
9 slide.

10 First, the ah and ac isomers were tested positive
11 in vitro cell transformation studies. In general, there
12 is good correlation between the results of in vitro cell
13 transformation studies and in vivo carcinogenesis in
14 rodents. The aj isomer was not tested.

15 In addition, one in vivo study conducted in rats
16 reported that the ac isomer induced preneoplastic
17 morphological changes, such as epithelial hyperplasia and
18 squamous metaplasia in transplanted rat tracheas, exposed
19 by pellets containing ac isomer.

20 --o0o--

21 DR. TSAI: The author stated that ac isomer
22 caused severe and long-lasting epithelial and submucosal
23 change. Next let's look at the pharmacokinetics and
24 metabolism. The detail is in the hazard identification
25 materials. Here are some highlights.

1 ADME evidence comes from many in vivo and in
2 vitro studies, mostly of the ah isomer.

3 DBAs are absorbed slowly by dermal application
4 and subcutaneous injection. Absorption is faster, within
5 hours, by gavage.

6 Once absorbed, DBAs are rapidly distributed
7 within the body. Major compartments are the
8 gastrointestinal tract or liver, depending on the
9 administration route.

10 Multiple metabolic pathways and metabolites were
11 identified. Different enzymes, such as epoxide hydrolase
12 and cytochrome P450s are involved in the metabolism of the
13 DBAs.

14 Similar metabolites, such as diols and diol
15 epoxides, were identified for each of the three isomers.

16 DBAs are mainly excreted in the feces and urine
17 within days.

18 --o0o--

19 DR. TSAI: This slide shows some of the DBAs'
20 metabolites. For the ah isomer alone, more than 30
21 metabolites have been identified, including quinones,
22 phenols, and diol epoxides.

23 This is a 1,2-diol metabolite with two hydroxyl
24 groups. Diols are common metabolites of all three DBA
25 isomers as shown in the red circle here.

1 This is an diol epoxide metabolite from ac
2 isomers. Similar diol epoxide metabolites were also found
3 for the other two isomers. Diol epoxides can be further
4 metabolized by epoxide hydrolase to form bis-diol
5 metabolites. This is the bis diols metabolites for the aj
6 isomers. Similar metabolites was identified for the ah
7 isomer.

8 --oOo--

9 DR. TSAI: This slide represents some metabolic
10 pathways for the ah isomer, showing enzyme-mediated
11 formation of diols, diol epoxides, and bis diols. These
12 metabolites were all identified in either in vivo or in
13 vitro assays, except for those two marked with an
14 asterisk.

15 First, the ah isomer is metabolized by P450 to an
16 epoxide, then with epoxide hydrolase to form a diol, and
17 further metabolized to different diol-epoxides or bis-diol
18 epoxides.

19 Reactive carbonium ions are one possible end
20 product. These and many other DBA metabolites, such as
21 the diol epoxides, are all genotoxic.

22 The metabolic pathway for the ac and aj isomers
23 are not as well understood. But all three DBAs share
24 similar metabolites, including the diols and diol
25 epoxides, which indicate that similar metabolic pathways

1 are likely to occur.

2 Next Dr. Osborne will present on structure
3 activity comparison with related compounds.

4 --o0o--

5 DR. OSBORNE: We chose 6 structurally-related
6 non-substituted PAHs to compare to the DBA isomers based
7 on the following criteria:

8 They needed to contain four to six aromatic
9 rings, with at least three in a linear configuration, at
10 least 1 bay-region structure, and were tested in animals.

11 We found that almost all are genotoxic and
12 carcinogenic, form genotoxic and carcinogenic metabolites,
13 are on the Proposition 65 list of carcinogens and are
14 classified as carcinogens by IARC as either Group 1, 2A,
15 or 2B except for Dibenzo[a,e]Pyrene.

16 --o0o--

17 DR. OSBORNE: Here are the related PAHs. On the
18 left, we have the three DBA isomers, each of which has
19 five rings. The top middle we have benzo[a]pyrene, also
20 with five rings. Then in the middle is benz[a]anthracene
21 with four rings. Then there are four dibenzopyrene
22 isomers, each of which has six rings.

23 --o0o--

24 DR. OSBORNE: This table compares the PAHs. The
25 first three rows are the DBA isomers. Below that are the

1 six related compounds: Benzo[a]pyrene, benz[a]anthracene,
2 and the four dibenzopyrene isomers.

3 As you've already heard for the DBA isomers, each
4 compound is genotoxic. Each is also a skin tumor
5 initiator in initiation-promotion studies.

6 All the comparison compounds, except
7 dibenzo[a,e]pyrene, also form diol or diol epoxide
8 metabolites that are genotoxic and skin tumor initiators.

9 Additionally, tumors have been observed in
10 several sites in mice following exposure to these
11 compounds. The most common sites are the skin, liver, and
12 lung. Liver tumors have been observed for all but the a,j
13 isomer and dibenzo[a,e]pyrene. Lung tumors have been
14 observed in mice for all but the ac and aj isomers and
15 dibenzo[a,e]pyrene. Some of these compounds have also
16 induced lung tumors in other species, such as rats and
17 hamsters, as indicated by the footnotes.

18 Overall, you can see that there are numerous
19 similarities in biological activity between the DBAs and
20 other PAHs.

21 --o0o--

22 DR. OSBORNE: As additional evidence for the
23 carcinogenicity of the ac and aj isomers, we applied
24 Quantitative Structure Activity Relationship models, also
25 known as (QSAR) to predict carcinogenicity.

1 In general, QSAR models correlate physical and
2 chemical properties of related compounds to their
3 biological activity to predict the toxicity of chemicals
4 for which data are lacking.

5 In order to choose from the many different models
6 that have been developed, we used published sets of
7 guidelines to select four publicly available models. These
8 were VEGA, which is a platform containing the CAESAR and
9 ToxTree models, Lazar and QSAR Toolbox.

10 We also used 2 additional models published in the
11 scientific literature by Barone, et al, and Vijayalakshmi
12 and Suresh. These papers correlated electronic properties
13 of PAHs with carcinogenicity. We did not actually run
14 these two models. The results for the DBA isomers and the
15 other PAHs were published in these two papers. We did run
16 the models VEGA, Lazar, and Toolbox, and the results are
17 presented in this table.

18 --o0o--

19 DR. OSBORNE: Overall, all models predicted both
20 the ac and aj isomers to be carcinogenic.

21 The exception was Barone, et al. The aj isomer
22 did not meet the criteria for strong or moderate
23 carcinogenicity, nor did it meet the criteria for inactive
24 or weak carcinogenicity, so the prediction given in the
25 paper was not clear.

1 However, the rest of the predictions were all
2 made with good reliability according to various model
3 parameters.

4 In conclusion, additional evidence for the
5 carcinogenicity of the ac and aj isomers is provided by
6 these QSAR model predictions.

7 Now Dr. Hsieh will present evidence on
8 carcinogenic mechanisms.

9 --o0o--

10 DR. HSIEH: Thank you.

11 Move onto the carcinogenic mechanisms of
12 dibenzanthracenes. The IARC monograph volume 92,
13 published in 2010, discusses in some detail the available
14 mechanistic evidence for individual PAHs, including each
15 of the DBA isomers. The relevant pages of the monograph
16 are included in the hazard identification materials as
17 Attachment II.

18 The proposed mechanisms are genotoxicity,
19 receptor activation, immune suppression, and alterations
20 in regulation of cell growth. Additional mechanistic
21 information that has become available since the IARC
22 review, including data on the ah isomer from toxicogenomic
23 studies and from the US EPA ToxCast testing program, is
24 also summarized in the hazard identification document.

25 In today's presentation, we will focus primarily

1 on the two most well-studied mechanisms for the DBAs,
2 namely, genotoxicity and Ah receptor-mediated mechanisms,
3 as indicated in bold here.

4 --o0o--

5 DR. HSIEH: Next, we will look at genotoxic
6 mechanisms. The genotoxicity of DBAs is dependent upon
7 metabolic activation to form DNA reactive species. These
8 reactive metabolites may form DNA adducts, or otherwise
9 damage DNA, resulting in mutations and other genetic
10 changes that lead to tumor formation. Several key types
11 of DBA reactive metabolites are shown here:

12 First, carbonium ions can be generated from diol
13 epoxides. For example, all three DBA isomers can form
14 carbonium ions from their bay region diol epoxide
15 metabolites. The strong carcinogenicity of PAH bay region
16 diol epoxide metabolites has been recognized since the
17 70s.

18 Next, radicals are produced from one-electron
19 oxidation reactions catalyzed by peroxidases or CYP450s.

20 Lastly, o- and p-quinone metabolites may bind
21 directly to DNA, or undergo redox-cycling to generate
22 reactive oxygen species, which in turn may lead to
23 oxidative DNA damage.

24 --o0o--

25 DR. HSIEH: We'll now continue with our summary

1 of the evidence supporting a role for genotoxicity as a
2 carcinogenic mechanism for the DBAs. This table
3 summarizes the data on genotoxicity, mouse skin tumor
4 initiating activity, and animal bioassay findings for each
5 of the DBA isomers and for several of their diol or diol
6 epoxide metabolites.

7 First, all 3 DBA isomers and the diol or diol
8 epoxide metabolites shown here are genotoxic.

9 In addition to being genotoxic, all three DBAs
10 are also skin tumor initiators and there are positive
11 tumor findings in animal bioassays.

12 Two of the Ah isomer's diol or diol epoxide
13 metabolites are also skin tumor initiators and have
14 positive tumor findings in animal bioassays.

15 Three of the ac isomer's diol metabolites and two
16 of the aj isomer's diol or diol epoxide metabolites are
17 also skin tumor initiators. But, they haven't been tested
18 in animal cancer bioassays.

19 --o0o--

20 DR. HSIEH: This slide highlights several lines
21 of evidence suggesting that Ah receptor-mediated
22 mechanisms are involved in the carcinogenicity of PAHs,
23 including the DBAs. The evidence that DBAs induce Ah
24 receptor mediated effects includes:

25 Several studies of cytochrome P450 enzyme

1 induction associated with AhR. The particular CYP
2 isozymes that are induced by DBAs are also capable of
3 metabolizing DBAs to form genotoxic species.

4 Enhanced DNA adduct formation by the ah isomer
5 has been observed in mouse skin 24 hours after dermal
6 application in wild type mice, as compared to AhR knockout
7 mice.

8 Enhanced skin tumor induction, by the ah and the
9 ac isomers has been observed, as well as enhanced CYP1A1
10 induction, in mice expressing a high-affinity AhR, as
11 compared with mice expressing a low-affinity AhR.

12 As discussed in the portion of the IARC 2010
13 monograph included as Attachment 2 to the hazard
14 identification materials, a number of additional
15 AhR-mediated signaling pathways are thought to be involved
16 in PAH-induced carcinogenesis. The effect of the DBAs on
17 these other pathways has not been studied, but studies of
18 other PAHs have been conducted.

19 Briefly, AhR receptor activation by other PAHs
20 has been shown to result in alteration of tumor suppressor
21 genes and activation of some oncogenes, such as c-Myc, as
22 well as cross-talk with other nuclear receptors, such as
23 the estrogen receptor, and activation of p53-dependent or
24 p53-independent pathways that suppress immune functions.

25 --o0o--

1 DR. HSIEH: This table summarizes findings
2 associated with several of the possible carcinogenic
3 mechanisms for the DBAs and for the most well-studied PAH,
4 Benzo(a)Pyrene.

5 As discussed previously, there is strong evidence
6 that all three DBA isomers and Benzo(a)Pyrene are
7 genotoxic.

8 And that they can activate the Ah receptor, and
9 that AhR-mediated effects are involved in skin tumor
10 initiation and carcinogenicity.

11 All three DBA isomers and Benzo(a)Pyrene can
12 alter cell growth. However, the data are limited for the
13 ac and aj isomers reported in only one study for the ac,
14 and aj isomers, in which a dose-dependent increase in
15 cell proliferation was observed in rat liver epithelial
16 cells in vitro.

17 --o0o--

18 DR. HSIEH: Lastly, immune suppression was found
19 to be induced by the ac, and ah isomers and
20 Benzo(a)Pyrene. However, the evidence for the ac isomer
21 is limited to one in vitro study conducted on human
22 T-cells. Currently, there are no data for the aj isomer
23 on immuno-suppression.

24 Overall, the evidence suggests that all three DBA
25 isomers are likely to act through similar mechanisms as

1 those proposed for Benzo(a)Pyrene, to induce tumors.

2 --o0o--

3 DR. HSIEH: In conclusion, this slide summarizes
4 the tumor findings from animal studies of the three DBA
5 isomers.

6 For the ac isomer, female Swiss mice exposed by
7 dermal application were observed to have statistically
8 significant increases in skin squamous cell carcinoma, and
9 combined papilloma and carcinoma, as compared to controls;

10 In another study, a statistically significant
11 increase in liver adenoma was observed at 12 months in
12 male B6C3F1 mice, following neonatal i.p. injections.

13 In addition, the ac isomer and three of its diol
14 metabolites are skin tumor initiators.

15 For the aj isomer, female Swiss mice exposed by
16 dermal application were observed to have statistically
17 significant increases in skin squamous cell carcinoma, and
18 combined papilloma and carcinoma, with a dose-dependent
19 trend, as compared to controls.

20 In another study, the induction of rare skin
21 sarcomas were observed by subcutaneous injection in female
22 Swiss mice.

23 In addition, the aj isomer and two of its diol
24 and diol epoxide metabolites are skin tumor initiators.

25 And, as reviewed previously, the ah isomer, which

1 is already listed under Proposition 65, has been shown to
2 induce tumors in multiple species, by multiple routes.

3 --o0o--

4 DR. HSIEH: To continue with our summary of the
5 other relevant data:

6 All three DBA isomers and their metabolites are
7 genotoxic:

8 They all tested positive in bacteria, and in in
9 vivo and in vitro genotoxicity assays. And they all form
10 DNA adducts.

11 Ah isomer and ac isomer induce cell
12 transformation in vitro.

13 Ac isomer induces preneoplastic morphologic
14 change in vivo in subcutaneous transplanted rat tracheas.

15 All three DBA isomers activate AhR-mediated
16 pathways.

17 All three DBA isomers share strong
18 structure-activity similarities with six comparison PAH
19 carcinogens.

20 Lastly, the carcinogenicity of ac and aj isomers
21 is supported by several QSAR model predictions.

22 This concludes our presentation on the
23 carcinogenic evidence of the dibenzanthracenes. The
24 evidence summarized here supports the CIC's deliberation
25 on the listing of dibenzanthracenes as a group, or the

1 individual listing of Dibenz(ac)anthracene or
2 Dibenz(aj)anthracene.

3 Thank you for your attention.

4 CHAIRPERSON MACK: Thank you.

5 Now we'll see if anybody on the Committee has any
6 questions for the staff.

7 I have one question/observation. It looks as
8 though the metabolites are pretty nasty, just like the
9 DBAs are. And it also looks like that they're probably
10 really widely distributed in the environment. They're
11 excreted in feces, which means they must be very widely
12 distributed even in commercial areas of California, for
13 example. What we don't know, they haven't been tested as
14 much in detail as the DBAs.

15 DR. TSAI: Yes, the environmental data they were
16 available in the air, in the water. I think we present
17 that in the chemical identity part. So they are
18 identified in the occupational setting, in the cooking
19 indoor environment, and also in the drinking water, in
20 fresh water, in lake sediment, everywhere.

21 CHAIRPERSON MACK: They refer to lakes because
22 somebody decided that would be an interesting thing to
23 measure.

24 DR. TSAI: Yes or no. I think they are trying to
25 characterize the contamination, because with their

1 long-lasting biodegradability issue.

2 CHAIRPERSON MACK: Anybody on the Committee have
3 any questions for the staff?

4 Dr. Dairkee.

5 COMMITTEE MEMBER DAIRKEE: Even though there is
6 such wide distribution of these things, there is no
7 epidemiological data. Is it because everybody has such
8 high levels of these compounds that who do you -- who is
9 the control and who's the case and how do you do
10 epidemiological studies in that occasion?

11 DR. TSAI: Dr. Reynolds may be a better person to
12 answer the question. But from my basic understanding is
13 that first DBA ah isomer was identified as carcinogenic in
14 animals. So you couldn't possibly have pure chemical
15 administer in human. And the difficulty of conducting epi
16 data using the -- to relay the single chemical is that
17 there's no -- you couldn't possibly -- because PAH
18 mixtures are so hard to characterize, unless you have very
19 high concentration like benzo(a)pyrene, for example. But
20 even with benzene you have many co-exposure or
21 co-contaminants. So it's hard to tease out the individual
22 association. But Dr. Reynolds would provide better
23 answer.

24 COMMITTEE MEMBER REYNOLDS: I think that's a very
25 good answer. I think from the human health point of view

1 it's the complexity of these mixtures which makes it
2 really hard to disengage in the human health study.

3 I actually have a question.

4 CHAIRPERSON MACK: I would think it's also very
5 difficult to identify even exposure to the mixtures and
6 the degree, because it's so universally spread that it
7 would be hard to single out, as you said, distinguish
8 between cases and controls because everybody is exposed to
9 some extent. Only in the case of something like people
10 who work in the -- the one that's mentioned here, people
11 who work in the steel industry who get very, very heavy
12 exposure to soot or to products of incomplete combustion.
13 But then it's the whole mess that's going on.

14 Well, let's proceed. Joe, you're the first.

15 Sorry, Peggy.

16 COMMITTEE MEMBER REYNOLDS: I actually had a
17 question, but I don't know maybe the discussants are going
18 to address this. It wasn't quite clear to me the time,
19 the trajectory in terms of time for how much of this
20 evidence is new -- since a lot of the studies you cited
21 are actually quite old, how much of this is new evidence
22 since the last time this has been reviewed by any of these
23 informative bodies? Do you know have information on that
24 or sort of a general sense? Or is that something that you
25 guys are going to discuss already? So is that a premature

1 question?

2 DR. TSAI: I don't have specific answer on how
3 many new studies since the IARC 2010 review. But most all
4 of the bioassay study and initiation promotion studies are
5 very old. Done prior to 1990. And there are some new
6 study on the Toxcast or some other relevant information on
7 the DBAs. They are newer, like the newest study we found
8 was 2013, but --

9 COMMITTEE MEMBER REYNOLDS: It's mostly old.

10 DR. TSAI: The majority of the bioassays and
11 initiation promotion studies were very old.

12 CHAIRPERSON MACK: Yes. Dr. Zhang.

13 COMMITTEE MEMBER ZHANG: I have two small
14 questions for confirmation.

15 On slide number eleven, Dr. Tsai, when they have
16 the dose response as slide eleven, low dose and high dose,
17 I heard you saying P strain, significant P strain. We
18 don't have P strain study here. I wonder if that in the
19 controls -- I just want to make sure my understanding is
20 correct. Is that the stars on the control that means P
21 strain?

22 DR. TSAI: The stars in the control groups shows
23 the trend test P. One star meaning the P less than .05.
24 And two star meaning that's less than .01.

25 COMMITTEE MEMBER ZHANG: That is correct.

1 Another question. Slide number 17, we are
2 looking at the parent compounds and the compared with
3 metabolites. For example, the first genotoxicity bacteria
4 gene notation. My question is for parent compounds, do
5 they add S9 to really indicate as a parent compound or in
6 the testing system they already add S9? So that's
7 basically my question. How do we know that the testing
8 for parent compounds is truly correct?

9 DR. TSAI: So most of the bacteria gene mutation
10 assays, they were done with S9. But there are some
11 studies shown that they have -- they were conducted both
12 with and without S9.

13 DR. SANDY: On Table 25 in the HID, you're
14 talking about the bacterial data. Those tests for the AC
15 isomer. And there are no positive tests in the absence of
16 S9 for the AC.

17 So metabolic activation is needed and I could
18 point you to the other table for the aj. What we're
19 saying is the administrations of the parent compound,
20 we're getting a positive result. And then as Dr. Tsai
21 said, there were 30 metabolites have been identified for
22 the ah isomer. I don't know how many have been identified
23 for ac. There are a whole bunch of metabolites. They
24 only tested a handful. You know, we don't know which
25 metabolites are key. Perhaps there are multiple

1 metabolites, all active.

2 CHAIRPERSON MACK: David.

3 COMMITTEE MEMBER EASTMOND: Couple questions for
4 you.

5 One has to do with the data on slide number ten,
6 which is the ac isomer tested by i.p. injection. Now,
7 what you've done is a statistical comparison to between
8 the adenoma frequency and vehicle controls and essentially
9 the ac isomer treated animals.

10 Did you look at the statistical significance when
11 you combined the adenomas and carcinomas together? Do you
12 typically look at these individually or do you usually
13 combine them?

14 DR. TSAI: We will combine them if we are sure
15 that it's the simple summation. Because sometimes one
16 animal could have both adenoma and carcinoma. In the
17 paper, the original paper did not report a total number of
18 adenoma and carcinoma. And we don't have any supporting
19 evidence. We wouldn't do our own summation.

20 COMMITTEE MEMBER EASTMOND: I had another
21 question. This is on table number eleven, which I
22 consider one of the -- probably one of the more important
23 pieces of evidence. I just found -- I actually went back
24 to the original paper on this. I found something
25 surprising. The author did not consider the low dose to

1 be significant the increase and the high dose considered
2 to be something like borderline significance. Do you have
3 any reason why? Did you have any understanding as to why
4 there were sort of different call than you've seen a very
5 strong response versus what the authors themselves said.

6 DR. TSAI: If I remember correctly in the
7 original paper, they didn't report the statistical test.
8 We did our own comparison, and the result are based on the
9 P value we have either by the trend or by the comparison.

10 DR. SANDY: If I can interject just for
11 clarification. You're talking about Table 11 in the
12 document or slide 11?

13 COMMITTEE MEMBER EASTMOND: Slide 11 which
14 corresponds to Table 10, I believe. Slide 11.

15 DR. SANDY: For the dermal application or the
16 injection?

17 COMMITTEE MEMBER EASTMOND: It's the dermal
18 application.

19 DR. SANDY: Okay. Thank you.

20 DR. TSAI: In the original paper, they only
21 report tumor number without statistical testing. And we
22 extracted the number from the paper and compiled the table
23 and then conduct our own pairwise comparison. The
24 statistical significance are based on the P value equal to
25 .05. So clearly in the high dose group, the tumor

1 response is higher. Maybe that's why.

2 COMMITTEE MEMBER EASTMOND: Well, I mean, just as
3 you know, that study is plagued by very high control
4 mortality and controls and the treatment. So I mean, I
5 actually think you did as good as a job as can you do,
6 given the data you're working with. And I agree with the
7 conclusions. But I found it surprising when I looked
8 through the discussion the authors described that was
9 their description of the results, was even the one that
10 looks very, very strongly increased, they consider to be
11 borderline. And it may be in relationship to they were
12 comparing the ah isomer, which was much more potent or
13 something. But that was the description.

14 COMMITTEE MEMBER LANDOLPH: I have one question.
15 Why do you think that the EPA and IARC and NTP did not
16 bite on these compounds? Why they call them
17 non-classifiable today? Do you have a feeling?

18 DR. SANDY: If I can jump in. The only agency
19 that's looked at this is IARC has looked at the two
20 isomers. EPA and the others have not looked at them.

21 COMMITTEE MEMBER LANDOLPH: And then one more
22 question. This is such a huge amount of material. I read
23 through it a number of times. I didn't get a chance to go
24 onto web and look at your nice disc. Did you find a lot
25 of dose responsive data for the tumorigenicity of these two

1 isomers? I didn't see a lot of dose response data here.

2 DR. TSAI: We present all the evidence we could
3 find.

4 COMMITTEE MEMBER LANDOLPH: The answer is no.

5 DR. TSAI: I would say with the limited
6 bioassays, this one does show good dose response
7 relationship, even with their high mortality rate in the
8 low dose.

9 COMMITTEE MEMBER LANDOLPH: Not good dose
10 response data by my standards. There was not a lot of
11 doses tested. It's not your fault. It's just a fault of
12 data. Okay.

13 CHAIRPERSON MACK: So no more questions, then
14 let's go to Joe and give us your discussion.

15 COMMITTEE MEMBER LANDOLPH: I think staff did a
16 fantastic job putting all data together. It is a
17 plethora of data, a huge of amount of data.

18 Your Table 37 is a very nice table. There is
19 pluses all the way down the line for genotoxicity for
20 parent. There is genotoxicity for diol, other diol
21 metabolites. The parents are compounds. The isomers are
22 tumor initiators, which you would expect because they make
23 diol or diol epoxide metabolites and these are mutagenic
24 skin tumor initiators as well. This all seems to fit
25 fairly well together for me.

1 Table 38, which is very nice summary of the table
2 you made, also on page 60 shows very nicely that the ac
3 compound causes skin and liver tumors in the males and
4 that the aj compound causes skin tumors. And I think the
5 database is not as extensive as that
6 dibenz(a,h)anthracenes. Dibenz(a,h)anthracene was
7 discovered in 1930 and has about 50 assays and as you
8 point out haven't had this courtesy extended to them yet.

9 The history of these compounds very similar to
10 benzopyrene in many ways which was discovered in 1932.
11 And of course, we know so much about benzopyrene. You
12 have K region epoxides. You get diol apoxides. And these
13 compounds you also get bay region diol apoxides. You get
14 K region epoxides and sometimes phenolic metabolites which
15 are later metabolites again into K region epoxides.

16 So this data seems to fit together pretty well.
17 I think the QSAR is pretty convincing and the aromaticity
18 of these compounds drives everything. I'm pretty
19 convinced that they're metabolized to K region epoxides
20 and bay region biepoxydes very complex manner. And they
21 have combined with the DNA and the diol epoxide
22 metabolites do. They're quite genotoxic across a spectrum
23 of assays. While the database on carcinogenicity is not
24 quite as extensive as dibenz h, there are positive assays
25 there. So I think I'm convinced they're carcinogens. I

1 would expected them to be carcinogens. We are arguing
2 over a database that's not as robust as
3 dibenz(a,h)anthracenes, but may not be as robust as that.
4 I think there's enough here for me to pull the trigger on
5 it.

6 CHAIRPERSON MACK: Thank you, Joe.

7 Dr. Dairkee.

8 COMMITTEE MEMBER DAIRKEE: I agree with Dr.
9 Landolph. The staff has developed an incredibly thorough
10 and well-organized document, which I learned a lot from
11 it. It was very, very well done. And in fact, I was
12 inspired for some possible future research directions. So
13 I must congratulate the staff on putting that together.

14 So it's very clear that the body of evidence for
15 the carcinogenicity of the DBAs is quite longstanding and
16 it's vast. Yet, only one of these are the most data is
17 listed as a probable carcinogen by IARC. And as shown in
18 Table 4, the ah tumor development occurs in whichever
19 tissue it is injected into, demonstrating there is
20 system-wide susceptibility for these chemicals. And it's
21 not an association with the differentiation status of a
22 particular tissue or cell type. And so most likely due to
23 massive genetic damage, which means to me that the other
24 isomers would have similar effects as well, although fewer
25 injection sites have been tested.

1 So as someone who doesn't routinely work with
2 experimental animals, it was quite curious to me that the
3 researchers in this field of animal carcinogenicity will
4 examine tumorigenicity of a group of chemicals with
5 different solvents, and which I think results in a lot of
6 data variability, so which is why I feel like some people
7 who dissolve some studies where they dissolve -- where
8 they use benzene to dissolve the DBAC and aj found no
9 tumors. So the only group that it was very curious that
10 the only group that consistently found tumors was using
11 acetone as a solvent. So that's very interesting. And
12 they saw -- Lijinsky saw the tumor development with the
13 acetone solvent both subcu and dermal. Dermal or whatever
14 they do.

15 And in fact, there was another study where they
16 used TPA, which was dissolved in acetone as well. And
17 that's another study where they found that tumors
18 occurred. So it seems to me like acetone is very
19 synergistic with these isomers. And maybe that's an
20 explanation for the survival issue that we were talking
21 about in the Lijinsky paper of 1970 where they found that
22 the low dose group was significantly better than the
23 control group, survival-wise. So they were finding in
24 that paper that the high dose group had a similar survival
25 to the control group. And I'm just thinking that possibly

1 it's the acetone toxicity related to survival which is
2 alleviated by the high dose DBA, but not by the low dose.
3 The toxicity of acetone is alleviated by the high dose
4 DBA. But up to a certain point when the tumors develop,
5 then, of course, they develop more frequently in the ah --
6 I mean aj in the high dose aj and ac isomers.

7 Then the pre-treatment with the -- in conjunction
8 with other carcinogens which reduces the carcinogenic
9 effects was very difficult to understand mechanistically
10 why that would happen. It's really at this point it
11 doesn't make any sense.

12 For in the in vitro genotoxicity data, I felt
13 that the DBA concentrations used were very high. They
14 were as high as one milligram or .2 millimolar. And I'm
15 not sure if such levels of exposure occur environmentally.
16 But even lower levels were shown to cause mutation
17 induction. So it does happen. Genotoxicity does happen
18 at lower levels in some of the studies. It's very clear.

19 And similarly in the cell transformation studies,
20 I felt that -- and the tracheal transplant studies for the
21 in vivo morphological changes, I felt they had used fairly
22 high concentrations, around one mg per ml to achieve the
23 positive results.

24 Overall and in terms of metabolism, both the
25 isomers are metabolized and the metabolites are genotoxic.

1 The chemicals have a fairly long half life. So it's bad
2 news.

3 In terms of comparison with PAHs, it was very
4 helpful to see the similarities and that they are quite
5 striking. The QSAR modeling is also predictive of
6 carcinogenicity. And together with all the other hard end
7 points shown experimentally, it's fairly convincing that
8 the assays are common to all three isomers, show similar
9 data. And just because the AH isomer has been studied
10 more extensively, there are more data points available.
11 Absorption assays were -- not data was not very clear on
12 the two other isomers.

13 DR. TSAI: There is no data.

14 COMMITTEE MEMBER DAIRKEE: There is no data. The
15 chemical hangs around for so long and the chemicals, both
16 of them and ah, they cause so many perturbations, it is
17 indeed a cause for concern. And based on the structure of
18 ac and aj, there is really no reason to believe that their
19 absorption and distribution would be any different than
20 ah. In fact, the solubility profile suggest they might
21 even distribute more extensively at lower concentrations
22 because they seem to be more soluble in the ac and aj.

23 Anyways, I feel that because they're present
24 everywhere and there is a great likelihood of
25 over-exposure, even though the epidemiological data is not

1 available for any of the isomers, there is enough hard
2 data from all the assays that these are toxic chemicals,
3 both of them.

4 CHAIRPERSON MACK: Is there any comment from the
5 Committee?

6 Joe.

7 COMMITTEE MEMBER LANDOLPH: The studies in which
8 they put these in benzene are likely older studies.
9 Nobody does that any more. Because benzene itself causes
10 acute myelogenous leukemia and other types. That is a
11 red-herring. It's most likely due to competitive
12 substrate effect where benzene is being metabolized
13 instead of the other compound. And the acetone itself is
14 not toxic. It's a common solvent. It's not toxic at all.
15 In fact, that's why it's used. It's not having any effect
16 in these experiments.

17 COMMITTEE MEMBER DAIRKEE: I just wanted to
18 comment that in the Table 9, there is a decline in the
19 survival even in the control with acetone.

20 COMMITTEE MEMBER EASTMOND: But no one knows why.

21 COMMITTEE MEMBER DAIRKEE: Yeah. I agree.

22 CHAIRPERSON MACK: If there is no more -- David.

23 COMMITTEE MEMBER EASTMOND: Throwing my two
24 cents.

25 Personally, I find the evidence -- the cancer

1 evidence by itself is pretty marginal but probably
2 adequate. But when you put the cancer bioassay data
3 together with all the other supporting information,
4 genotoxicity, structure activity relationships to the
5 initiation promotion, I find the evidence becomes
6 certainly sufficient for me to list as a group, both of
7 them. This is older data. It's not very good data.
8 Prone to problems, survival problems. But in spite of
9 that, there's still enough I think of a picture here kind
10 of that Joe had mentioned I would certainly think that it
11 should be listed.

12 CHAIRPERSON MACK: Yes. Dr. Bush.

13 COMMITTEE MEMBER BUSH: If David gets his two
14 cents, I will throw in my two cents as well.

15 I, too, agree that the data is compelling with
16 respect to the bioassays -- animal bioassays and
17 supporting data from the genotoxic studies. What
18 surprised me is that I think I remember a number of
19 something like you sifted through 450 different citations
20 in terms of your searches or something like that. You
21 found 450 papers. And it astounds me that there is no
22 human data out there in the epidemiological studies of any
23 sort. Even if we do have a common problem in the
24 population of a saturation of this class of chemicals, I
25 think it's worth actually investigating what is the steady

1 state level that was present in human tissues.

2 CHAIRPERSON MACK: I think it's fair to say there
3 is no human data. It's just the human data is based on
4 multiplicity of compounds. I mean, smokers gets this
5 stuff. People who work in coke ovens get this stuff. If
6 you were to eat soot, you would get this stuff. And there
7 aren't a lot of soot eaters to make a cohort out of. It's
8 just tough.

9 COMMITTEE MEMBER BUSH: Right. But I think that
10 begs the question: What is the presence in the general
11 population of these chemicals?

12 CHAIRPERSON MACK: I think that is an interesting
13 question. You did the best you could with the available
14 data. We really don't have quantitative information on
15 how much of this stuff is in the things we eat every day
16 and the things we're exposed to. But that's not the job
17 of this Committee. But it would interesting to know
18 exactly how much of it is around.

19 DR. TSAI: Can I clarify? When we say there is
20 no human data, we mean there is no human cancer
21 epidemiological data for the pure DBAs. There are human
22 biomonitoring data from the blood, from the placenta, also
23 from the food, marijuana, emission, cigarette smoke
24 emissions. For example, in the paper or studies we
25 reviewed, they report the DBA's concentration in food in

1 different Italian restaurant and Indian food. So we do
2 have number from the -- for the current or within 20 years
3 of DBA concentrations in different environmental mediums.
4 We just don't have the human epi data with the pure DBAs.

5 DR. SANDY: I'll add that people are exposed not
6 just to dibenzanthracenes, but other PAHs are all formed
7 in the same processes. And that's another difficulty in
8 constructing an epidemiological study to look only at the
9 DBAs when they occur with maybe five or six other classes
10 of PAHs.

11 CHAIRPERSON MACK: You can set up the cohort of
12 smokers and that will probably be as close as can you get.
13 If there is no more comments from the Committee, we didn't
14 get any cards. If there is anybody in the audience who
15 would like to make any comments -- Gary, anybody else,
16 please say so now or forever hold your peace. Okay.

17 That being the case, we're ready to think about a
18 vote. And the first way we'll do it as by addressing the
19 issue of the class as a class of carcinogens. So I will
20 now read the official wording for the voting protocol.

21 Have dibenzanthracenes been clearly shown through
22 scientifically valid testing according to generally
23 accepted principles to cause cancer?

24 Everybody would agree with that statement raise
25 your hand, please.

1 (Hands raised)

2 CHAIRPERSON MACK: All those voting no, please
3 raise your hand.

4 And those abstaining, please raise their hand.

5 (Hand raised.)

6 COMMITTEE MEMBER LANDOLPH: For that question,
7 I'd like you to ask a more specific question.

8 CHAIRPERSON MACK: So you're abstaining on this
9 question?

10 COMMITTEE MEMBER LANDOLPH: On the question of
11 the class. We don't have any data.

12 CHAIRPERSON MACK: We have to record the
13 responses. So as I see it we have one, two -- six yeses
14 and zero nos and one abstention; correct?

15 All right. Then we go to -- so that gives us a
16 positive vote from the Committee; is that correct?

17 CHIEF COUNSEL MONAHAN-CUMMINGS: That's correct
18 for the class, the group. If Dr. Landolph --

19 CHAIRPERSON MACK: We can still go onto vote --

20 CHIEF COUNSEL MONAHAN-CUMMINGS: You could list
21 them separately as well. But they would be essentially
22 subsumed. They would be double listed. But the one is
23 already listed, so it wouldn't make a huge difference.

24 CHAIRPERSON MACK: So this is to some extent an
25 academic procedure. But we'll do it anyway.

1 COMMITTEE MEMBER LANDOLPH: I don't think it is.
2 I think it's a data-driven procedure. I'm happy to vote
3 on two separate --

4 CHAIRPERSON MACK: Legislatively academic.
5 Legally academic.

6 COMMITTEE MEMBER LANDOLPH: I just think for the
7 record, I don't think --

8 CHAIRPERSON MACK: Now let's ask the question:
9 Has dibenz(ac)anthracene been clearly shown
10 through scientifically valid testing according to
11 generally accepted principles to cause cancer?

12 All those in favor of that proposal, raise their
13 hand.

14 (Hands raised)

15 CHAIRPERSON MACK: Note so that's a unanimous
16 judgment.

17 Having done that, let's go to the other one.

18 Has dibenz(aj)anthracene been clearly shown
19 through scientifically valid testing and according to
20 generally accepted principle to cause cancer?

21 All those accepting that proposition, please
22 raise their hand.

23 (Hands raised)

24 CHAIRPERSON MACK: That's unanimous as well.

25 Okay. So we like the listing both the individual

1 compounds and the class.

2 COMMITTEE MEMBER EASTMOND: As a clarification,
3 this may come to legal staff. Are there other compounds
4 that you would consider members of the class that aren't,
5 in addition to these three?

6 CHAIRPERSON MACK: Well, in theory, there is an
7 hj, isn't there?

8 COMMITTEE MEMBER EASTMOND: You could get some --
9 that's what I wondered about, is where there is no data at
10 all.

11 DR. SANDY: There are no other isomers that are
12 dibenzanthracenes.

13 CHAIRPERSON MACK: Does anybody know why there
14 isn't hj?

15 DR. SANDY: We have ac, aj, and ah. And if you
16 look at the structure of --

17 CHAIRPERSON MACK: You can't have the two on the
18 bottom.

19 DR. WONG: Put slide two up.

20 COMMITTEE MEMBER EASTMOND: Technically, you
21 could have a BI, but that would be called a different
22 name.

23 DR. TSAI: Based on the IUPAC, International
24 Union of Pure -- that in charge of the naming scheme, if
25 you have different -- you could technically have

1 different. But if you flip it over, it's the same thing.
2 So these three are the possible combination.

3 For example, if you have five benzene rings in a
4 linear formation, you don't call it dibenzanthracene.
5 Because the IPAC, they have a list of naming scheme based
6 on the priority on their list. So these are the only
7 three isomers possible for the dibenzanthracenes.

8 CHAIRPERSON MACK: Thank you. Let's go to
9 nitrosomethyl.

10 COMMITTEE MEMBER EASTMOND: We're really dealing
11 with unsubstituted dibenzanthracenes, because there are
12 other members of the class which will have --

13 CHAIRPERSON MACK: Correct. You nailed them down
14 both ways. Nobody can sneak out.

15 Okay, Martha.

16 DR. SANDY: Some introductory remarks for the
17 next chemical.

18 Back in the same meeting in 2011, the CIC was
19 asked to rank the group of chemicals called the
20 N-Nitrosomethyl-n-Alkylamines also known as
21 N-methyl-n-nitroso-1-alkylamines. And we brought several
22 individual alkylamines within that group to the Committee.
23 And the Committee ranked them as high priority for
24 selection and HID development.

25 In 2013, OEHHA selected the group and the

1 individual isomers for hazard identification preparation,
2 and we announced that we were calling for relevant
3 information from the public on those and we did not
4 receive anything.

5 I'll turn it over to Dr. Wong, and she will
6 introduce the staff who will be making the presentation.

7 DR. WONG: I would like to introduce the staff
8 presenting in the order of presentation, Dr. Karin Ricker
9 and Dr. Kate Li. They will present the evidence of the
10 carcinogenicity of N-Nitrosomethyl-n-Alkylamines.

11 (Thereupon an overhead presentation was
12 presented as follows.)

13 DR. RICKER: Thank you, Dr. Wong.

14 We are presenting evidence on the carcinogenicity
15 of the chemical group, N-nitrosomethyl-alkylamines. We
16 will refer to this group as NMAs.

17 The information presented here was developed to
18 assist the Cancer Identification Committee in its
19 deliberation on whether or not NMAs as a group, or
20 individual chemicals within the group, should be added to
21 the Proposition 65 list as causing cancer.

22

23 --o0o--

24 DR. RICKER: We will start this presentation with
25 background information on chemistry, use & occurrence of

1 NMAs.

2 And follow with evidence on carcinogenicity from
3 animal studies, genotoxicity, pharmacokinetics, and
4 structure activity relationships.

5 We will also present information on possible
6 mechanisms of action, review by other agencies, and
7 conclude the presentation with a data summary.

8 In the interest of time, the data presented today
9 are very condensed. A much more detailed summary of the
10 findings is contained in the HID that was presented to the
11 Committee.

12

13 --o0o--

14 DR. RICKER: The basic core structure of an NMA
15 is shown in this slide here in the upper left corner.

16 NMAs contain a nitroso group, shown here in the red
17 circle. There is a second nitrogen to which a methyl and
18 a linear alkyl group are attached. The smallest attached
19 alkyl group is a methyl group. The carbon atoms closest
20 to the nitrogen are referred to as alpha carbons.

21 Individual structures of NMAs reviewed in the HID
22 are presented in Table 1 of the actual HID document and I
23 will show you a list in a moment.

24 NMAs have been detected in personal care products
25 such as shampoos or conditioners; and household cleaning

1 agents such as liquid dishwashing detergent.

2 NMA's are not intentionally added to these
3 products but can form from fatty amine oxide precursors
4 which are added as emulsifiers, detergents, or thickeners;
5 they can also form from preservatives like bronopol and
6 bronidox.

7 --o0o--

8 DR. RICKER: Here is a list of NMA's for which we
9 found data and which were reviewed in the HID. As you can
10 see, the first two members in this group are already on
11 the Prop. 65 list for causing cancer. The other NMA's are
12 not on the Prop. 65 list and are brought to the Committee
13 today for their evaluation.

14 Because the names of the individual NMA's are very
15 lengthy, we will use an abbreviation. For example, we
16 will refer to N-nitrosomethyl-n-butylamine as NMA C4 based
17 on the individual NMA's particular alkyl chain length.
18 These individual abbreviations are shown here in the right
19 column on this slide.

20 --o0o--

21 DR. RICKER: Here is a brief outline of what we
22 will present today:

23 No human epidemiology studies were identified but
24 we reviewed over 90 animal studies. The results of these
25 animal studies will be presented in the next few slides.

1 You will hear additional evidence from
2 genotoxicity studies, pharmacokinetics, and metabolism
3 studies, as well as structure activity comparisons.

4 I am now turning the presentation of the animal
5 study data over to my colleague, Dr. Kate Li.

6 --o0o--

7 DR. LI: Animal carcinicity studies for NMAs C3
8 through C14 were identified in four animal species.

9 Here are the summary table of these studies
10 listed by number of strains, routes of exposure, and
11 number of positive studies

12 For example, in rats, assays were conducted in
13 five strains, namely, Fisher, SD, Wistar, BD rats and
14 Japanese strain Donyu rats. Animals were exposed to NMAs
15 by seven routes. These routes include subcutaneous, dw,
16 gavage, ip, iv, intramuscular, and transplacental. The
17 details are all in the HID document.

18 There is one guinea pig study by the gavage
19 route. Due to time constrains, I will focus on the rat,
20 hamster, and mouse studies in this presentation.

21 --o0o--

22 DR. LI: A range of dose levels, exposure
23 durations, and study durations has been investigated in
24 bioassays.
25

1 In the next 3 slides, I will show you the
2 summarized findings in these three species.

3 --o0o--

4 DR. LI: In rats, carcinicity studies were
5 reported for all eleven NMAs. This table shows positive
6 studies in different tumor sites from left to right by
7 individual NMA from C3 through C14.

8 Each positive study is defined as either
9 significantly increases in tumor incidences comparing to
10 controls or the occurrence of rare tumors.

11 For NMA C3 or nitrosomethyl propylamine, nasal
12 cavity, esophageus, and liver tumors were reported in both
13 males and females. Tongue and stomach were observed in
14 females only.

15 The MF here as we see in C5 indicates that the
16 results were reported as male and female combined.

17 I won't go through each chemical here, and they
18 are detailed in the document.

19 Overall, each NMA induced tumors in multiple
20 sites.

21 The blank boxes are those we don't have positive
22 data or not being tested.

23 --o0o--

24 DR. LI: In hamsters, carcinogenicity studies
25 data were available for seven chemicals: Namely, NMA C3

1 to C8, and C12.

2 Here, LTB is the short name for larynx/thrachea/
3 branchio tumors.

4 As you can see, each NMA induced tumors in
5 multiple sites in hamsters.

6 --o0o--

7 DR. LI: In mice, carcinogenicity studies were
8 reported in NMAs C3 and C5 in multiple mouse strains.

9 Studies here in C3 exposure induce nasal cavity,
10 LTB, lung and liver tumors in females.

11 --o0o--

12 DR. LI: As I just show you, each chemical
13 induced tumors in multiple sites. For NMAs C3 through C8,
14 and C12, carcinogenicity were investigated in more than
15 one species.

16 This slide lists the rare tumors in each species.
17 For the tumors displayed, many are rare tumors by sites.
18 All types of nasal tumors are rare in rats, hamsters, and
19 mice.

20 I will also point out here that some are rare
21 tumor types. For example, in rats, cholangiocarcinomas of
22 liver are rare. In hamsters, hepatocellular and
23 cholangiocellular carcinomas, hemangiomas and
24 hemangiosarcomas of liver are rare tumor.

25 --o0o--

1 DR. LI: This is a grand summary table to show
2 you the major tumor findings by sites in rats, hamsters,
3 mice. When exposed to the eleven individual NMAs we are
4 presenting here and the two Prop. 65 carcinogens, C1 and
5 C2 on the top rows of the table.

6 Most target tumor sites are rare. Here, they are
7 highlighted by the yellow background. Esophagus tumors in
8 hamsters were reported as infrequent based on the author's
9 description, so we using stripe patterns.

10 And for other tumors, we used the gray
11 background.

12 Increases in rare tumor incidence are checked as
13 X, when increases of tumor incidence is statistically
14 significant, use X*.

15 For studies that doesn't have concurrent controls
16 but with tumor incidence more than 90%, we use X1.

17 NT indicate for not tested. Blank boxes are the
18 ones that there is no positive control data, either not
19 significant or negative data.

20 The overall take-home message is that many common
21 tumor sites were observed across species and chemicals.

22 Now I will turn to Dr. Ricker to present you the
23 other relevant data.

24 --o0o--

25 DR. RICKER: Thank you, Kate.

1 I would like to turn now to genotoxicity,
2 followed by metabolism studies and structure activity
3 comparisons.

4 Briefly, evidence for genotoxicity stems from
5 bacterial and mammalian mutagenicity tests as well as DNA
6 adduct studies. All NMAs tested are mutagenic in
7 bacterial assays; this includes NMA C1-C4, and NMA C6-C12.
8 No data were found for NMA C5 and C 14.

9 A subset of NMAs were tested in mammalian
10 mutagenicity tests. NMA C1, 2, 3 were positive in these
11 tests.

12 All NMAs tested also form DNA adducts as shown in
13 in vivo studies with rats.

14 --o0o--

15 DR. RICKER: Another piece of evidence comes from
16 the findings of ADME studies, as well as from studies
17 conducted with tissue preparations or microsomal fractions
18 from humans, rats, mice, hamster, and guinea pigs.

19 --o0o--

20 DR. RICKER: Here are some of the key findings:

21 In rats, ADME studies show that NMAs are rapidly
22 absorbed, distributed and excreted within 24 hours
23 following oral dosing or ip injections.

24 In humans, NMAs are absorbed to a limited extent
25 in in vitro experiments using human skin.

1 NMAs require metabolic activation by Cyp P 450
2 enzymes, and a key step in this process is the
3 hydroxylation of the alpha carbons. P 450 oxidation leads
4 to the formation of various oxidation products and
5 nitrite. We will see this in more detail on the next
6 slides.

7 Results from multiple metabolism studies also
8 show that metabolism is similar across species and similar
9 across all NMAs investigated.

10 Another important finding is the fact that
11 several common metabolites formed are carcinogenic and
12 genotoxic.

13 --o0o--

14 DR. RICKER: Here we have listed the common
15 carcinogenic and genotoxic metabolites that have been
16 observed across species and across individual NMAs.

17 Two compounds are known carcinogens, namely
18 formaldehyde and N nitrososarcosine. The other three
19 compounds induce tumors in animals.

20 --o0o--

21 DR. RICKER: This slide shows in more detail the
22 routes of proposed NMA metabolism with NMA C4 as an
23 example. Let's focus first on the middle and right side
24 of the slide. NMA C4 is initially hydroxylated by P450
25 enzymes at the alpha carbon of either methyl or alkyl

1 group. This step leads to the formation of a hydroxy
2 methyl alkyl nitrosamine followed by the formation of a
3 mono-N-alkyl nitrosamine and aldehydes, in this case
4 butyraldehyde and formaldehyde, a known carcinogen, shown
5 here in the red circle. The mono alkyl nitrosamines
6 spontaneously decompose to form diazonium ions which then
7 can alkylate DNA.

8 Now we move to the left side of the slide. P 450
9 enzymes can also oxidize NMAs at the non-alpha carbon of
10 the alkyl chain. This leads to a variety of hydroxylated
11 products, including 4-HO nitrosomethylbutylamine, which is
12 mutagenic and carcinogenic in animals.

13 The other mutagenic and carcinogenic metabolites
14 are formed further downstream and include
15 N-nitrosomethyl-3 carboxypropylamine. MOP, and the known
16 carcinogen N nitrososarcosine circled in red here.

17 --o0o--

18 DR. RICKER: We are now turning to structure
19 activity comparisons. This overview slide shows the
20 structures of chemicals in the first column and their
21 cancer classification in the other two columns. In the
22 first row, we have the structures of NMAs that you are
23 considering today, the NMAs.

24 In the next row we have the structures of NMAs
25 that are already listed, NMA C1 and C2.

1 The last three rows show individual chemicals of
2 a group that is structurally very similar to NMAs. This
3 group is referred to as N-nitrosodialkylamines. The
4 selected members are: N nitroso di ethyl, di propyl, and
5 di-butyl amine.

6 All chemicals share the nitroso group as well as
7 other structural similarities highlighted here in red;
8 they share linear alkyl groups, which can be symmetric or
9 non-symmetric.

10 As pointed out here, all these chemicals are
11 known carcinogens on the Prop. 65 list, and they have been
12 classified as carcinogens by several authoritative bodies.

13 --o0o--

14 DR. RICKER: This slide summarizes some of the
15 results of the structure activity comparison in rats.

16 The top row across lists tumor sites. This first
17 column on the left here lists individual NMA chemicals and
18 the comparator chemicals. The letter X designates the
19 tumor sites observed with these individual chemicals, and
20 the letter R denotes rare tumors.

21 Briefly, we see a pattern of multiple tumor sites
22 for each chemical, many common tumor sites shared amongst
23 structurally similar chemicals, and many rare tumor sites.

24 --o0o--

25 DR. RICKER: This slide is very similar to the

1 last slide and shows tumor sites in hamster. Again, we
2 see a pattern of multiple tumor sites for each chemical,
3 many common tumor sites shared amongst structurally
4 similar chemicals, and we have many rare tumor sites.

5
6 --o0o--

7 DR. RICKER: A review of available data suggests
8 that NMAs act via genotoxic mechanisms.

9 This is supported by the fact that NMAs are
10 mutagenic in bacterial cells, and several NMAs are
11 mutagenic in mammalian cells. Furthermore, CYP 450
12 activation of NMAs is required which in turn can result in
13 the formation of reactive compounds like alkyl diazonium
14 ions with subsequent possible alkylation of DNA.

15 Lastly, we know from metabolism studies that
16 carcinogenic and genotoxic metabolites are formed.

17 --o0o--

18 DR. RICKER: Here we list briefly the review of
19 NMAs by other agencies. The only NMAs that have been
20 classified by other agencies are NMA C1 and C2. All other
21 NMAs have not been classified.

22 --o0o--

23 DR. RICKER: And we are concluding our
24 presentation with a couple summary slides here. We had a
25 positive evidence from over 90 animal studies. Most

1 studies have small group sizes with a range of dose
2 levels, exposure, and study durations. Several studies
3 lack concurrent controls. But NMAs have been tested in
4 different laboratories with similar tumor findings
5 reported across studies. Tumors were observed with all
6 NMAs tested. Positive tumor findings were found with
7 multiple exposure routes. We had significant increases in
8 tumors in multiple species, strains, and multiple sites.
9 Many rare tumor sites and tumor types were observed, and
10 common tumor sizes across species and NMAs.

11 --o0o--

12 DR. RICKER: Furthermore, we had positive
13 genotoxicity studies, the formation of DNA adducts in
14 vivo, and similar metabolism across chemicals and species,
15 including the formation of carcinogenic and genotoxic
16 metabolites. NMAs share common tumor sites with
17 structurally similar carcinogens.

18 And with that, I conclude our presentation.
19 Thank you.

20 CHAIRPERSON MACK: Thank you.

21 Does anybody on the Committee have any questions
22 for the staff? Yes, Dr. Bush.

23 COMMITTEE MEMBER BUSH: So in looking at the data
24 and particularly Table 2, which is a big table of all the
25 animal bioassays, C5 was particularly prominent in that in

1 all of these studies and all of these bioassays. In fact,
2 nearly half the table is data from C5. And I sifted
3 through the literature, and I couldn't actually find any
4 information of why that's the case. Do you have any
5 insight there?

6 DR. RICKER: I can take a stab at this.

7 C5 is used as a positive control in many
8 bioassays just because it causes distinct esophagus
9 tumors. That's why we have a multitude of C5 and a
10 hodgepodge of data on some of the other chemicals.

11 COMMITTEE MEMBER BUSH: And I was leading with
12 that. So there was nothing in any of other studies that
13 would suggest or indicate that there was any of these
14 other NMAs that may be being used as a positive control in
15 any way?

16 DR. RICKER: We didn't find any.

17 DR. LI: There are multiple studies for C3, C4,
18 and C12. But we don't find -- C5 in many reports, it's
19 more like the title of the report study for some other
20 chemicals. And then within, the report we have a positive
21 control, which is C5.

22 COMMITTEE MEMBER BUSH: Okay. Thank you.

23 CHAIRPERSON MACK: Shanaz.

24 COMMITTEE MEMBER DAIRKEE: Aren't there -- any is
25 there any information about the levels present in personal

1 care products and how they compare with what's given to
2 animals experimental studies?

3 DR. RICKER: I can tell you the levels that we
4 found in literature. The detected range is between eight
5 and 873 parts per billion. So it's fairly low.

6 COMMITTEE MEMBER DAIRKEE: And that's in --

7 DR. RICKER: In personal care products.
8 Shampoos, conditioners. That's the range that we found
9 was reported.

10 COMMITTEE MEMBER DAIRKEE: But it's a consistent
11 exposure throughout life if you use these things.

12 DR. RICKER: Well, the findings -- not all
13 products contain these NMAs. And in fact, a lot of them
14 don't have it. So the literature we reviewed just showed
15 where it was found and that includes North American and
16 European products.

17 COMMITTEE MEMBER DAIRKEE: Thank you.

18 CHAIRPERSON MACK: Dr. Zhang.

19 COMMITTEE MEMBER ZHANG: Is there any evidence to
20 show dose response? Seems to me I haven't seen any single
21 table that's dose response or any study has tested on
22 different dose. But I thought I heard when you present
23 you were saying some study has multiple doses. But from
24 what I read is multiple doses for different compound. But
25 same compound, did we see dose response. Let's say

1 genotoxicity in vitro studies, did you see any dose
2 response? I haven't see anything there listed on the
3 table.

4 DR. LI: I can answer the in vivo bioassays
5 portion. There are some studies they have test multiple
6 doses. Some of them do have the dose response at certain
7 tumor sites, which you will be able to see in when we
8 present in Table 25 through Table 31 for -- I can point
9 you like page 57, which is Table 31, C12 chemical in male
10 hamsters has multiple doses. We have the first dose it's
11 our controls. Then we have three stars, means it's
12 significant in trend test. We have the other dose for
13 urinary tract tumors, we have other low, mid, high dose
14 which we can see just the dose response with statistically
15 significant increases of dose.

16 COMMITTEE MEMBER ZHANG: Okay. Sorry I missed
17 it. It's the same thing when you have stars in the
18 zero --

19 DR. LI: Zero is for trend test.

20 And also if you look at the exposure column of
21 the table, we have the table in the same format for each
22 NMA member. So in exposure column, you will be able to
23 tell in this case low, mid, and high. And in many other
24 studies, obvious, they only study one dose. So we don't
25 have that. We don't have that.

1 CHAIRPERSON MACK: Carol was going make a
2 comment.

3 CHIEF COUNSEL MONAHAN-CUMMINGS: I just wanted to
4 address the questions that you've had, Dr. Dairkee,
5 concerning current exposures for humans from these
6 different chemicals. And I just want to clarify just
7 mostly for our record that this Committee is kind of
8 unique in that it only looks at hazard identification
9 piece of the process. And so concern about the actual
10 current levels of exposure to humans isn't really relevant
11 to the decision-making process here. I know it's of
12 interest certainly in terms of concern about current
13 exposures, obviously. But we don't generally present a
14 lot of information on that.

15 We do say this is how people might be exposed,
16 but we don't do a lot of research on that, because it
17 isn't relevant to the decision that you all need to make
18 about whether or not it, in fact, causes cancer.

19 There is one piece of your criteria that talks
20 about the dose that's given to an animal, for example, and
21 whether that's somewhat relevant to the comparison to the
22 human reactions to that dose or something. Once again,
23 I'm not a scientist. But that's I think a different
24 question and Dr. Mack can speak to that. But I just
25 wanted to clarify that's why our staff don't have specific

1 information about current exposure levels necessarily
2 because that's not the focus of the information for --

3 CHAIRPERSON MACK: My understanding is I don't
4 think it's relevant to the issues that we have to discuss
5 because the more commonly the exposure, the more likely it
6 would be that there would be better studies or more
7 studies of a given. So establishing that something is
8 very common in the absence of a lot of high quality
9 studies is a pertinent scientific observations.

10 CHIEF COUNSEL MONAHAN-CUMMINGS: Sure. I
11 understand that.

12 CHAIRPERSON MACK: David.

13 COMMITTEE MEMBER EASTMOND: This is kind of a
14 general question. But so when we're talking about a
15 group, right now you have these are N alkyl isomers that
16 go between C2 and C14. If we had a compound that was a
17 C15, would it fall within this group or not? Because I
18 mean, that's -- as far as the listing, that becomes
19 important. You know, is the listing restricted to the
20 chemicals we actually have seen data for or does this even
21 go beyond that?

22 DR. SANDY: So if you are asked and vote on
23 listing this group of chemicals, NMAs, I'll use the
24 shorthand term as a group, it would then cover any of
25 those NMAs, including NMA C13, which we have no data for

1 or 15.

2 COMMITTEE MEMBER EASTMOND: But it could keep
3 going on.

4 CHAIRPERSON MACK: Or 25.

5 DR. SANDY: Yes.

6 COMMITTEE MEMBER LANDOLPH: That's exactly the
7 point I was trying to address last time. I think my
8 predilection would be they would be very precise in those
9 compounds that we have data for. I don't want to get
10 trapped into something that we don't have data on.

11 CHAIRPERSON MACK: Are there any other comments
12 or questions for the staff?

13 COMMITTEE MEMBER ZHANG: So seems like you answer
14 one of my question. So C13 actually is a compound C13,
15 just we don't have data on C13.

16 DR. SANDY: Theoretically, there is a compound
17 called C13 and a compound called C20 and C30. We don't
18 have data on them.

19 I would add as we brought these to you for
20 prioritization -- chemical groups -- and you asked us to
21 do that, you might also think about the process 20 years
22 ago or more when people, not just the CIC, but other
23 groups were evaluating PCBs and dioxins and other groups,
24 did they require data on 209 congeners. It's all up to
25 you and your decision, but I just put that out there as

1 well.

2 CHAIRPERSON MACK: David.

3 COMMITTEE MEMBER EASTMOND: I have this question.
4 I'll see you what you think. You've been thinking about
5 this a lot longer than I have.

6 One of the strongest evidence for this is these
7 all -- all of this class can be metabolized through this
8 alpha carbon to the same reactive intermediate. And so
9 therefore, you would expect real commonality of tumor
10 sites, but you're showing a lot of them are very similar.
11 But there are differences.

12 Any speculation? I mean, I have some ideas.
13 Have you thought about why you would see different tumor
14 types from this class?

15 DR. RICKER: I'm taking a wild guess. I don't
16 know the answer. But you know, we had so many different
17 animal species. And within rats, we had several types of
18 varieties and also the mode of administration with
19 different routes. I'm not sure there is some -- in some
20 cases, the pair matches up. I think we had some DNA
21 alkylation matches up with tumors and these kinds of
22 things. But it doesn't always sort of pattern isn't as
23 clear.

24 CHAIRPERSON MACK: I'm not a chemist or
25 toxicologist, so I'll turn it back on you. Wouldn't you

1 expect to see some difference in the distribution of a
2 compound that has a completely different shape and a
3 completely different size?

4 COMMITTEE MEMBER EASTMOND: Yes. The other
5 aspect is there are other types of reactive metabolites
6 formed. So the one common goes all the way through it,
7 but there are others as well. You can expect differences.
8 But I just thought -- you've been thinking about this a
9 lot longer than I have. That was the thing that jumped
10 out at me. It's not surprising, but it was something I
11 was going to get their perspective on.

12 CHAIRPERSON MACK: But our job is not to decide
13 what kind of cancer. Our job is to decide whether or not
14 it's carcinogenic; right?

15 COMMITTEE MEMBER EASTMOND: Yeah.

16 CHAIRPERSON MACK: So if there are no more
17 questions for the staff, let's go to Dr. Bush.

18 COMMITTEE MEMBER BUSH: All right. Well, again,
19 I want to start off by thanking the team for putting
20 together this data. The summary tables were very useful
21 and I think indicate some compelling evidence.

22 And when I look at the evidence of the
23 carcinogenicity in total, and I'm going to call them NMAs
24 as well, as we've all seen is there is this remarkable
25 commonality for this class.

1 I'll start off with the bioassays since there is
2 no human carcinogenicity data available. Having multiple
3 tumors from particularly and epithelial origins and
4 showing that really a progression from benign to malignant
5 kinds of tumor types have that pre-neoplastic precursor
6 certainly are evidence of that common root, along with the
7 common tumor sites that we're actually seeing.

8 The presence of these rare tumors and the
9 possibility I would speculate that it may be due to the
10 different profiles of the P450s in different cell types,
11 but that's only speculation. That's not our job here.

12 When I combined the compelling animal data with
13 the genotoxicity, the story gets more convincing for me.
14 We are making a leap here, I guess to some extent, that
15 most of the animal bioassays were done in a gavage kind of
16 model. And you know, extrapolating that question to
17 gavage in rodents to potentially topical usage in humans,
18 and I realize that's not the mandate here, but it does
19 from a scientific perspective beg an interesting question
20 here. Can we actually make any connections there.

21 But basing my decision on primarily the bioassay
22 data in animals, it certainly seems like there is strong
23 evidence of carcinogenicity there. And then again
24 combining with the genotoxicity data, I think it's well
25 demonstrated that there is mutagenicity in a bunch of

1 different prokaryotic models. We're seeing both in vivo
2 adducts, particularly methyl adducts on DNA and RNA. That
3 actually hints to some possible epigenetic effects as
4 well.

5 The shared structural similarities with other of
6 the alkylated amines that you introduced is part of that
7 remarkable commonality they have. The toxic, potentially
8 carcinogenic metabolites, specifically the
9 nitrososarcosine and formaldehyde I think again point to
10 this common metabolism that is going on with this class of
11 compounds.

12 In going through the data, I was optimistic there
13 might be more on the dermal absorption, trying to make
14 this leap with topical usage in humans. But there is only
15 a few studies out there with dermal absorption and
16 trusting they actually mix it with shampoo as a vehicle.
17 But the metabolites and the absorption do pose a common
18 root that you indicate before. So when I weight the
19 evidence, I find that there is convincing for me at least
20 that this class certainly has strong carcinogenic
21 properties.

22 CHAIRPERSON MACK: Dr. Zhang.

23 COMMITTEE MEMBER ZHANG: I think Dr. Bush has
24 very good job to summarize. And also the OEHHA staff did
25 a wonderful job pulling the report together.

1 And also I'm very glad to hear Dr. Bush mention
2 the epigenetic effect. But if your really see the
3 metabolism of the NMAs and they actually do, easy ways to
4 have DNA in the NMA, so that's actually really correct the
5 basis epigenetic effect.

6 So I think for this NMAs, not only has strong
7 carcinogenicity data studies in animal models, but also
8 the biological plausibility like, you know, induce
9 genotoxicity, mutagenicity, and also I think another extra
10 information convince me is carcinogenic metabolites during
11 the metabolism. So considering all three together, I
12 think I'm pretty convinced.

13 CHAIRPERSON MACK: Any more comments from anybody
14 with respect to what Dr. Bush and Dr. Zhang have said?

15 Yes.

16 COMMITTEE MEMBER DAIRKEE: I had a comment about
17 the human skin absorption paper. That was like a terrible
18 paper. So I guess I don't need to say any more.

19 CHAIRPERSON MACK: I guess we're ready to take a
20 vote. We have no cards, but would anybody from the
21 audience like to make any comments? Hearing no -- yes,
22 ma'am.

23 COMMITTEE MEMBER REYNOLDS: I just wondered if my
24 colleagues here could comment on the issue of their take
25 on the group versus the individual discussion we had

1 earlier. What your feeling -- you feel like the group is
2 convincing enough?

3 COMMITTEE MEMBER ZHANG: So far, NMAs if you look
4 at the history, C1 and C2 has been listed in 80s. And now
5 25 years after or 25 years or more, so we had like eleven
6 extra compounds in the group and looks still pretty
7 convincing.

8 And also I think maybe now for this chemical --
9 for the last chemical data the QSAR look at the structure,
10 if you look at the chemical structure similarities and
11 doing the comparison, I think as a group I'm actually
12 convinced because I don't think I needed to see every
13 single compound. Even though we don't see it, but what
14 you have you learned from the first two chemicals 25-plus
15 years ago and now the eleven extra chemicals and
16 consistently show carcinogenicity in the animals and
17 mechanistic data. So I feel I don't need to see more.

18 COMMITTEE MEMBER REYNOLDS: Thank you.

19 COMMITTEE MEMBER BUSH: I would agree. Again,
20 what struck me was the common sites, these common
21 progressions that we were seeing in the tumor sites and
22 tumor types. But also what we were seeing with the
23 metabolism, too. So for those reasons, I see the class as
24 being remarkably similar.

25 COMMITTEE MEMBER REYNOLDS: Thank you, both.

1 CHAIRPERSON MACK: I think if somebody does a
2 very large study either in humans or animals of C25
3 ten years from now, we can re-visit the issue. Okay. At
4 least that's my opinion.

5 So the question -- I'll now pose the question on
6 the group basis.

7 Perhaps, Joe, if you have a comment. You were
8 resisting last time.

9 COMMITTEE MEMBER LANDOLPH: I'm still going to do
10 the same thing this time. I'm not real wild about making
11 decisions based on no data. I do agree that there is a
12 consistency from what we've seen so far. But as you get
13 these longer hydrophobic tails, unusual chemical effects
14 can occur. So I'm not willing to concede at this point
15 I'm ready to vote as a group.

16 I'm very happy to vote on what we've seen, but
17 not what we have not seen data on.

18 CHAIRPERSON MACK: I'm very reluctant to go
19 through 15 individual chemicals.

20 COMMITTEE MEMBER LANDOLPH: We can just --

21 CHAIRPERSON MACK: I think we should take a vote
22 on the group. See what happens. And then we can discuss
23 what to do subsequently.

24 COMMITTEE MEMBER LANDOLPH: Why don't you say NMA
25 C3 to C12 and C14. That takes ten seconds to say.

1 CHAIRPERSON MACK: You're free to make
2 nominations.

3 COMMITTEE MEMBER EASTMOND: Having debated back
4 in forth in my mind, arguably no matter how long that
5 chain is, you will still get methyl diazonium ion,
6 presumably have metabolism. That could be reactive. On
7 the other hand, if you get an long enough tail, it would
8 interfere with its absorption. So ultimately this may be
9 too broad. So I wondered about trying to limit it to what
10 the data shows.

11 And that isn't kind of my interpretation of the
12 Prop. 65 language. Clearly shown through scientifically
13 valid testing according to generally accepted principles.
14 And that would imply to me you actually have -- there have
15 been tests done on those compounds. I realize you have
16 latitude because there are going to be metabolites in
17 similar ways you get the same reactive intermediates. So
18 that's why I've been debating back and forth on this.

19 CHAIRPERSON MACK: If we say C3 through 14, we'll
20 be excluding 13. And you won't like that. It doesn't
21 come into your --

22 COMMITTEE MEMBER EASTMOND: I'm okay with that.

23 CHAIRPERSON MACK: How about that, Joe? Are you
24 okay with 13?

25 COMMITTEE MEMBER LANDOLPH: Why don't you say C3

1 to C12 and C14.

2 CHAIRPERSON MACK: All right.

3 COMMITTEE MEMBER ZHANG: I like to make one more
4 comment before voting, if that's okay.

5 Yes, from the 013, 013 of the chemical in NMAs,
6 so if you look at the chemical properties when the carbon
7 chain grows, actually the chemical property -- some
8 property get changed as well. For example, on the Table
9 1 listed can change. So that's also could -- I mean, I
10 have to -- I was trained as a chemist. I have to measure
11 the chemical property would change when the carbon chain
12 grow.

13 CHAIRPERSON MACK: Dr. Zhang, would you be happy
14 if we took a vote on C3 through C12 plus C14?

15 COMMITTEE MEMBER ZHANG: Yes.

16 CHAIRPERSON MACK: Okay.

17 COMMITTEE MEMBER ZHANG: I could move the entire
18 group or subgroup.

19 CHAIRPERSON MACK: Let me ask Carol. Who has set
20 this in stone?

21 CHIEF COUNSEL MONAHAN-CUMMINGS: Nobody has set
22 it in stone. It's up to you and your scientific judgment
23 how you want to approach it. You can list the group if
24 you think that's appropriate. But if you feel and the
25 majority of the Committee feels that you want to just vote

1 on the certain ones, then that's absolutely fine.

2 CHAIRPERSON MACK: My sense is that the minority
3 of the Committee would feel more comfortable dealing with
4 2 through 12 plus 14, because that's where the data is.
5 It doesn't mean that we couldn't have to force the staff
6 to look at huge amounts of evidence in the future on 13,
7 16, and 18. But it seems pretty unlikely that would
8 happen.

9 Let me put the -- may I make a straw vote on how
10 many people would prefer to do each of the alternatives.

11 How many people would like to vote first on all
12 such compounds? So even including 25 and 26 and 27?

13 COMMITTEE MEMBER ZHANG: Uh-huh.

14 CHAIRPERSON MACK: That's one.

15 Does anybody else?

16 Okay. How many people would prefer to vote on 1
17 through 12, plus 14. 3 through 12, plus 14.

18 COMMITTEE MEMBER ZHANG: I'm fine with that, too.

19 CHAIRPERSON MACK: We're getting a large
20 consensus there.

21 So if nobody minds, I would like to amend the
22 statement to say have N-Nitrosomethyl-n-Alkylamines 3
23 through 12 plus 14 -- I'm sorry -- c3 through C12 plus C14
24 been clearly shown through scientifically valid testing
25 and according to generally accepted principles to cause

1 cancer? How many votes yes to that statement?

2 (Show of hands)

3 CHAIRPERSON MACK: Okay. We have unanimity.

4 Isn't that nice.

5 All those voting no?

6 All those abstaining? No.

7 So we now announce the results, and more than
8 four yes votes been provided to pass that statement.

9 I now turn it back over to you, I think. Carol,
10 it's your stage.

11 CHIEF COUNSEL MONAHAN-CUMMINGS: Okay. I have to
12 say that I'm not used to doing this part of the staff
13 update, but I as I mentioned earlier, we're down a few
14 staff. So Cindy used to actually pronounce all these
15 chemicals. I'm not going to try to do that.

16 What you have up here on the first slide is a
17 list of the chemicals that we have administratively listed
18 since the last time you had a meeting in December of 2013.
19 You can see that the majority of them are carcinogens and
20 you can see from the chart that they were all -- what the
21 dates were that they were listed.

22 The next slide shows you a number of chemicals
23 that have actually been delisted since your last meeting,
24 and all of them are reproductive toxicants.

25 Just as an explanation for this, it's not

1 particularly common for us to delist a chemical, and
2 certainly not this many in one year. And so as an
3 explanation for that, I should let you know that the
4 federal OSHA did a pretty massive re-write of their
5 regulations that have to do with hazard communication
6 standards. And for occupational exposures, that directly
7 impacted our ability to list chemicals pursuant to the
8 OSHA regulations. So they eliminated a couple of the
9 basis for listing the chemicals, one in particular being
10 the list of threshold limit values that are published by
11 the American Conference of Governmental Industrial
12 Hygienists.

13 So in any event, they eliminated that group as a
14 definitive source for identifying reproductive toxicants.
15 A number of these chemicals -- most of them have been
16 listed years ago. But we looked at them, and there wasn't
17 another basis for keeping them on the list. So they went
18 through the process of being presented to the DART
19 Committee for possible retention on the list. And these
20 are the ones that fell out of that process. So they have
21 been delisted on the dates that you can see here.

22 Next slide.

23 --o0o--

24 CHIEF COUNSEL MONAHAN-CUMMINGS: So in terms of
25 chemicals that are still under consideration right now, we

1 have three carcinogens and two developmental toxicants.
2 These are currently in process. You can see on the
3 right-hand column the date we had proposed the listing.
4 We haven't made final determinations on any of these,
5 whether we'll proceed with the listing. We're still
6 looking at public comments. And actually on the last one,
7 on the list, the public comment period hasn't closed yet.
8 So these are coming up.

9 I don't know if you have any questions on any of
10 the chemicals. I should remind you that hopefully you're
11 on our list serve for our Prop. 65 activities. And I
12 would encourage you to be on there if you aren't and make
13 comments to the extent that you think you feel it's
14 appropriate. You can do that as an individual Committee
15 member. You don't have to do it as a group. Yes.

16 COMMITTEE MEMBER EASTMOND: So these are under
17 consideration based on authoritative body process or what?

18 CHIEF COUNSEL MONAHAN-CUMMINGS: There's
19 different basis for different ones. We've got, like the
20 last one, for example, is a drug. And it is being
21 proposed for listing based on what we call our formally
22 required listing process. And that is where a government
23 agency such as FDA requires a warning for the chemical as
24 a carcinogen. And for in this case, they do in this
25 package insert for this particular product, it calls it a

1 carcinogen. So we're required to list it basically.

2 COMMITTEE MEMBER EASTMOND: Probably should be
3 listed, too.

4 CHIEF COUNSEL MONAHAN-CUMMINGS: The first two
5 are authoritative bodies. So they're both authoritative
6 bodies listing under NTP; is that correct?

7 DR. SANDY: First one, beta myrcene is an
8 authoritative body under NTP and the nitrate with
9 combination of amines and amides is an authoritative body
10 listing are under IARC.

11 CHAIRPERSON MACK: That's what I wanted to know.

12 CHIEF COUNSEL MONAHAN-CUMMINGS: Anything else?
13 So then --

14 CHAIRPERSON MACK: Litigation.

15 CHIEF COUNSEL MONAHAN-CUMMINGS: Your favorite
16 subject. Mine, anyway. That's why I have a job.

17 So in terms of the litigation that is pending
18 currently against our office, there is two cases that are
19 related to cancer and two related to reproductive
20 toxicity. And oddly enough, there is only two names for
21 these.

22 So for cancer, we have a case called Syngenta
23 versus OEHHA. We're calling that Syngenta 1. That case
24 was filed in 2012, and it's challenging indirectly now our
25 safe harbor level for the chemical chlorothalonil, which

1 is a pesticide. We're in the discovery process in that
2 case. We have a trial date in September of 2015. And
3 it's taking a lot of staff resources to work on that.
4 They are not challenging the actual listing of
5 chlorothalonil as a carcinogen. It's the level of the
6 safe harbor they're challenging.

7 The other one that's related to cancer is the
8 American Chemistry Council versus OEHHA, which we're
9 calling ACC II. And that was filed in June of this year.
10 It's challenging this Committee's listing of the chemical
11 DINP last year.

12 Interestingly enough, this Committee has not been
13 sued. They are not part of the lawsuit right now. They
14 have sued us directly. But you will recall that I advised
15 you that there is a litigation hold on your materials, so
16 please keep those until I tell you not to.

17 We have a hearing on the merits in this case.
18 Because it's a record case, that can get to trial much
19 quicker. And that is on January the 23rd in Sacramento.
20 You have a question?

21 COMMITTEE MEMBER EASTMOND: The material we have
22 to keep is related to DINP only or everything that has
23 been discussed?

24 CHIEF COUNSEL MONAHAN-CUMMINGS: It's only as to
25 DINP at the Committee.

1 COMMITTEE MEMBER EASTMOND: So I can throw away
2 this stuff and be fine?

3 CHIEF COUNSEL MONAHAN-CUMMINGS: This meeting?

4 COMMITTEE MEMBER EASTMOND: Yeah.

5 CHIEF COUNSEL MONAHAN-CUMMINGS: This meeting is
6 not covered by anything that I'm aware of now. Throw it
7 away quickly. So you didn't hear that from me and it's
8 not on the record.

9 Anyway, so we have two other cases that are
10 pending that have to do with reproductive or developmental
11 toxicants. As you may recall, the American Chemistry
12 Council had sued us in 2013 regarding the brief listing of
13 BPA as a developmental toxicant under Prop. 65. We are --
14 that was an authoritative body listing that followed a
15 proposed listing by the DART Committee. They declined to
16 list, but we listed under the authoritative body process.
17 And we have a hearing on the merits of their challenge to
18 that listing next month on December the 5th. So we're
19 hoping by that time we'll at least have a trial court
20 decision early next year, and that will be followed by no
21 doubt by appeals.

22 The other Syngenta case that we have we're
23 calling Syngenta II was filed in April of 2014. And it
24 has to do with the potential listing of the group of
25 chemicals we're calling the triazines. You saw them on

1 the slide earlier that it's a pending listing. That case
2 is on hold right now waiting for us to make a final
3 decision over whether or not we'll list the chemicals. If
4 we decide to list them, we have to give Syngenta some
5 notice so they can do whatever they want to do to keep us
6 from completing the listing.

7 There's other cases that I believe are cued up,
8 but I can't tell you right now when they're going to land.

9 In terms of other activities for OEHHA, normally
10 we update you on our safe harbors, which are the levels
11 that we set to give compliance assistance to businesses so
12 they know whether or not a warning is required for a
13 particular exposure. We have not adopted any safe harbors
14 this year, although we have some that we have been looking
15 at, given the litigation currently pending on our safe
16 harbor for chlorothalonil and also other resource issues
17 we have not proposed any safe harbors. And we don't have
18 any that are imminent to be proposed.

19 In terms of regulations, I did want to point out
20 a couple things out. We did complete a regulatory change
21 to the 60-day notice requirements for Prop. 65 that have
22 to do with notice of violation. We completed the process
23 for the Committee qualifications regulation. I mentioned
24 that to you all last time or time before. And you'll be
25 happy to know you all meet the Committee qualifications

1 that are in our regulations.

2 We have almost completed the regulatory process
3 for establishing the criteria for listing chemicals under
4 what we call the Labor Code listing mechanism. That's the
5 one that I mentioned earlier under CalOSHA or federal
6 OSHA. And we're involved in two rather large regulatory
7 actions right now that have to do with the regulations
8 that apply to how to provide a warning when one is
9 required. And also developing a regulation for a website
10 that would be specific to chemicals where warnings are
11 being provided. And it would give people a lot more
12 information. And hopefully, it would be structured in a
13 way that would be useful to members of the public that go
14 to our website for information when they see a sign or
15 label on a product.

16 So you might be seeing some stuff about that in
17 the future. And again, you know, if you individually want
18 to comment on any of our public actions, you're absolutely
19 welcome to do that. And we appreciate any input that you
20 give us. I think that's it.

21 CHAIRPERSON MACK: Coming back to you now.

22 DEPUTY DIRECTOR ZEISE: All right. So I'll
23 summarize the Committee's actions today.

24 The Committee listed Dibenzanthracenes as a group
25 with six yes votes, zero no votes, and one abstention.

1 The Committee also separately listed Dibenz ac
2 anthracene unanimously with seven yeses. And also
3 separately listed Dibenz aj anthracene with seven yeses.

4 The Committee also listed
5 N-Nitrosomethyl-N-Alkylamines for the alkylamines with
6 chain length 3 through 12 and with chain length 14. And
7 that was listed unanimously with seven yes votes and zero
8 nos.

9 So now I'd like to give some thank yous and first
10 to thank the Committee for taking time out of your busy
11 schedules to donate your expertise and your efforts to the
12 State of California and to the Prop. 65 process. We
13 really sincerely thank you for all of the effort.

14 CHAIRPERSON MACK: Of course.

15 DEPUTY DIRECTOR ZEISE: And I'd also like to
16 thank the public for coming to the meeting, for your
17 interest in Proposition 65 both here in the room and on
18 the web. Thank you. And also to the staff who really
19 worked tirelessly to put together these excellent
20 materials. I think we can all agree on that.

21 CHAIRPERSON MACK: We'd like to really thank the
22 staff for doing a terrific job.

23 DEPUTY DIRECTOR ZEISE: And I'd always like to
24 thank Monet Vela who really stepped up to the plate to
25 help us out during this time. We were down on Proposition

1 65 implementation staff. So thank you, Monet. And turn
2 it back to you.

3 CHAIRPERSON MACK: Well, I'm going to say thank
4 you for a nice day. We're finished. We can go have
5 lunch.

6 (Whereupon the Committee adjourned at 12:39 PM)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

