

MEETING  
STATE OF CALIFORNIA  
ENVIRONMENTAL PROTECTION AGENCY  
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT  
PROPOSITION 65  
CARCINOGEN IDENTIFICATION COMMITTEE

JOE SERNA JR.  
CALEPA HEADQUARTERS BUILDING  
1001 I STREET  
SIERRA HEARING ROOM  
SACRAMENTO, CALIFORNIA

THURSDAY, NOVEMBER 2, 2017

10:02 A.M.

JAMES F. PETERS, CSR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

A P P E A R A N C E S

COMMITTEE MEMBERS:

Thomas M. Mack, M.D., M.P.H., Chairperson

Jason Bush, Ph.D.

Shanaz Dairkee, Ph.D.

David A. Eastmond, Ph.D.

Joseph Landolph, Ph.D.

Peggy Reynolds, Ph.D.

Luoping Zhang, Ph.D.

STAFF:

Dr. Lauren Zeise, Director

Mr. Allan Hirsch, Chief Deputy Director

Ms. Carol Monahan Cummings, Chief Counsel

Dr. Jennifer Hsieh, Reproductive and Cancer Hazard  
Assessment Branch, Cancer Toxicology and Epidemiology  
Section

Dr. Gwendolyn Osborne, Reproductive and Cancer Hazard  
Assessment Branch, Cancer Toxicology and Epidemiology  
Section

Dr. Karin Ricker, Reproductive and Cancer Hazard  
Assessment Branch, Cancer Toxicology and Epidemiology  
Section

Ms. Michelle Ramirez, Proposition 65 Implementation

Dr. Martha Sandy, Chief, Reproductive and Cancer Hazard  
Assessment Branch

Dr. Meng Sun, Reproductive and Cancer Hazard Assessment  
Branch, Cancer Toxicology and Epidemiology Section

A P P E A R A N C E S C O N T I N U E D

ALSO PRESENT:

Dr. Jay Murray, International Fragrance Association North  
America

I N D E X

|  | PAGE |
|--|------|
| I. Welcome and Opening Remarks   | 1    |
| II. Consideration of Coumarin as Known to the State<br>to Cause Cancer:  |      |
| - Staff presentation   | 5    |
| - Committee discussion   | 33   |
| - Public comments  | 47   |
| - Committee discussion and decision  | 52   |
| III. Consent Item - Update of the California Code of<br>Regulations Title 27 Section 27000 List of<br>Chemicals Which Have Not Been Adequately Tested<br>as Required | 84   |
| IV. Staff Updates  |      |
| - Chemical listings via the administrative<br>listing mechanism  | 87   |
| - Proposition 65 litigation  | 89   |
| V. Summary of Committee Actions  | 97   |
| Adjournment  | 98   |
| Reporter's Certificate   | 100  |

## 1 P R O C E E D I N G S

2 DIRECTOR ZEISE: Welcome, everyone. I'd like to  
3 welcome you to this meeting of the Carcinogen  
4 Identification Committee. I'm Lauren Zeise the Director  
5 of the Office of Environmental Health Hazard Assessment.  
6 And before I turn this meeting over to Chairman Mack, I'd  
7 like to cover just a few logistics, as well as introduce  
8 the Panel and the staff

9 So first, the meeting is being transcribed and  
10 webcast. So I just want to remind everyone to speak  
11 clearly into the mics and give your name for the record.

12 With respect to logistics, drinking fountains and  
13 restrooms are located out the back door, and you turn  
14 left, go to the end of the hall. In the event of a fire  
15 alarm or any another reason to evacuate, just take the  
16 stairs out down, and go out the doors of the building and  
17 we'll relocate at a site across the street. And we'll be  
18 staking breaks periodically for our court reporter.

19 Now, I'd like to introduce the Carcinogen  
20 Identification Committee. Dr. Mack to my left, then at  
21 the far end Dr. Jason Bush, Associate Professor, Cal State  
22 University, Fresno; Luoping Zhang, Associate Adjunct  
23 Professor, School of Public Health at the University of  
24 California, Berkeley; then David Eastmond, Professor and  
25 Chair, Department of Cell Biology and Neuroscience,

1 University of California, Riverside; to my right Dr.  
2 Joseph Landolph, Associate Professor, University of  
3 Southern California; to his right Dr. Peggy Reynolds,  
4 Senior Research Scientist at the California Prevention  
5 Institute of California, and consulting professor at  
6 Stanford University School of Medicine; and then Dr.  
7 Shanaz Dairkee, senior scientist, California Pacific  
8 Medical Center. So welcome, everyone.

9           And then the OEHHA staff, Allan Hirsch Chief  
10 Deputy Director; Carol Monahan Cummings, Chief Counsel;  
11 Dr. Martha Sandy, Branch Chief of the Reproductive and  
12 Cancer Hazard Assessment Branch; Karin Ricker, staff  
13 toxicologist, RCHAB; Gwen -- Gwendolyn Osborne, M.P.H.,  
14 staff toxicologist, RCHAB; Meng Sun, staff toxicologist,  
15 RCHAB; and Jennifer Hsieh, staff toxicologist, RCHAB;  
16 Julian Leichty, part of the Prop 65 Implementation group;  
17 Esther Barajas-Ochoa with the Implementation staff, and  
18 Michelle Ramirez with the Implementation staff, and Rose  
19 Schmitz with RCHAB.

20           And so welcome, everyone. And now I'm going to  
21 ask Carol to give some introductory remarks.

22           Carol Monahan Cummings our Chief Counsel.

23           CHIEF COUNSEL MONAHAN CUMMINGS: Good morning.  
24 So at each meeting, I just do a quick reminder on a couple  
25 of issues. First, I wanted to remind you that in your

1 materials in the last tab is the criteria for listing that  
2 was adopted by this Committee several years ago. If you  
3 have questions about whether or not a particular decision  
4 to list should be made, then you should look at that  
5 criteria. The criteria does not include consideration of  
6 future impacts of a listing, for example, whether warnings  
7 would be required or particular products might be  
8 affected. You may hear about that, but it's not really  
9 part of the listing criteria.

10           What you're asked to do is find whether or not a  
11 chemical has been clearly shown through scientifically  
12 valid testing, according to generally accepted principles,  
13 to cause cancer. That's a standard of scientific -- it's  
14 a scientific judgment call not a legal standard of proof.

15           This Committee can decide to list a chemical  
16 based entirely on animal evidence. The chemical need not  
17 have been shown to be a human carcinogen. You don't need  
18 to consider whether current human exposures to the  
19 chemical are sufficiently high enough to cause cancer.  
20 This -- the members of this Committee are very well  
21 qualified, were appointed to the Committee by the Governor  
22 because of your scientific expertise, and are considered  
23 the State's qualified experts on carcinogenicity of given  
24 chemicals. So you don't need to feel compelled to go  
25 outside that charge.

1           In the event you feel you have insufficient  
2 information or need more time to think about the question  
3 or discuss it, there's no requirement that you make a  
4 decision today. Feel free to ask clarifying questions of  
5 me or the other OEHHA staff during the meeting. If we  
6 don't know the answer to your question, we'll do our best  
7 to find out and report back to you.

8           Do you have any questions at this time?

9           COMMITTEE MEMBER EASTMOND: I have a question.

10          In public comments, apparently there's one of the  
11 interpretations of this law has to do with chemicals  
12 naturally found in foods. Could you describe that kind  
13 distinction?

14          CHIEF COUNSEL MONAHAN CUMMINGS: Sure. The  
15 reference is to a regulation that our office adopted many  
16 years ago that has to do with chemicals that occur  
17 naturally in foods. And it's a exemption from the warning  
18 requirement that is a little bit complicated. It's a  
19 fairly long regulation, but it only applies once a  
20 chemical is listed, and it only applies to those chemicals  
21 that are naturally occurring in a particular food.

22          So it's true there is an exemption, but it's not  
23 something that would be an issue for you all today.

24          DIRECTOR ZEISE: All right. So now I'll turn the  
25 meeting over to the Chair Dr. Thomas Mack, Professor,



1 School of Medicine University of Southern California.

2 Dr. Mack.

3 CHAIRPERSON MACK: Welcome from me. And let's  
4 get started. First thing I guess I should say is anybody  
5 who wants to make comments during the -- from the public,  
6 and I see all my friends out there, feel free to do so,  
7 but go find yourself a blue card, and sign up, and get  
8 ready, and then we'll do it when the time comes.

9 But I'm sure that nobody is going to have any  
10 problems with anything that's said today as usual.

11 (Laughter.)

12 CHAIRPERSON MACK: All right. Thank you very  
13 much. And now we start with the staff.

14 (Thereupon an overhead presentation was  
15 presented as follows.)

16 DR. SANDY: Thank you, Dr. Mack. This is Martha  
17 Sandy. I will just introduce my staff that will be making  
18 this presentation, and just clarify that as you see in the  
19 hazard identification document, there's a number of staff  
20 that were authors of this, but we'll have four staff  
21 making the presentation. We've tried to give a summary  
22 overview of the document. We can't possibly go through  
23 everything in the document.

24 And first, we'll be hearing from Dr. Hsieh and  
25 then Dr. Osborne, then Dr. Ricker, and then Dr. Sun.

1           So I'll turn it over to Dr. Hsieh.

2           DR. HSIEH: Thank you, Dr. Sandy.

3           Good morning I'm Jennifer Hsieh. And today, we  
4 are here to present a summary overview of the evidence on  
5 carcinogenicity of coumarin.

6                               --o0o--

7           DR. HSIEH: Coumarin is a lactone and most  
8 specifically it is a benzopyrone. The chemical structure  
9 of coumarin is shown here in this figure with carbon  
10 number labeled and lactone structure circled in green.  
11 Coumarin is a single compound with a specific CAS number.  
12 Coumarin is not the same and should not be confused with  
13 other compounds like are sometimes referred to as  
14 "coumarins", that have a different chemical structure.

15                               --o0o--

16           DR. HSIEH: Source of coumarin. Coumarin occurs  
17 in many plants such a tonka beans, cinnamon, and sage.  
18 Some essential oils also contain coumarin. Coumarin also  
19 can be extracted from plant or synthesized commercially.  
20 Coumarin has a pleasant sweet odor. It may be used as a  
21 fragrance enhancer in perfume and cosmetics, as flavoring  
22 additive in tobacco product, and to mask odor in some  
23 plastics and paints.

24           Coumarin is not approved for use as a drug in  
25 United States, although in 1990s, it was the subject of a

1 clinical trial as a potential cancer treatment. FDA  
2 banned the use of coumarin as a direct food additive in  
3 1954, because of severe hepatotoxicity in animals.

4 --o0o--

5 DR. HSIEH: Coumarin has been reviewed by  
6 International Agency for Research on Cancer, or IARC, and  
7 European Food Safety Authority, or EFSA. IARC classified  
8 coumarin, "Not classifiable as to its carcinogenicity to  
9 humans", based on no epidemiological data and limited  
10 evidence in animals.

11 EFSA also reviewed coumarin and identified it as  
12 a carcinogen in rats, and possibly in mice in 1994. EFSA  
13 based its total Tolerable Daily Intake on hepatotoxicity.

14 --o0o--

15 DR. HSIEH: And this slide provides an overview  
16 of this presentation on the evidence on coumarin  
17 carcinogenicity.

18 There were no human cancer studies. Therefore,  
19 the presentation will begin with discussion of  
20 carcinogenicity studies in animals. That will be followed  
21 by a presentation on human relevance, including on  
22 pharmacokinetic metabolism CYP2A6 polymorphism,  
23 hepatotoxicity, and common biological pathway identified  
24 from toxicogenomic data. Then, mechanistic study  
25 organized by IARC's key characteristics carcinogen will be



1 carcinomas in several rats. In later reviews by other  
2 authors, these tumors were described as non-neoplastic  
3 cholangiofibrosis.

4           The third study, by Hagan et al., reported in  
5 1967 had between 5 and 7 animals of each sex per dose  
6 group. It reported liver damage as focal proliferation of  
7 bile ducts with cholangiofibrosis, fatty change, and focal  
8 necrosis. This study did not separately report findings  
9 from males and females and was inadequately reported.  
10 These studies will not be discussed further in this  
11 presentation.

12           At this time, I'd also like to mention the  
13 hamster studies here. Coumarin was administered in feed  
14 at levels of 0.1 percent and 0.5 percent to -- for 2 years  
15 to males and females. Two uncommon pancreatic islet cell  
16 carcinomas were seen in females in the high dose group.  
17 Overall, the survival of this study is limited by the  
18 small numbers of animals per group and poor survival.

19           In the following slides, I'm going to present the  
20 details of the rat and mouse studies by NTP and Carlton et  
21 al., that I have highlighted here.

22                           --o0o--

23           DR. OSBORNE: In an NTP study, male F344/N rats  
24 were administered coumarin by gavage for 5 days per week  
25 for 103 weeks at doses of 25, 50, and 100 milligrams per





1 increased in the highest dose group compared to controls,  
2 as well as hepatocellular adenomas or carcinomas combined.

3 The authors proposed that the liver tumors were  
4 due to exceedance of the maximum tolerated dose that led  
5 to hepatotoxicity. Body weight gain was decreased in the  
6 3 highest dose groups in the study, but this by itself is  
7 not indication of an excessive high dose. In deed,  
8 survival in the 2 highest dose groups was actually better  
9 compared to controls.

10 --o0o--

11 DR. OSBORNE: Carlton at al., also conducted a  
12 study on female Sprague-Dawley rats with a similar study  
13 design as that in male rats, where the first 3 dose groups  
14 were administered coumarin starting in utero and the two  
15 highest dose groups received coumarin starting only after  
16 weaning. Similar to the study in males multiple types of  
17 live tumors were observed in female Sprague-Dawley rats.

18 Non-metastasizing cholangiocarcinomas and  
19 hepatocellular adenomas or carcinomas were significantly  
20 increased in the highest dose group compared to controls.  
21 Similar to male rats, the observations of increased  
22 survival in the 2 highest dose groups compared to controls  
23 and decreased body weight gain do not support the  
24 conclusion that the liver tumors were the result of  
25 excessive toxicity.



1                   --o0o--

2           DR. OSBORNE:  In 2-year gavage studies conducted  
3 in male mice by the NTP, lung and forestomach tumors were  
4 observed.  There was significant increases in  
5 alveolar/bronchiolar adenomas, and adenomas and carcinomas  
6 combined in the high dose group with a significant trend.  
7 One and 2 rare forestomach cell carcinomas were observed  
8 in the low-dose and mid-dose groups.

9           Forestomach papillomas and carcinomas combined  
10 were significantly increased in the low-dose group by  
11 pairwise comparison with controls.

12           NTP considered this to be some evidence of  
13 carcinogenic activity in male mice based on increased  
14 incidence of alveolar/bronchiolar adenomas.

15                   --o0o--

16           DR. OSBORNE:  In the NTP female mouse study,  
17 lung, liver, and forestomach tumors were observed.  There  
18 was significant increases in alveolar/bronchiolar  
19 adenomas, carcinomas, and adenomas and carcinomas combined  
20 in the high-dose group and by trend.  Significant  
21 increases in hepatocellular adenomas and adenomas and  
22 carcinomas combined were seen in the low- and mid-dose  
23 group.

24           There was one forest -- rare forestomach  
25 carcinoma in the low-dose group and one in the mid-dose

1 group. NTP considered this to be clear evidence of  
2 carcinogenic activity in female mice based on increased  
3 incidences of alveolar/bronchiolar adenomas,  
4 alveolar/bronchiolar carcinomas, and hepatocellular  
5 adenomas.

6 --o0o--

7 DR. OSBORNE: In a 2-year feeding study in male  
8 CD-1 mice by Carlton et al., lung tumors were observed.  
9 There were significant increases in alveolar/bronchiolar  
10 carcinomas in the high dose group with a significant  
11 trend. The 2000 IARC summary of this study noted an  
12 unpublished company report analyzing mortality-adjusted  
13 tumorage -- tumor rates, which found no treatment-related  
14 increases in these lung tumors.

15 We have relied on the information in the  
16 published study by Carlton et al., which includes a  
17 statement that survival treated male mice was similar to  
18 that of controls.

19 --o0o--

20 DR. OSBORNE: In the female CD-1 mouse study by  
21 Carlton et al., liver tumors were observed. There was a  
22 significant increase in the incidence of hepatocellular  
23 adenomas or carcinomas in the low-dose group.

24 --o0o--

25 DR. RICKER: OEHA identified 4

1 co-carcinogenicity studies. They're all short-term rodent  
2 studies ranging from 16 to 28 weeks duration. Three  
3 studies were conducted with DMBA, one with benzo(a)pyrene.

4 Coumarin was administered prior to and concurrent  
5 with either DMBA or BP. One specific tumor type was  
6 evaluated in each study as noted on this slide.

7 In all studies, co-administration with Coumarin  
8 reduced tumor formation compared to either DMBA or BP  
9 alone. It is possible that there may be metabolic  
10 competition between coumarin and BP or DMBA. Coumarin and  
11 BP are both metabolites by the same CYP enzyme CYP2A5.

12 --o0o--

13 DR. RICKER: We will now discuss the  
14 pharmacokinetics and metabolism of coumarin. We start  
15 with an overview of the human and animal studies that we  
16 identified followed by a brief description of absorption,  
17 distribution, and elimination. We will then describe in  
18 more detail the metabolic pathways and metabolites of  
19 coumarin.

20 As you can see on this slide, several in vivo  
21 human metabolism studies were identified, and include  
22 multiple routes of exposure. We also identified human in  
23 vitro studies that were conducted with liver microsomes,  
24 liver slices, and recombinant enzyme preparations.

25 In vivo animal studies were conducted with a wide

1 range of species and via multiple routes. They were also  
2 numerous in vitro studies, including studies with skin,  
3 liver slices, liver microsomal and cytosolic fractions,  
4 and recombinant enzyme preparations.

5 --o0o--

6 DR. RICKER: Coumarin is extensively and rapidly  
7 metabolized. The data presented here are from human  
8 studies. Absorption of coumarin is generally fast. About  
9 60 percent of coumarin applied to skin is absorbed within  
10 6 hours. Distribution occurs throughout the body, and the  
11 plasma half-life of coumarin has been reported to be  
12 between 1 to 1.7 hours following oral, dermal, or IV  
13 routes.

14 Coumarin is largely excreted in metabolized form,  
15 and hence very little coumarin is excreted unchanged.  
16 Primary excretion occurs via urine, and about 95 percent  
17 of coumarin is excreted in 4 hours of after oral  
18 administration. Excretion is somewhat slower after dermal  
19 applications. There's very little biliary excretion in  
20 humans. Fecal excretion has been measured only following  
21 dermal exposure and amounted to 1 percent of the applied  
22 dose in 120 hours.

23 By contrast, biliary excretion is higher in some  
24 animals. Up to 38 percent has been reported in rats, and  
25 about 12 percent in hamster.

1                   --o0o--

2           DR. RICKER: Coumarin metabolism is similar in  
3 humans and animals. There are 2 main pathways,  
4 7-hydroxylation and 3,4-epoxidation. When coumarin is  
5 hydroxylated at the 7 position, it yields  
6 7-highdroxycoumarin. This reaction is catalyzed primarily  
7 by the enzyme CYP2A6 shown here in the red box. The  
8 7-hydroxycoumarin is excreted directly or can be  
9 conjugated with glucuronic acid or sulfates prior to  
10 excretion.

11           The second main pathway is to epoxide pathway, in  
12 which coumarin is metabolized to coumarin 3,4-epoxide or  
13 CE for short. The epoxide spontaneously forms  
14 ortho-hydroxyphenylacetaldehyde, ortho-HPA for short,  
15 after ongoing ring-opening of the lactone ring and  
16 decarboxylation. These 2 metabolites, coumarin epoxide  
17 and ortho-HPA are reactive electrophilic metabolites.

18           Ortho-HPA can be further oxidized by aldehyde  
19 dehydrogenase to o-hydroxyphenylacetic acid, ortho-HPAA,  
20 or it can be reduced to ortho-hydroxyphenylethanol,  
21 ortho-HPE. Ortho-HPE in turn can be oxidized back to  
22 ortho-HPA, thus replenishing the pool of ortho-HPA.

23           Instead of undergoing further oxidation and  
24 reduction reactions, coumarin 3,4-epoxide can also be  
25 detoxified with glutathione and be further metabolized to

1 coumarin 3-mercapturic acid. As some products have been  
2 observed in animals, but have not yet been looked for in  
3 humans, they're shown here in bright blue.

4 In other minor pathways, coumarin can be  
5 hydroxylated at other carbon positions, yielding a variety  
6 of hydroxy coumarins shown here. It can also be  
7 metabolized to ortho-coumaric acid, which in turn can form  
8 4-hydroxycoumarin and ortho-hydroxyphenylpropionic acid.

9 It is unclear if human gastric intestinal  
10 microbes can biotransform coumarin in the gut to form  
11 3,4-dihydrocoumarin and ortho-hydroxyphenylpropionic acid  
12 as has been shown in rats.

13 I would like to come back now to the epoxidation  
14 pathway shown here in the large red box, and talk a little  
15 bit about toxicokinetics and the formation and clearance  
16 of the electrophilic metabolites CE and ortho-HPA.

17 There's some indication from in vitro studies  
18 that differences in the kinetics of ortho-HPA formation  
19 and subsequent oxidation to the acetic acid, as well as  
20 detoxification reactions may determine the ultimate toxic  
21 effects of these metabolites.

22 Mice appear to catalyze the oxidation of  
23 ortho-HPA to ortho-HPAA in the liver more efficiently than  
24 rats, which is evidenced by the amount of ortho-HPAA  
25 formed in mice, which can be up to 41 percent of the

1 administered dose but is only 12 percent in rats.

2 Mice also have a faster clearance rate for the  
3 oxidation of ortho-HPA to ortho-HPAA compared to rats.  
4 Lastly, while both mice and rats reduce ortho-HPA to  
5 ortho-HPE, this is only a major reaction in rats.

6 It has been suggested that a cycle of oxidation  
7 reduction from ortho-HPA to ortho-HPE and back may  
8 contribute to slower hepatic clearances of the toxic  
9 aldehyde in the rat.

10 Furthermore, the extent and kinetics of  
11 additional detoxification reactions, such as conjugation  
12 with glutathione may also determine the extent to which  
13 electrophilic metabolites bind covalently with cellular  
14 macromolecules in a given tissue.

15 --o0o--

16 DR. RICKER: The purpose of this slide is to  
17 point out the importance of the genetic polymorphisms of  
18 the human CYP2A6 enzyme here in the red box to the overall  
19 coumarin metabolism in humans. In some, but not all,  
20 humans, the 7-hydroxylation pathway is the main pathway of  
21 coumarin metabolism.

22 The human CYP2A6 is a highly polymorphic enzyme  
23 and hence the metabolic pathway is primarily determined by  
24 an individual CYP2A6 genetic variant. This is evidenced  
25 by the wide differences in amounts of 7-hydroxycoumarin

1 versus ortho-HPA measured as the acetic acid, excreted in  
2 human urine by different people.

3           In some individuals, 7-hydroxycoumarin can  
4 constitute up to 92 percent of urinary metabolites.  
5 Conversely, in an individual who is homozygous for a  
6 loss-of-function CYP2A6 variant allele, the amount of  
7 7-hydroxycoumarin measured in the urine can be less than  
8 0.02 percent of the applied dose, while the amount of  
9 ortho-HPAA accounts for nearly 55 percent of the total  
10 urinary metabolites.

11           Clearly, this is a metabolic shift that allows  
12 for greater formation of electrophilic metabolites. We  
13 will now hear more about the CYP2A6 polymorphism, its  
14 distribution in human population, and its implications for  
15 human health risk assessment in the next few slides.

16           Dr. Sun will take over.

17   --o0o--

18           DR. SUN: As we mentioned in the metabolism  
19 slides, in humans CYP2A6 is the main enzyme for coumarin  
20 7-hydroxylation. 7-hydroxylation is considered a  
21 detoxification reaction compared to the epoxidation  
22 pathway in which electrophilic reactive metabolites are  
23 formed. CYP2A6 is a highly polymorphic gene. To this  
24 date, there are at least 45 allele variants with many  
25 subtypes within each designated allele.



1           The distribution of these alleles varies greatly  
2 across different ethnicities and populations around the  
3 world making certain individuals more susceptible to loss  
4 of the enzyme function of CYP2A6. The different allelic  
5 sequences result in different levels of enzyme activity.  
6 Individuals with decrease-of-function or loss-of-function  
7 alleles can be poor coumarin 7-hydroxylators.

8                   --o0o--

9           DR. SUN: This table summarizes the CYP2A6  
10 variants reported in the literature. The first column  
11 lists the alleles, the second column lists their coumarin  
12 7-hydroxylation activity compared to the wild-type enzyme,  
13 and the third column shows the types of genetic changes  
14 that lead to the polymorphisms.

15           Allele A or 1A is considered the wild-type and  
16 codes for the fully functional enzyme. Compared to the  
17 wild-type, there are alleles that have increased activity,  
18 similar activity, decreased activity, or no activity.

19           For several alleles, their coumarin 7  
20 hydroxylation activity is still unknown, because it hasn't  
21 been tested. The genetic changes listed in the third  
22 column include gene conversions, duplications, and single  
23 nucleotide polymorphism, or snip.

24                   --o0o--

25           DR. SUN: This slide shows you an example of the

1 genotype-phenotype correlation in three studies conducted  
2 in the Thai population. The investigators determined the  
3 CYP2A6 genotype of human volunteers, gave them each a  
4 coumarin tablet orally and measured their urinary  
5 excretion of 7-hydroxycoumarin or its conjugate.

6 The first study -- in the first study 4 out of  
7 192 volunteers were homozygous for Allele 4. In the  
8 second study, 4 out of 120 had this genotype, and in the  
9 third study, 1 out of 194 had this genotype.

10 Individuals homozygous for Allele 4 in these 3  
11 studies excreted an average of between 1 percent and 15  
12 percent 7-hydroxycoumarin compared to the wild-type. This  
13 gives you an idea of the consequence of carrying 2 copies  
14 of a loss-of-function allele.

15 --o0o--

16 DR. SUN: This slide illustrates the distribution  
17 of 2 CYP2A6 alleles reported in different populations  
18 around the world. Allele 4, which is a deletion allele,  
19 and leads to no enzyme activity is shown in green. Allele  
20 9, a decrease-of-function allele is shown in orange. The  
21 X axis lists the populations that were genotyped, and the  
22 Y axis is the percentage found in each population in the  
23 genotyping studies.

24 Each bar represents a range of frequencies found  
25 in the population based on multiple studies with the

1 bottom of the bar starting at the minimum of the range and  
2 the top of the bar showing the maximum of the range. A  
3 dot means the frequency came from one study.

4 Overall, there is a diverse distribution of these  
5 2 alleles. Going from the left, you can see that the  
6 frequencies in African individuals and African North  
7 Americans are similar as shown by the overlapping of the  
8 first 2 green bars for Allele 4, and the first 2 orange  
9 bars for Allele 9. Between East or Southeast Asians and  
10 Asian North Americans, the frequencies for Allele 4 also  
11 overlap and go up to over 22 percent. The lack of the  
12 orange bar for Asian North Americans means Allele 9 was  
13 not tested in this population.

14 The rest of the population shown here contain  
15 different levels of these 2 alleles. Defective CYP2A6  
16 alleles are present in all of these populations tested,  
17 and the carriers of these alleles are the subpopulations  
18 that may lose part of their coumarin 7 hydroxylation  
19 activity or even all of it.

20 --o0o--

21 DR. SUN: This slide presents data from a newly  
22 published study by Zhou et al on the distribution of 176  
23 different cytochrome P450 alleles in over 56,000 unrelated  
24 individuals. CYP2A6 was 1 of 12 genes analyzed.  
25 Sequencing data came from Exome Aggregation Consortium,

1 and linkage information came from the 1000 genomes  
2 project. Exome sequencing doesn't provide information on  
3 the deletion alleles, such as Allele 4 and 5, and  
4 duplication alleles.

5           So in those cases, the authors used frequency  
6 data from published literature. Using a different color  
7 for each allele, this figure shows the relative  
8 contribution of different variant alleles in the five  
9 major populations tested. The pie charts do not include  
10 the wild-type allele.

11           The different color combination for each pie  
12 chart represents the genetic variation from one population  
13 to another. We can see the most frequent variant for  
14 Europeans is Allele 35, for Africans it's allele 17, and  
15 for East Asians, South Asians, and admixed Americans of  
16 Mexican and South American ancestry, it's Allele 9.

17           These 3 are all decrease-of-function alleles for  
18 coumarin 7 hydroxylation. CYP2A6 variants 2 and 4 are  
19 loss-of-function alleles and others shown here are  
20 decrease-of-function alleles for coumarin 7 hydroxylation,  
21 except for Alleles 14, 21, and 28. CYP2A6 polymorphism is  
22 an active research field with many new studies being  
23 published each year. Further information on frequencies  
24 of CYP2A6 variants is provided in appendix B of the hazard  
25 identification document.

1                   --o0o--

2           DR. SUN: To conclude, certain CYP2A6  
3 polymorphisms lead to the metabolic shift towards  
4 epoxidation, and production of the reactive electrophilic  
5 metabolites. Coumarin 3,4-epoxide and ortho-HPA, which  
6 combined to cellular macromolecules. Evidence for the  
7 shift is seen in human in vivo and in vitro studies as we  
8 further discuss in the hazard identification document.

9           Besides polymorphisms, CYP2A6 activity can also  
10 be compromised by non-genetic factors, such as diet or  
11 drugs, and can be saturated by exposure to high-dose  
12 coumarin. Carriers of loss- or decrease-of-function  
13 alleles may be more vulnerable to coumarin toxicity,  
14 mediated by the reactive metabolites of the epoxidation  
15 pathway.

16           A number of clinical trials and case reports with  
17 coumarin observed hepatotoxicity in the hepatotoxicity in  
18 a significant fraction of the people treated. The extent  
19 to which this involved loss- or-decrease-of-function  
20 CYP2A6 alleles was not well studied.

21           Next Dr. Hsieh will take over.

22                   --o0o--

23           DR. HSIEH: Thank you.

24           Now, let's switch gears to -- I'm sorry --  
25 mechanistic data. I'll start with genotoxicity data

1 followed by toxicogenomic data. Coumarin has tested  
2 positive for a number of genotoxicity endpoint in studies  
3 in bacteria, fungi, cell-free systems, plant cells,  
4 mammalian cell in vitro.

5           While coumarin is generally negative in  
6 salmonella, it induce base-pair substitution mutations in  
7 the presence of metabolic activation in salmonella strain  
8 TA100 in multiple study, and was also positive in another  
9 modified strain of TA7002, which detect T:A to A:T  
10 transversions.

11           Coumarin did not induce HPRT or GPT locus  
12 mutations in Chinese hamster ovary cell, but it induced  
13 chromosome aberrations and sister chromatid exchanges in  
14 Chinese hamster ovary cell and in onion root tip cells.

15           Coumarin induced micronuclei formation in human  
16 lymphocytes and in two studies using human hepatoma cell  
17 line.

18           Coumarin did not induce unscheduled DNA synthesis  
19 in human liver slices in one study, but in aspergillus,  
20 coumarin-induced Chromosome instability.

21           In E. Coli, coumarin did not cause DNA damage,  
22 but it inhibited DNA excision repair.

23           In cell free system, coumarin has been shown to  
24 bind to single- and double-stranded calf thymus DNA

25           However, in in vivo study, no positive

1 genotoxicity finding has been reported for the four  
2 genotoxicity endpoints assessed to date: Sex linkage  
3 recessive lethal mutations in drosophila, micronuclei  
4 formation in mice, unscheduled DNA synthesis in rat liver  
5 cells, and in one unpublished report, DNA covalent binding  
6 in rat liver and kidney.

7 --o0o--

8 DR. HSIEH: Four coumarin metabolites has been  
9 tested in limited number of genotoxicity assays. The two  
10 most electrophilic metabolites coumarin 3,4-epoxide and  
11 ortho-HPA have not been tested. Positive finding has been  
12 reported for 2 coumarin metabolites: 7-hydroxycoumarin  
13 and 3,4-dihydrocoumarin.

14 7-hydroxycoumarin did not induce mutations in  
15 salmonella or unscheduled DNA synthesis in rat  
16 hepatocytes, but it did:

17 Induce expression of the ada DNA repair gene in  
18 E. Coli; was weakly positive in the induction of a  
19 chromosome aberration in Chinese hamster ovary cell;  
20 formed DNA cycloadducts with thymine and cytosine and DNA  
21 interstrand crosslinks in synthesized DNA after  
22 photoirradiation.

23 3,4-dihydrocoumarin did not induce mutation in  
24 salmonella, and did not induce chromosome aberration in  
25 Chinese hamster ovary cell or micronuclei formation in

1 mice, but it did induce sister chromatid exchange in  
2 Chinese hamster ovary cell. 6,7-dihydroxycoumarin and  
3 ortho-HPAA were tested in only 3 types of assays and each  
4 were negative.

5 --o0o--

6 DR. HSIEH: Moving on to toxicogenomic study.  
7 Toxicogenomic data are new since 2000 IARC review. The  
8 data sources are from 6 studies conducted in rodents in 2  
9 in vitro study using human hepatocytes. Several of these  
10 studies reported that coumarin alters 2 cancer-related  
11 biological processes or pathway, namely pathways related  
12 to glutathione metabolism and oxidative stress response.  
13 OEHHA conducted a gene ontology or GO and Kyoto  
14 encyclopedia of genes and genomes, or KEGG, pathway  
15 analysis using the microarray data from one rat liver in  
16 vivo study. This analysis identified multiple  
17 cancer-related biological processes or pathway altered by  
18 coumarin.

19 When we compared these pathways identified in our  
20 analysis of the in vivo rat liver study with altered  
21 cancer-related pathways reported in one of in vitro human  
22 hepatocytes, we identified several common cancer-related  
23 pathway altered by coumarin in both rat liver in vivo and  
24 human hepatocytes in vitro. These common cancer-related  
25 pathways include those related to nucleic acid binding,



1 metab -- metabolism of xenobiotics by CYP enzyme, and  
2 oxidoreductase activity.

3 --o0o--

4 DR. HSIEH: The slide lists the essential  
5 cancer-related pathways enriched by coumarin generated  
6 from OEHHA's GO and KEGG pathway analysis using microarray  
7 data in rat liver in vivo. The left column list the  
8 pathway that were enriched by coumarin treatment, and the  
9 right column shows their link to one or more of the key  
10 characteristics of carcinogen identified by IARC. The  
11 pathway highlight in yellow are enriched in both rodents  
12 and human.

13 The pathways listed in the top part of the table  
14 are linked to 3 critical carcinogenic characteristic,  
15 electrophilic metabolites. The corresponding pathways are  
16 metabolism of xenobiotics by CYP enzymes, nucleotide  
17 binding. Genotoxic: the corresponding pathways are  
18 nucleotide binding, base excision repair and DNA  
19 replication. And inducing oxidative stress: the  
20 corresponding pathways are glutathione metabolic process,  
21 oxidation-reduction process and response to oxidative  
22 stress.

23 --o0o--

24 DR. SUN: This slide summarizes other mechanistic  
25 studies. There are data on reactive oxygen species

1 production and glutathione depletion. In addition to the  
2 toxicogenomic data that we just heard, traditional  
3 toxicology studies have shown that coumarin increases  
4 reactive oxygen species production.

5 In addition, 6,7-DIHYDROXYCOUMARIN, a coumarin  
6 metabolite, was shown to increase mitochondrial reactive  
7 oxygen species in HeLa cells. The depletion of glutathione  
8 has been observed in rat liver in vivo, in freshly  
9 isolated rat hepatocytes, and in primary rat hepatocyte  
10 cultures.

11 In addition, the formation of coumarin  
12 metabolite-derived glutathione conjugates has been  
13 demonstrated in human liver microsomes. The effects of  
14 coumarin on cell proliferation is not clear. In one  
15 study, coumarin increased the mitotic index of rat  
16 hepatocytes by 1.4-fold. However, many other studies have  
17 shown that coumarin and its metabolite 7-hydroxycoumarin  
18 inhibited cell proliferation and induced apoptosis.

19 --o0o--

20 DR. SUN: In the next two slides we will give you  
21 a summary of evidence starting with animal studies. There  
22 were multiple tumor findings in rats and mice. The first  
23 tumor type is renal tubule tumors seen in male and female  
24 F344/N rats. These renal tumors, while mostly benign in  
25 the coumarin studies, are rare in rats.

1           There were also hepatocellular tumors seen in  
2 male and female S-D rats, and in two strains of female  
3 mice, B6C3F1 and CD-1 mice in the low-dose group.

4           Liver cholangiocarcinomas were also observed in  
5 male and female S-D rats. In the male rats, significant  
6 increases were seen in both metastasizing  
7 cholangiocarcinomas, and non-metastasizing  
8 cholangiocarcinomas.

9           Lung tumors, specifically alveolar/bronchiolar  
10 tumors were seen in male and female B6C3F1 mice, and in  
11 male CD-1 mice.

12           Lastly, increases in forestomach tumors, namely  
13 combined squamous cell papillomas and carcinomas, were  
14 observed in the low-dose group of male B6C3F1 mice.  
15 Forestomach squamous cell carcinomas are rare in male  
16 mice.

17                           --o0o--

18           DR. SUN: This slide summarizes evidence related  
19 to coumarin's possible mechanisms of action and its human  
20 relevance. There is evidence to support three possible  
21 mechanisms of action.

22           First, coumarin forms the electrophilic  
23 metabolites, coumarin 3,4-epoxide and ortho-HPA, which  
24 have been shown to bind covalently to microsomal proteins  
25 in rats and humans. These metabolites and their

1 subsequent clearance and detoxification reactions may play  
2 a role in coumarin toxicity, based on data from in vitro  
3 studies.

4 Coumarin can also induce oxidative stress. It  
5 depletes cellular glutathione as a result of the formation  
6 of coumarin metabolite-derived glutathione conjugates.  
7 This reduction or depletion of the glutathione pool may  
8 shift the cell's redox balance and impact the cell's  
9 overall ability to detoxify additional reactive oxygen  
10 species leading to oxidative stress. Evidence for  
11 increases in reactive oxygen species comes from studies in  
12 HeLa cells as well as in vivo and in vitro toxicogenomic  
13 studies.

14 The third possible mechanism is genotoxicity. As  
15 we've heard from Dr. Hsieh, coumarin has tested positive  
16 in a number of in vitro and cell-free genotoxicity assays.

17 Finally, we'd like to present a summary of the  
18 evidence regarding the human relevance of coumarin's  
19 carcinogenicity.

20 --o0o--

21 DR. SUN: The primary enzyme for coumarin 7  
22 hydroxylation in humans is the highly polymorphic enzyme  
23 CYP2A6. Populations around the world carry certain  
24 allelic variants of this enzyme that are associated with  
25 either no enzyme function or reduced function.

1           When coumarin 7 hydroxylation by CYP2A6 is  
2 compromised, the metabolic shift leads to increased  
3 generation of coumarin 3,4-epoxide and ortho-HPA products  
4 from the epoxidation pathway. Most of the studies on  
5 CYP2A6 polymorphisms are published after the 2000 IARC  
6 review and can help us identify vulnerable groups within  
7 each population.

8           In addition to findings on human CYP2A6  
9 polymorphisms, a number of clinical trials and case  
10 reports indicates that coumarin causes hepatotoxicity in  
11 susceptible individuals. There are also new findings from  
12 toxicogenomic studies identifying several common  
13 cancer-related pathways altered by coumarin in both rat  
14 liver in vivo and human hepatocytes in vitro.

15           With this, we conclude our presentation today.

16           Thank you.

17           CHAIRPERSON MACK: Thank you, guys. That's very  
18 interesting -- a very interesting presentation.

19           Now, let's see if the Committee has any questions  
20 for the staff?

21           David

22           COMMITTEE MEMBER EASTMOND: I have a couple of  
23 questions. First of all, thank you for the presentation.  
24 And I'm encouraged to see that you're using some of this  
25 toxicogenomic data. Although, I realize it's a challenge

1 to sort of interpret and that's the way I approach it.

2 But I am curious, in this toxicogenomic data,  
3 typically they're looking at changes in gene expression,  
4 correct? So when they're showing evidence of nucleotide  
5 binding, this isn't reactive species binding to DNA like  
6 we think about in toxicology. This is nucleotide binding  
7 as far as gene expression changes, is that correct?

8 DR. HSIEH: That's correct.

9 COMMITTEE MEMBER EASTMOND: So this really isn't  
10 evidence of electrophilic species at all, and probably not  
11 an evidence of genotoxicity either. It's just saying it  
12 changes gene expression. I mean, that's my  
13 interpretation. I haven't looked at the data, but  
14 that's -- the sort of things that are picked up in a gene  
15 expression profile wouldn't tell you if it's electrophilic  
16 or anything like that, is that correct?

17 DR. SANDY: Well, David, what we explained was  
18 the analysis is you're using GO and KEGG pathway analysis.  
19 And they're linking changes in genes to different  
20 biological processes or pathways. So these -- they saw  
21 genes linked to pathways associated with the cellular  
22 response to nucleotide binding that were changed. That's  
23 what this is.

24 COMMITTEE MEMBER EASTMOND: Yeah, but that --

25 DR. SANDY: You're correct. It's not --

1 COMMITTEE MEMBER EASTMOND: -- that's not usually  
2 the way we think of it.

3 DR. SANDY: -- a apical endpoint, we measured  
4 nucleotide binding, no. It's looking at genes associated  
5 with certain pathways and processes.

6 COMMITTEE MEMBER EASTMOND: But I think this is  
7 the difference between sort of a how a molecular biologist  
8 would look at things, and how a toxicologist would look at  
9 things. Nucleotide binding usually refers to binding that  
10 alters gene expression versus where we think of as  
11 toxicologists, it usually refers to covalent binding, you  
12 know, to DNA or RNA.

13 So I think it's -- for me, I mean, I think it's  
14 certainly fine and reasonable. I don't -- I'm not  
15 necessarily convinced that's evidence of electrophilic  
16 properties of the compound.

17 CHAIRPERSON MACK: Anybody else?

18 Joe.

19 COMMITTEE MEMBER LANDOLPH: Sorry. Was the  
20 coumarin 3,4-epoxide mutagenic strongly so and  
21 dose-dependent mutagenesis in some of the systems studied?

22 DR. HSIEH: Dose response, yeah.

23 DR. SANDY: No. You're asking if the 3,4-epoxide  
24 was ever tested, and no, it has not been.

25 DR. HSIEH: No. No, it hasn't. Yeah.

1 COMMITTEE MEMBER LANDOLPH: Has not been tested.

2 DR. SANDY: On that metabolite slide, we said  
3 that is one of the metabolites that wasn't tested.

4 COMMITTEE MEMBER LANDOLPH: And how about  
5 ortho-HPA, the aldehyde ring open metabolite?

6 DR. HSIEH: It hasn't been tested.

7 COMMITTEE MEMBER LANDOLPH: And have they tried  
8 to radiolabel them and see if they bound covalently to  
9 DNA --

10 DR. HSIEH: Yes.

11 COMMITTEE MEMBER LANDOLPH: -- and made adducts?

12 DR. SUN: They're bound to microsomal proteins,  
13 but haven't tested for DNA.

14 COMMITTEE MEMBER LANDOLPH: To proteins but not  
15 DNA?

16 DR. SUN: No.

17 COMMITTEE MEMBER LANDOLPH: Okay.

18 CHAIRPERSON MACK: Yeah.

19 COMMITTEE MEMBER DAIRKEE: I have a follow-up  
20 question on the micro-irradiator. How sustained are these  
21 changes? So I understand that some of this is in vivo  
22 data, where the animals were treated with coumarin and  
23 then their livers were collected and microarrays were done  
24 to examine gene expression. How sustained are these  
25 changes is my question? Have they done different time



1 points after treatment?

2 DR. HSIEH: Yeah. They do several different time  
3 points from 1 day up to 2 weeks, several different time  
4 points. But the data use for the KEGG and GO in our  
5 analysis is the data they collect after one-day treatment.

6 COMMITTEE MEMBER DAIRKEE: So it is a very  
7 short-term change -- epigenetic change?

8 DR. HSIEH: One day.

9 COMMITTEE MEMBER DAIRKEE: Within one day the  
10 early changes that happen include what's on the KEGG  
11 pathways.

12 DR. HSIEH: Yeah, that's the data I should. But  
13 in the paper, they did do the study of one day up to 28  
14 days. Yeah.

15 CHAIRPERSON MACK: Yes. Peggy

16 COMMITTEE MEMBER REYNOLDS: So I also thank you  
17 for your extensive review. As an epidemiologist, I have a  
18 much simpler and more fundamental question. And so my  
19 understanding is most of the animal evidence is based on  
20 exposure via feed or gavage. And that we're talking about  
21 what -- it's not clear to me whether we're talking natural  
22 or synthetic coumarin. But in the IARC report, there's an  
23 extensive discussion of the use of coumarin in personal  
24 care products.

25 So my question is you talked about potential for

1 oral versus dermal exposure. Given that this is prevalent  
2 in personal care products still, I presume, and I presume  
3 we'll hear something from the Fragrance Association since  
4 they provided a very extensive comment on this. What is  
5 the opportunity for exposure pathways in humans, and do  
6 you have any sort of sense of that in terms of pathways of  
7 exposure for potential risk.

8 DR. SANDY: Well, so -- again, your task is  
9 hazard identification. And that's what our document  
10 focuses on not exposure assessment. But as we discussed  
11 in the document, it is present in foods, naturally. It  
12 occurs naturally in some foods and it's used whether --  
13 it's the same compound whether it's synthesized or  
14 extracted from a plant.

15 It's used in Perfumes, personal care products,  
16 and other things. So we would presume that the routes of  
17 exposure would be dermal and inhalation a oral.

18 COMMITTEE MEMBER REYNOLDS: Thank you.

19 CHAIRPERSON MACK: Yes Dave.

20 COMMITTEE MEMBER EASTMOND: I have another  
21 question. This has to do with, for example, the kidney  
22 tumor data -- incidence data that was seen by -- in the  
23 studies done by the National Toxicology Program. This  
24 would be on like slide number 7, slide number 9.

25 You have different denominators there for the

1 animals that were studied. And I'm -- I would guess that  
2 in some you're looking at total number of animals, and in  
3 others you're looking at the number of animals survived  
4 beyond a certain period. This strikes me a little bit of  
5 sort of cherry picking data, in that at least with the  
6 kidney tumor incidence, number 9 -- before this.

7           So on this one, if I recall NTP did not consider  
8 this statistically significant in the trend test -- their  
9 trend test, because they worked with the 50 animals per  
10 dosage, but apparently you've worked only on -- those that  
11 survived beyond a certain period of time.

12           If you go to slide number 7, its even more  
13 apparent. So the data for the adenomas you've got 55  
14 animals, but for carcinomas you have 37 animals in the  
15 controls. And the fact that you're using different  
16 numbers of animals in your denominator strikes me as  
17 unusual for the same study.

18           DR. OSBORNE: So we have a standard way of  
19 calculating the denominator, where we look at the day of  
20 the first tumor -- the first tumor was seen and then we  
21 count how many animals were a live at that point. And so,  
22 we do that for each of these -- for each of the tumor  
23 types here.

24           COMMITTEE MEMBER EASTMOND: So you don't do -- so  
25 you do it for each tumor type and not in general, because

1 then that seems odd to me, because you've got 55 animals  
2 for adenomas, but you only have 37 animals in the control  
3 for carcinomas and vice versa all the way through the  
4 thing.

5 DR. OSBORNE: Yeah. Well, want to make sure  
6 it's -- that all the animals lived until a certain time  
7 point when they had their chance to develop the first  
8 tumor.

9 DR. SANDY: Let me add. This is a standard way  
10 that U.S. EPA uses as well when they have the information.  
11 So with NTP studies, we have all the information. We know  
12 the exact day that an animal died and was assessed with a  
13 tumor. And the -- the -- so we do this for dose response.  
14 We do it for hazard identification. We've been doing it  
15 for eons, years, every document you see where we have the  
16 data.

17 So for the particular tumor type, in this case,  
18 renal tubule carcinoma, it's the first day that any animal  
19 in any of the groups, controls or treated, was found to  
20 have a renal tumor -- tubular carcinoma. We say that's --  
21 any animal that lived up to that day was then at risk of  
22 getting that tumor. If they died before that first tumor  
23 was seen, they're not at risk, so we don't count it in the  
24 effective number.

25 And so you can tell that for renal tubular

1 carcinomas, the numbers are lower. That means the  
2 carcinoma appeared later, in a later day. So there were  
3 fewer animals alive in all the groups. The renal tubular  
4 adenoma occurred earlier, apparently. And we have the day  
5 of occurrence in our HID, I'm sure.

6 And then when you combine the 2 tumor types,  
7 you're taking any animal that either had an adenoma or  
8 carcinoma, so based on the first day of the -- of either  
9 one of those tumors. So the denominators in that combined  
10 row are equivalent to in the adenoma row.

11 COMMITTEE MEMBER EASTMOND: So let me -- on some  
12 of the carcinoma data, it's pretty apparent, because  
13 there's only one animal that had a carcinoma. So you're  
14 saying when that animal developed a carcinoma at the 25  
15 milligram per kilogram dose, that there were 37 animals  
16 alive at that time in the controls 35, 25, 19, and 13?

17 DR. SANDY: (Nods head.)

18 COMMITTEE MEMBER EASTMOND: And presumably, you  
19 didn't do this with the Carlton et al. study, because in  
20 that case, you didn't have the data, because there's  
21 massive mortality in those studies.

22 DR. SANDY: They didn't report the data. And  
23 when we -- when they don't report the data, we have to use  
24 the number of animals in the groups to start with. That's  
25 correct.

1 COMMITTEE MEMBER EASTMOND: All right,

2 CHAIRPERSON MACK: A couple of much less  
3 sophisticated questions. Dr. Hsieh, when you were reading  
4 through the literature, did you come across any source of  
5 coumarin that was extensively used in Southeast Asia or in  
6 South China? Cassia is the only one I can think of, which  
7 is cinnamon -- it's called Chinese cinnamon, which is  
8 actually now a more Mexican cinnamon, but that's -- but  
9 there's nothing else, I guess, because tonka beans are  
10 south American not Asian.

11 So as far as you know, there's no real extensive  
12 use of coumarin -- of plants which contain coumarin in  
13 Southeast Asia or South China, right?

14 DR. HSIEH: Coumarin also contained in lot of --  
15 in a lot of personal care products, cosmetic and perfume.  
16 So like most perfume, 80 percent of perfume contain  
17 coumarin.

18 CHAIRPERSON MACK: I can't understand.

19 DR. HSIEH: Personal products.

20 CHAIRPERSON MACK: I'll get her to repeat what  
21 you said, because I'm really deaf.

22 DIRECTOR ZEISE: Okay. So lots of perfumes  
23 contain coumarin.

24 DR. HSIEH: Yeah.

25 DIRECTOR ZEISE: And I think Martha can add in

1 terms of foods in Southeast Asia.

2 CHAIRPERSON MACK: Yeah, I was really asking  
3 about food. I understand about perfumes.

4 DR. SANDY: And I can say that -- I can't answer  
5 to Asia or Southeast Asia, but there are so -- there are  
6 many other plants, lavender, and -- it's in our  
7 documents --

8 CHAIRPERSON MACK: Yeah, right.

9 DR. SANDY: -- Woodruff -- and a lot of other  
10 sources of coumarin in natural plants. So I can't tell  
11 you specifically for that part of the world.

12 CHAIRPERSON MACK: Okay. Thank you.

13 Second question is for Dr. Osborne. I'm -- I  
14 find the distinction between metastasizing and  
15 non-metastasizing cholangiocarcinoma to be kind of an odd  
16 distinction, because if you kill a mice at 2 years, you  
17 may not have found -- given them enough time. So I don't  
18 think that's a real distinction or it may well not be a  
19 real distinction. It may simply be a matter of how  
20 rapidly it metastasizes. So that's one -- an observation  
21 question.

22 So that seems reasonable to you?

23 DR. OSBORNE: Yeah. We reported -- this is how  
24 the authors reported it, so we didn't have the separate  
25 data for metastasizing or not.

1 CHAIRPERSON MACK: Okay. The next question is I  
2 don't know what an oncocytoma is?

3 DR. OSBORNE: Oncocytoma?

4 CHAIRPERSON MACK: It sounds like a cancer of the  
5 cell, but I have no idea what it means. Do you know what  
6 the cell of origin of an oncocytoma is?

7 DR. OSBORNE: Yeah, it's a renal tubule tumor.

8 DR. SANDY: It's also -- the cell of origin is  
9 the renal tubular cells. It's a very uncommon tumor type  
10 in NTP studies.

11 CHAIRPERSON MACK: So it's referred to other an  
12 unknown.

13 DR. SANDY: Pardon me?

14 CHAIRPERSON MACK: Other unknown?

15 DR. SANDY: It's uncommon in NTP studies, and  
16 it's actually the first time I think we've seen one  
17 reported.

18 CHAIRPERSON MACK: Okay. The final question is  
19 I'm really interested in the gene environment interaction  
20 that CYP26C -- whatever it is, 264 produces. And my  
21 question is does this distinction -- is there any evidence  
22 that this distinction makes a big difference between a  
23 urinary exposure of the metabolites or hepatic -- in other  
24 words, does it get into the bile? You pointed out that  
25 1.5 percent, a very small percentage, gets excreted in the



1 bile. And yet, there's a lot of hepatotoxicity.

2 And so I would wonder if the -- if the CYP enzyme  
3 might make a difference in how it's distributed in  
4 discretion. Is there any evidence of that?

5 DR. SUN: From what I have would seen, I think in  
6 the presence or absence of CYP2A6 polymorphic --  
7 polymorphisms, the urinary excretion remains the major  
8 metabolism pathway.

9 CHAIRPERSON MACK: But as far as you know, the  
10 hepatic excretion doesn't very much. I understand that  
11 urinary excretion is going to be the vast majority, but  
12 1.5 percent is very small. And if it doubles or tripled,  
13 it might be important. No. No, evidence of that.

14 DR. SANDY: I don't think anyone has looked.  
15 That's the problem.

16 CHAIRPERSON MACK: Okay. That's all my  
17 questions.

18 COMMITTEE MEMBER ZHANG: I have a very simple  
19 question, just try to clarify a couple of slides.

20 Slide number 24. So CYP2A6, I know the -- on the  
21 slide 25, the next slide, is focused the Zhou 2017. So  
22 that's all from that study. But my question is the slides  
23 before, slide 24. Is that the slides already included the  
24 information in the Zhou 2017 or not? Because I know you  
25 found the other knew study later, so that's -- clarify.

1 DR. SUN: Yeah. Information from this slide,  
2 slide number 24, comes from the HID table 17. We just  
3 represent it in a graphic form instead of in a tabular  
4 form in the HID.

5 COMMITTEE MEMBER ZHANG: Okay.

6 DR. SUN: So the frequency in this figure comes  
7 from groups of studies that OEHHA reviewed.

8 COMMITTEE MEMBER ZHANG: Including Zhou 2017 or  
9 not?

10 DR. SUN: Not including Zhou.

11 COMMITTEE MEMBER ZHANG: Okay. That's my  
12 question. Number 2 question, also just clarifying the  
13 slide number 30 is about OEHHA's GO KEGG pathway analysis.  
14 Is this table, the data come only from the one study from  
15 the -- what are called the --

16 DR. HSIEH: Uehara, et al., 2000 --

17 COMMITTEE MEMBER ZHANG: 2008?

18 DR. HSIEH: Yeah, yeah.

19 COMMITTEE MEMBER ZHANG: So that's only from that  
20 one study, right?

21 DR. HSIEH: Yes.

22 COMMITTEE MEMBER ZHANG: Thank you.

23 I'm actually very glad that OEHHA this time at  
24 least they're to using the comparative toxicogenomic  
25 database trying to provide some additional information.

1 But I would save my comments on that later when we get  
2 there, but thank you.

3 CHAIRPERSON MACK: Anybody else?

4 Okay. I'm asking for public comments. And Jay  
5 is the only person who has provided a card. So, Jay,  
6 would you like to give us your five minute presentation?

7 DR. MURRAY: Thank you.

8 I'm Dr. Jay Murray, and speaking oh behalf of the  
9 International Fragrance Association, North America, which  
10 submitted written comments to you on coumarin.

11 (Thereupon an overhead presentation was  
12 presented as follows.)

13 DR. MURRAY: So -- and thank you for reading this  
14 submission as well as all the other documents you had to  
15 read. So coumarin is before you today, because no  
16 authority authoritative body has formally identified it as  
17 causing cancer. In fact, an authoritative body, NTP,  
18 conducted one of the cancer bioassays in animals, as  
19 you've seen. But NTP did not find enough evidence of  
20 carcinogenicity for coumarin to be listed under the  
21 authoritative bodies mechanism based on NTP's  
22 interpretation of its own bioassay.

23 No epidemiologic studies of coumarin in cancer  
24 have been identified, so it really comes down to the  
25 animal studies.

1           So if I could have the first -- my first --  
2 you've got it up there. First and only slide.

3           There are 2 key cancer studies in animals, as  
4 you've already heard. It's the NTP and the Carlton  
5 studies. And they evaluated coumarin in mice and rats,  
6 both of them. NTP concluded that there was clear evidence  
7 of carcinogenic activity in female mice, but not in male  
8 mice or in male or female rats.

9           The clear evidence in female mice is due  
10 primarily to statistically significant increases in lung  
11 adenomas and carcinomas at the high dose, which is a tumor  
12 of questionable relevance to humans.

13           In male mice, there was an increase in benign,  
14 but not malignant, lung tumors at the high dose. And this  
15 is important, because your guidance criteria says the  
16 evidence must clearly show the chemical causes quote,  
17 "invasive cancer in animals", unquote.

18           In male rats there was an increase in benign  
19 renal tumors without a clear dose-response relationship,  
20 and in female rats no increase in tumors at any dose. So  
21 switching to the Carlton study, there was an increase in  
22 liver tumors in female mice at the low dose only. And  
23 according to IARC, no statistically significant increase  
24 in tumors in male mice, when adjusted for mortality.

25           In rats, there was no increase in tumors except

1 at the high dose, which greatly exceeded the maximum  
2 tolerated dose. So, for example, during the first 13  
3 weeks of the study, the high dose male and female rats  
4 gained 266 and 102 grams less weight respectively than the  
5 control males and females. By the end of the study, males  
6 and females weighed 252 and 229 grams less, respectively,  
7 than the controls.

8           For those who may not do animal studies, those  
9 are massive differences in body weights. And in this day  
10 and age, the high dose would have been terminated because  
11 it drastically exceeded the maximum tolerated dose, and  
12 out of concern for animal welfare. You wouldn't see this  
13 these days.

14           The high dose should not be considered  
15 scientifically valid testing, according to generally  
16 accepted principles.

17           Now, IARC evaluated these studies and concluded,  
18 as you heard, coumarin could not be classified as a  
19 carcinogen, Group 3.

20           The HID also included information on CYP2A6  
21 polymorphisms and genomics data which you heard today.  
22 I'm a fan of genomics data myself, and have been involved  
23 in several studies now looking at genomics data, but it  
24 provides little additional information of value for hazard  
25 identification.

1           Looking at genes where you see upregulation in  
2 glutathione metabolism or oxidative stress, that's not  
3 unique to chemicals that cause cancer. It may be a key  
4 characteristic, but you see it in lots of chemicals that  
5 don't cause cancer.

6           Regarding genotoxicity, the weight of the  
7 evidence does not show coumarin is genotoxic, you just  
8 heard that coumarin was negative in every single in vivo  
9 genotoxicity study. It was shown not to bind DNA in liver  
10 and kidney in Sprague-Dawley and F344 rats.

11           So, in conclusion, the only clear evidence of  
12 carcinogenicity is the increased incidence of  
13 alveolar/bronchiolar adenomas and carcinomas among high  
14 dose female mice in the NTP bioassay.

15           Clear evidence of the carcinogenic effect in one  
16 sex, of one species, in one study is not enough to list  
17 coumarin. The overall scientific evidence does not  
18 support a conclusion that coumarin has been clearly shown  
19 to cause cancer.

20           Thank you. I'd be happy to try and answer any  
21 questions you might have.

22           CHAIRPERSON MACK: Thank you. Jay. Is there  
23 anybody who has question for Jay?

24           COMMITTEE MEMBER REYNOLDS: Just a question --  
25 well, first of all, of course, IARC's classification is

1 with regard to humans, class 3 classification

2 DR. MURRAY: Yes.

3 COMMITTEE MEMBER REYNOLDS: So if coumarin is in  
4 a personal care product, it's in my shampoo, is that  
5 included under the rubric of fragrance, so that is  
6 considered trade secret? So a consumer wouldn't know that  
7 it's in a particular product or how much?

8 DR. MURRAY: I don't know the answer to that. I  
9 listened to your question earlier, and while I'm here on  
10 behalf of the International Fragrance Association. I'm a  
11 toxicologist. I'm really not an expert in fragrances or  
12 perfumes.

13 And, Dr. Reynolds, to go back to your first  
14 comment, IARC looks at both the animal and the human  
15 evidence. And IARC can classify a chemical as a  
16 carcinogen on the basis of the animal studies.

17 So, you know, a 2B classification. So they  
18 looked at these animals studies and said not enough to  
19 classify it and gave it a group 3.

20 COMMITTEE MEMBER REYNOLDS: Okay. Thank you.

21 CHAIRPERSON MACK: Okay. Thanks, Jay.

22 COMMITTEE MEMBER EASTMOND: Just a clarification.  
23 I think IARC considered the evidence limited in animals is  
24 the way they concluded.

25 COMMITTEE MEMBER REYNOLDS: Yes. There's limited

1 evidence in experimental animals is what it says.

2 CHAIRPERSON MACK: Anybody else have questions  
3 for Jay?

4 Okay. Thanks.

5 DR. MURRAY: Thank you.

6 CHAIRPERSON MACK: Okay. So now we have come to  
7 comments from the Committee. So I'd like to take it in  
8 the following order. I'd like to hear there Joe and then  
9 from David and then from Dr. Zhang, and then anybody else  
10 that wants to weigh-in.

11 So, Joe.

12 COMMITTEE MEMBER LANDOLPH: Okay. Jay, I did  
13 read your comments. I read all the public comments first  
14 before I looked at the HID. This is an interesting  
15 compound. You know, it's metabolized by cytochrome P450.  
16 You've got to two potential proximate carcinogens. One is  
17 the 3,4-epoxide, the other one is the ortho-HPA. So that  
18 was interesting, and gives a lot of insight into what the  
19 compound is doing.

20 I looked through the same database that Jay just  
21 discussed, and I have a little bit of a different take on  
22 it. In, let's see, table 3, the kidney data was  
23 interesting for the renal tubule adenomas, the tumors go  
24 from 1 to 6 to 8, and then to 5. And I think that's an  
25 increase in dose response, which then plateaus out.



1           The renal tubule carcinomas are not so robust.  
2 The combination of the two together again goes from 1 to 6  
3 to 8 to 5. And the trend is not significant, but there  
4 are increases in tumors there over the control. I'm going  
5 to skip through some of this real quick. I also looked at  
6 the cholangiocarcinomas were interesting and in particular  
7 the non-metastasizing ones.

8           They go 0 at the control to 0 to 0 to 0, then to  
9 1, and then to 31, and that trend test is statistically  
10 significant at P less than 0.001. And the high dose is 31  
11 tumors out of 65, and that's statistically significant  
12 too.

13           Then the hepatocellular adenoma and carcinoma  
14 combined in the male Sprague-Dawley rats starts out a 2,  
15 in the controls and then it goes 2, 1, 1, then 6 - so  
16 that's an increase - then 29. So -- and that 29 is  
17 statistically significant and the trend is statistically  
18 significant.

19           Then the liver tumor incidence in Sprague-Dawley  
20 female rats shows a similar thing with the  
21 non-metastasizing cholangiocarcinomas going 0 in a  
22 controlled, 0, 0, 0, 0, 22 out of 65. That high dose  
23 event is statistically significant. The trend is  
24 statistically significant.

25           And then hepatocellular adenoma or carcinoma goes

1 0 in the controls to 0, 0, 0, then 1 - so it's going up a  
2 little bit - then 12 out of 65. The high dose end is  
3 statistically significant. The trend is statistically  
4 significant. So I added that data.

5           Then the male B6 -- C -- 6C3F1 mouse data is  
6 interesting too. There's a high background in the  
7 controls for the alveolar or bronchiolar carcinoma. It  
8 goes from 14, and then it drops to 8, then 14, then 24 out  
9 of 45 at the high dose. The trend is statistically  
10 significant. P equals 0.001. And alveolar/bronchiolar  
11 carcinomas is very low, not statistically significant for  
12 trend test. The combined goes from 14 to 9 to 15 to 24.

13           So it has a marginal increase at the next to the  
14 highest dose and a high increase 24 -- 25 tumors out of 45  
15 mice. The trend is statistically significant. The high  
16 dose is statistically significant.

17           And I could go on, but to make a long story  
18 short, I'm going to say that I look at this data, and when  
19 I valuate the genotoxicity database, yes, a lot of that is  
20 in vitro work. It's a little bit tougher sometimes to get  
21 in vivo positive genotoxicity data. It's not so easy, but  
22 there is positive data there. So when I add this data  
23 together in my mind, I would say I certainly could not  
24 ignore this data. It's too much. It's positive at a  
25 number of doses, and trends are positive in some areas.

1 So my recommendation is to list this compound as a  
2 carcinogen.

3 CHAIRPERSON MACK: Thank you, Joe.  
4 David.

5 COMMITTEE MEMBER EASTMOND: Thanks. I have a  
6 little bit different opinion, Joe, on some of these  
7 things. Let me just talk a little bit about how I  
8 approached this.

9 Initially, when I read through the document, I  
10 thought, wow, this was overwhelming evidence. But then as  
11 I started reviewing in more detail and some of this and  
12 looking at other authoritative bodies, I realized this is  
13 actually a really challenging compound, because the  
14 evidence is not nearly as compelling as what it might  
15 appear at first glance.

16 And so let me talk through some of these studies  
17 and put it in a context. So on the rat -- let's say, the  
18 kidney tumors in the rats in the NTP bioassay in the male  
19 rats, essentially, you know, these are described as rare  
20 tumors. I don't like that description, because you have  
21 tumors seen in the controls. So actually "uncommon", I  
22 think is a better descriptor of this particular tumor  
23 type. And that happens over and over again.

24 But, in essence, these tumors there's again a  
25 non-significant trend with a significant increase seen at

1 one of the intermediate doses. This is occurring in the  
2 context that these animals all have sort of chronic  
3 nephropathy. They're a hundred percent of the animals, I  
4 believe.

5           And so there is a real debate in the toxicology  
6 community on the significance of renal tumors when you  
7 have this chronic nephropathy in rats that's seen. So  
8 this -- put this -- and this happens for the males and the  
9 females. So you've got -- it's sort of questionable. The  
10 NTP considered this to be some evidence of  
11 carcinogenicity. And I would probably agree, there's some  
12 evidence there, but it becomes a challenge in sort of the  
13 interpretation. If we go onto the rat study, the Carlton  
14 studies -- and I'm usually not one to dismiss studies of  
15 having exceeded the MTD, the maximum tolerated dose, part  
16 of that becomes because of the way that maximum tolerated  
17 dose is actually defined in its origin.

18           But I think it is clear when you get a study  
19 where the dose is sufficiently high that basically the  
20 animals are under tremendous physiological stress, in some  
21 respects. In this case, they are -- I mean, the body  
22 weight -- and, indeed, the whole study has some serious  
23 problems, because in the female arm of this study, I think  
24 the survival in the controls was 26 percent by the end of  
25 the study.

1           So it's not -- you know, the study is a real  
2 problem. And on the rat part of the Carlton study, IARC  
3 reviewed this and considered it inadequate to use for  
4 doing an evaluation. So they kind of dismissed it. They  
5 did indicate -- it was kind of peculiar. Actually, I  
6 found it very odd that they dismissed one of the trends  
7 based on mortality-adjusted statistical analysis that they  
8 were aware of, that industry had conducted, but they  
9 apparently didn't see it, which was a very odd thing for  
10 them to do, in my mind, but that's a different thing.

11           So again, all the -- all the tumors, and there  
12 are very high tumor incidence in these bile duct tumors in  
13 the rats, but it's at the highest dose only. And that's  
14 sort of this what you could easily argue had exceeded a  
15 maximum tolerated dose.

16           Personally, I didn't consider the rat portion of  
17 this to be scientific valid. There -- the survival is  
18 such, and the body weight changes are such that I would  
19 not put weight on the rat portion of this study. And  
20 that's really basically both on the males and females  
21 portion of it.

22           The other rat studies were old studies, as they  
23 indicated, were not adequate for making determination. So  
24 if we go down into the other -- looking at the mouse now,  
25 we have the real strong clear evidence of carcinogenicity

1 in the female mice for lung tumors, 13-fold increase, a  
2 very strong response.

3           And so that's these strongest evidence as well.  
4 The second piece of evidence is in the female mice.  
5 There's an increase in liver tumors. And although, the  
6 trend is not statistically significant, the 2 intermediate  
7 doses have high values of liver tumors, and they're well  
8 outside of the NTP historical control.

9           So the NTP considered this as one of the reasons  
10 for considering that tumor caused cancer in the -- these  
11 female mice, because of this high, high level. So that's  
12 the clear evidence on those two different tissue types.

13           The liver could go some to clear, but I think  
14 because it's so far above historical controls, I'd  
15 consider that to be real evidence.

16           When we get into the forestomach tumors, again,  
17 NTP concluded these may be treatment related, but they --  
18 so I would consider this sort of limited evidence. The  
19 Carlton one, you have a 2 times 2-fold dose relating to  
20 increase in lung carcinomas seen at the highest doses in  
21 the mice now.

22           And in this case, the body weight changes were  
23 not terrible. There was an 18 percent decrease in body  
24 weight gain in the highest dose male mice. And again,  
25 this was discounted by IARC because unpublished

1 mortality-adjusted data.

2           In the female mice, again, there's a significant  
3 trend seen at low dose. The author has indicated that  
4 this was within the historical control values, but they  
5 didn't provide any data. So it's harder to evaluate that.

6           As I said, the hamster study was inadequate, and  
7 there was a study in baboons, pretty unusual, but it was  
8 an unusual -- inadequate length. The length was not  
9 sufficient.

10           So you've got kind of a mixed pattern there. Let  
11 me move on and talk a bit about genotoxicity, because this  
12 is an important component. I found the genotoxicity data,  
13 the in vitro, just in general summary there's some  
14 evidence that coumarin causes genotoxicity in vitro, but  
15 it's a very weak in vitro genotoxin.

16           If you look at the result in TA100 in the rat  
17 liver S9 induced, it's under a 2-fold increase, which  
18 generally is sort of -- a rule of thumb in industry, if  
19 you don't have more than a 2-fold increase in TA100,  
20 that's not considered significant.

21           But NTP considered one trial to be equivocal.  
22 The other one they had a 1.9-fold increase, so they  
23 considered that to be, you know, evidence of -- that was  
24 mutagenic.

25           The other studies -- the 2 other supportive

1 studies are both abstracts, so you don't have the data  
2 there. And then the third one is in a substrain of TA100,  
3 in which most of the substrain was negative, but they did  
4 have a positive result, and they didn't describe the  
5 magnitude of the increase.

6           So there's a weak increase in say TA100, it's on  
7 mutation and bacteria. There's no increase in mutation  
8 see mammalian cells. If you look at the evidence on  
9 chromosomal aberrations in vitro, it's again that it's  
10 very weak. In fact, the concentrations, if you've figured  
11 out the maximum dose for testing currently by OECD  
12 standards is 10 millimolar. The effect is seen at 11  
13 millimolar, so it's outside of the range you would test  
14 normally. That's the maximum test range. For that -- and  
15 that's a weak increase as well.

16           One of the earlier studies by Sanyal et al., I  
17 don't know how they got statistical significance. They  
18 essentially have a 30 percent increase in micronuclei  
19 above control. And they used the Kruskal-Wallis test and  
20 they don't have enough replicates in my mind to pick up  
21 significance. So I don't know how they did that. So, I  
22 mean, there is an increase there, but it's sort of  
23 suggestive.

24           So down the line, if you get into the old  
25 chromosome damage in the plant cells, these are studies



1 from the 1940s and 1950s, there's no data presented. The  
2 quality is the really marginal. In some cases, they  
3 essentially say, rarely chromosome fragments were seen,  
4 and that's what's the basis for, you know, the positive  
5 results.

6           So, for me, the in vitro stuff is -- yeah,  
7 there's some evidence genotoxic in vitro, but it's a  
8 pretty weak genotoxin. When you go in vivo, it doesn't  
9 appear there's any evidence for essentially mutagenicity  
10 and drosophila or chromosomal damage in the bone marrow  
11 cells and peripheral blood cells. In mice, negative in  
12 the UDS assay, which is a little unusual.

13           If you think this is causing point mutations in  
14 the liver, UDS assay is basically a very insensitive  
15 assay, but where it should pick up things or things that  
16 cause point mutations in the liver of the target organ,  
17 and they didn't pick up anything.

18           And the other thing is that it was negative in --  
19 for covalent binding in the liver with -- and the kidney  
20 in both Sprague-Dawley and Fischer 344 rats. So there's  
21 no evidence for genotoxicity in vivo for this.

22           Moving on briefly to the metabolism work. It's  
23 correct that -- I mean, I would agree that there's two  
24 different basic metabolic pathways, one predominance.  
25 It's the one where you have the epoxide form in the

1 rodents. That is a very minor -- relatively minor pathway  
2 in humans. But because there are people who are  
3 polymorphic for CYP2D6, I guess -- or 2A6. 2A6.

4           Anyway, for this 2A6, there's a percentage of  
5 humans probably roughly five percent that would not  
6 metabolize during -- through the one pathway and they  
7 would go most likely through the epoxide pathway.

8           So I can't -- you can't conclude that this is  
9 only seen in rodents, and there's evidence in human  
10 clinical trials of liver toxicity as well.

11           So that's a long -- and the other thing I should  
12 say is that EFSA did review this. They considered the  
13 evidence sufficient. I think they considered that  
14 evidence of carcinogenicity is sufficient in rats, and --  
15 but with supportive evidence in mice. I would probably  
16 flip that around, in my evaluation.

17           So I guess the bottom line on me is this is  
18 really a judgment call. I tend to think that we've got  
19 clear evidence in the female mouse both in the lung and  
20 the liver. I think there's enough evidence when you start  
21 looking around sort of the overall pattern that there's  
22 probably sufficient evidence to list.

23           However, in my recommendation, I would request  
24 that OEHHA really sincerely look at this as an -- for  
25 evidence of genotoxicity, because I do not think this

1 is -- would be considered a genotoxic carcinogen. And  
2 that was the conclusion of EFSA. They've gone through  
3 like 4 reviews of this, and that's their conclusion. It's  
4 a non-genotoxic carcinogen.

5 So that's my sort of summary. I can answer  
6 questions if you have them.

7 CHAIRPERSON MACK: So you're on the offense, but  
8 falling off.

9 COMMITTEE MEMBER EASTMOND: Yeah, I'd probably  
10 lean towards listing but just barely. I could go either  
11 way. And the one think I forgot to say on the genomics  
12 evidence, and Jay kind of summarized this is that the 2  
13 pathways that seem to flag are glutathione changes and  
14 reactive oxygen species. And that's common for other  
15 chemicals that we haven't listed. Acetaminophen. If  
16 you're looking to acetaminophen, it would show those same  
17 patterns almost for sure.

18 So that for itself is not sufficient evidence for  
19 me to push it over the edge.

20 And the one last thing is all the animal studies  
21 we looked at were the same ones as looked at by IARC and  
22 EFSA, I believe too.

23 Thanks

24 CHAIRPERSON MACK: Dr. Zhang.

25 COMMITTEE MEMBER ZHANG: As Joe indicated, this

1 coumarin it is a very interesting compound, when I first  
2 reviewed the OEHHA documents. So to me I feel the animal  
3 data looks to me is pretty strong. You know, multiple  
4 species, multiple cancers, you know -- I mean multiple  
5 different organs. And the dose response same as, you  
6 know, observed in quite a few studies.

7           But what really bothers me is the genotoxicity  
8 data. It seems most of the genotoxicity data from in  
9 vitro, that's positive, and in vivo, you know, either not  
10 tested or negative, so that bothers me.

11           But as what I just -- you know, when I was asking  
12 OEHHA's staff for the questions, but what I'm really glad  
13 to see is I think this document the staff really took the  
14 trouble to really review each of the toxicogenomic studies  
15 one by one, and listed all that. And then use, chose the  
16 one of the study, and they did their own the comparative  
17 toxicogenomic database analysis, so I'm really happy to  
18 see that.

19           As what my Chair assigned me for the major role  
20 is trying to -- leading the discussion on the  
21 toxicogenomic data. And you already see a couple for --  
22 our members raised these questions. So could I ask my  
23 Chair, so last night, I did some -- a little extra work.  
24 So I actually have a few slides, if I can --

25           CHAIRPERSON MACK: Go ahead.

1           COMMITTEE MEMBER ZHANG: -- before I could be  
2 allowed to present here. I could -- just a few slides,  
3 and who am I giving the data to?

4           Okay. Sorry.

5           So when she's loading the slides, I could tell  
6 you just a little story how could I get there?

7           I was not really intentioned to do this. Okay.  
8 Everything is by accident.

9           It's just the one PowerPoint presentation. It  
10 has my name at the end. Okay.

11           So when -- actually, last week I just came back  
12 from Lyon, France for IARC, yeah, working group meeting.  
13 So right after I come back with all the jet lag we had  
14 from that meeting.

15           So in that meeting I should have presented by our  
16 new post doc. I mean, is that, okay? I'm just telling a  
17 little bit of story how did I get to this.

18           So the new post doc named Linda, and they  
19 present -- so she actually has bioinformatic background.  
20 And they presented a study we're trying to using the  
21 existing database or software tried to see how could we  
22 apply this 10 key characteristics trying to predict any  
23 chemicals, especially unknown carcinogenicity chemicals if  
24 we can predict by using this 10 key characteristics.

25           So she present the data to the 2 IARC known, like

1 Group 1 carcinogens, which we are very interested. And  
2 randomly, she also chooses two Group 3 from IARC -- on the  
3 IARC list chemicals. So one of them she has no clue about  
4 the coumarin. I'm on this committee. But it's just the  
5 way she gave a presentation. She has very nice -- by the  
6 way, I also trying -- besides the known carcinogens were  
7 interested, I did two non-carcinogenic is really listed on  
8 the IARC Group 3 is not, you know, carcinogenic. So one  
9 of them is coumarin.

10 I didn't realize until two days ago she actually  
11 did that. So then when I was looking, oh, she already did  
12 coumarin. So yesterday, I was asking could you send me  
13 your slides -- she says what do you have done?

14 So I thought -- then I just get it yesterday  
15 afternoon. And then last night, I'm trying to take a  
16 look. I thought it would be good to just show a few  
17 slides.

18 So last night I'm trying to combine all what she  
19 did and summarize in a few slide. So that's -- the reason  
20 I thought of this is, number one is it's totally  
21 independent from what OEHHA has done with the comparative  
22 toxicogenomics database CTD. Okay. So this is number  
23 one.

24 Number two, and what Linda did is this, it is  
25 considered as lung carcinogen because it's from the Group

1 3 from IARC listing, so -- and also, I look at the -- when  
2 I was review -- I review the documents before. I see what  
3 OEHHA did is one by one from toxicogenomic studies here.  
4 What I'm trying to do, and that's what we are trying to  
5 do, is combine everything. We're not only focused on the  
6 six studies, whatever the studies we can find from this  
7 CTD database.

8 Okay. So let's just start. So that's just the  
9 two -- few days ago we did that. I can -- I don't have  
10 control. Do I have control?

11 --o0o--

12 COMMITTEE MEMBER ZHANG: Okay. So what we're --  
13 first, what is CDT? It's a public available database, and  
14 it is robust. And, you know, the database was really  
15 trying to help us to better understand the interactions  
16 between exposure to the chemicals your interest or -- and  
17 the link to the human disease.

18 So that's what this basically generally  
19 database -- uh-oh. Sorry -- to do -- okay. Here -- so  
20 firstly, is you find all the genes related with your  
21 chemical of interest. Then you find the genes related to  
22 what's the disease you're interested. Then from this CTD  
23 database -- is that okay, I just give you a little  
24 background and how we come up about the data -- and the  
25 chemical and then the disease association. So that's

1 basically what it is.

2 --o0o--

3 COMMITTEE MEMBER ZHANG: So what's the goal of  
4 this little one? We really trying is identify the genes  
5 and the pathways and the key characteristics from the  
6 coumarin exposure. So I'm going to walk you quick through  
7 with a workflow.

8 It's a three-step workflow. The first is get  
9 into the CTD database. You try to obtain all the genes  
10 first -- all the genes associated with the chemical of  
11 your interest. In today's case, it's coumarin. The  
12 second is try to obtain all the genes associated with  
13 disease of your interest. So today let's just focus on  
14 cancer. Okay. So that's a Y. And when you have this two  
15 set of genes, you want to see if they have interactions or  
16 not. If they have no interaction period, you don't have  
17 to do anything, right? So which means chemical X doesn't  
18 have any association with disease Y. But if there is the  
19 association, you first want to see what are the genes  
20 overlapped. So that's first step.

21 Second step when we're trying to now load these  
22 genes -- overlapped genes into another software called  
23 Cytoscape. So in Cytoscape, they have specific app called  
24 the ClueGO. And I know you're using the GO, but here in  
25 Cytoscape, they call it ClueGO. It means what is this



1 genes to tell us? What's the clue.

2           Okay. What is the clue we got from this gene  
3 thing? So in here, what they're telling us is, first,  
4 gave us a visualized gene and gene interaction network, so  
5 you can make sense of what is going on, why -- you know,  
6 just now gave me a list of genes and see what's the  
7 interaction.

8           And it wasn't this really trying to look into  
9 this cluster of genes in a functionally group the network.  
10 That's what they were that is software could tell us. In  
11 the way, it's actually identify the pathways, which really  
12 link the chemical induces desisting, you know, involved  
13 with the specific exposure, with enrichment analysis.

14           So the third step is getting to the specific  
15 pathways called YK pathway, which is really based on the  
16 biological process, and biological pathways, and to  
17 really -- this one could help us to identify the key  
18 characteristics of the human's carcinogen.

19           So that's the goal. Identify genes, pathways,  
20 and the key characteristics. So that's my basic intro.  
21 What do we got?

22           From -- so now, I'm not even talking about  
23 everything. So from the CTD, identify the total of 65  
24 studies, and they involve with the -- any coumarin genes,  
25 you can see the species is human or mice study or rats,

1 but it's some studies from this lots of studies with  
2 coumarin screens, but it didn't even specify what the  
3 species is.

4 So a total of 65 studies we identified from the  
5 CTD. And -- oh, sorry. I'm looking -- my computer  
6 doesn't -- let me close it, so I don't get. Okay.

7 (Laughter.)

8 --o0o--

9 COMMITTEE MEMBER ZHANG: So second we look at the  
10 genes. So you see it from the -- from the table, right?  
11 So from 65 genes identify total of 2 congener 76 gene, but  
12 only 222 is unique genes, because some genes will overlap  
13 with different species. So there's 22 -- 222 genes that's  
14 related with coumarin, but a formula database, there are  
15 more than 3,000 genes related with neoplasm, so related  
16 about all cancer. How many of them overlap?

17 You can see 96 genes overlap. And that's about  
18 43 percent of coumarin genes with related with any type  
19 cancer. So in this term of neoplasms include with cancers  
20 of liver, lung, kidney, you know, what -- and many more  
21 other types.

22 We also did separately with just the liver  
23 cancer. You can also do just liver cancer. But today,  
24 I'm only going to show you the data from cancer in  
25 general.

1                   --o0o--

2                   COMMITTEE MEMBER ZHANG: So second step is what  
3 are all those 96 genes overlap genes tell us. We put this  
4 96 gene name into this pathway analysis by using ClueGO.  
5 So this is all the pathway each does represent each  
6 pathway. How many of them?

7                   So there are 44 pathways identified. But when  
8 you look at the 44 total pathways. Actually, it's only 52  
9 genes from the 96 overlapped genes really involved in the  
10 identified pathways. So which means another 44 genes,  
11 which are not really involved in any pathway. Okay. So  
12 at least now we see what's -- what are the big dots -- all  
13 the big dots means that have more genes involved in this  
14 pathway. So more that's maybe only one or two genes. So  
15 that's -- so you can see what making sense.

16                   Next, what's the pathway? I don't mean you to  
17 have see.

18                   --o0o--

19                   COMMITTEE MEMBER ZHANG: So I'm just going to  
20 show you the top 20 pathways, you know, from the  
21 WikiPathway Analysis. Okay. So you can see the top one  
22 actually is just called NRF2, and 1 and 2 is all involved  
23 either NRF2 or NFE2 or L2. It's also an NRF2.

24                   Okay. And also the oxidative stress pathway is  
25 also on the top of 5. Take a look. Sorry.

1           Okay. I should be fine. I'm just trying to show  
2 you that I just using oxidative stress. Okay. What we  
3 have is in the database 61 genes involved in oxidative  
4 stress. But a sixth gene was identified from the coumarin  
5 related gene.

6           Okay. So then let me just quickly show you  
7 what's the data come out. The -- all the green genes that  
8 6 genes identified from the coumarin involved in oxidative  
9 stress, so -- and also, oxidative stress is one of the 10  
10 key characteristics as number 5 in the -- in the list,  
11 which the table -- I think the document has it.

12                               --o0o--

13           COMMITTEE MEMBER ZHANG: So then when we are just  
14 looking at all the 10 key characteristics and how many  
15 pathways involved with each cases. So here, you can see  
16 the oxidative stress is the number one actually is  
17 involved with 10 pathway -- 10 coumarin-related pathways.

18           Okay. And then the first one metabolic  
19 activation, but I think that's because mostly they are  
20 involved as P450, and NSR.

21           So if you want to have detail, each one they give  
22 you, you know, a table of the list of what genes are  
23 involved in which pathways. So I'm just showing here.

24           So what have we actually learned from this is you  
25 can see the red -- 2 red. That's pretty straightforward

1 and we really see oxidative stress, metabolic activation,  
2 like there you have crushing about. But actually, you  
3 know, from the data analysis actually it seems to show up  
4 mostly effective, but maybe it's different questions. Is  
5 only the genes involved with this? But actually you want  
6 to think about that.

7 COMMITTEE MEMBER EASTMOND: Mine was nucleotide  
8 binding, which --

9 COMMITTEE MEMBER ZHANG: Right. Right. There's  
10 a different

11 COMMITTEE MEMBER EASTMOND: -- you have nothing  
12 for genotoxicity coming up, right?

13 COMMITTEE MEMBER ZHANG: Yes. Okay. We can go  
14 through. Why -- okay. That's good question. Why  
15 genotoxicity pathways is zero. If you think, most of the  
16 genotoxicity data coming from chromosome aberration,  
17 micronuclei, comet assay, you know, or mutations, right.  
18 Except for the mutations, you may have identified specific  
19 genes. Other things do not involve with specific genes.  
20 For this database is all based on specific genes has been  
21 tested with coumarin exposure.

22 So that's why the genotoxicity pathway is not  
23 shown here, unless we have specific -- the genes, you  
24 know, like mutation, which would have been involved in the  
25 database. That's my best explanation. We can discuss

1 about that.

2 I almost finished, then we can come back to this.

3 So what we got here is we see 5 key -- or the red  
4 and blue 5 key characteristics are involved in the  
5 potential carcinogenicity of coumarin. So that's  
6 basically...

7 --o0o--

8 COMMITTEE MEMBER ZHANG: Summarize up from the  
9 CDT database what do we have seen? Coumarin genes  
10 2,222 -- and the cancer genes it 3,152, overlap genes are  
11 96. From 96 genes, we're trying to -- you know, in there  
12 the 96 genes, we look at the pathways analysis. And what  
13 we see is 44 of genes they're not involved with any  
14 pathway, 52 yes, and then pathway involved with coumarin  
15 as the 44 pathways.

16 And then when we did the WikiPathways Analysis,  
17 but which I have to say, because we run out of time  
18 yesterday, we only did a very crude analysis about the key  
19 characteristics. So that's 5 out of 10. So we didn't  
20 have a chance to do the detail. So that's basically what  
21 I have got. Thank you so much.

22 I don't know if I made it in 10 minutes, but  
23 actually just tell you the whole story.

24 CHAIRPERSON MACK: Do you think we should list it  
25 or not?

1 (Laughter.)

2 COMMITTEE MEMBER ZHANG: What? Okay. So here --

3 (Laughter.)

4 COMMITTEE MEMBER ZHANG: Here is -- I have to say  
5 when I read what OEHHA provided me in the chapter 3.3.7  
6 and 3.3.8, I feel on the fence.

7 (Laughter.)

8 COMMITTEE MEMBER ZHANG: Because it's each study,  
9 right. You have to think and you have to go in through --  
10 issue I gave you different gene as to what's really making  
11 sense. So I'm actually glad we finally, you know, as  
12 really is by accident. You know, my lab, you know,  
13 post -- new post-doc did this, and which allowed me at the  
14 very last minutes -- actually, I had it last night working  
15 really hard to put this few slides together. And then  
16 after I did this myself, and I look at the general broad  
17 database, that make me more convinced that from genes  
18 pathways and the key characteristics from all 3 different  
19 I would vote to list. Is that what you want to ask me?

20 CHAIRPERSON MACK: Yes, that's fine.

21 Jason, do you have thinking to add?

22 COMMITTEE MEMBER ZHANG: Sorry, we're sharing.

23 Good sharing

24 COMMITTEE MEMBER BUSH: I have a slide show as  
25 well.

1 (Laughter.)

2 COMMITTEE MEMBER BUSH: Teasing, teasing.

3 (Laughter.)

4 COMMITTEE MEMBER BUSH: So. So let me give you  
5 my interpretation of this. Thank you to OEHHA for  
6 compiling the HID document, and thank you to the Consumer  
7 Specialty Products Association, Council for Responsible  
8 Nutrition, and International Fragrance Association for  
9 submitting your comments.

10 I read and evaluated your concerns refuting the  
11 coumarin report. Like David, when I first looked at this,  
12 I thought it was clear. But as I dug into it, I found  
13 that the animal data, the multiple rodent studies across  
14 multiple tumor types, less -- less convincing.

15 One thing I found particularly disconcerting was  
16 the presence of the lung tumors, and, you know, possible  
17 extrapolation to -- you know, to use in tobacco products  
18 or vaping products.

19 But looking at the hepatocellular carcinomas, the  
20 cholangiosarcomas, I attributed that -- while the data was  
21 compelling, the CYP2A6 polymorphisms, I contributed that  
22 more to the cytotoxicity rather than carcinogenicity. And  
23 you know, cholangiosarcomas are derived from connective  
24 tissue in hepatobiliary area. And to me, that is more a  
25 result of an inflammatory response.



1           And it was interesting to see your  
2 interpretation, Luoping, having that chronic inflammation  
3 those -- that particular pathway.

4           COMMITTEE MEMBER ZHANG: Three pathways. Three  
5 pathways involved.

6           COMMITTEE MEMBER BUSH: Yeah. Right. Right.

7           You know, and while there is some clinical  
8 studies out there, I found showing the hepatotoxicity -- I  
9 think it's cytotoxicity. And, you know, any connection  
10 with clinical studies was more tenuous.

11           The genotoxicity observations, you know, I think  
12 were suggestive of DNA repair inhibition. But beyond  
13 that, I wasn't particularly convinced of any of the other  
14 mutational information.

15           I was also interested in the cell transformation  
16 information, but there was only limited studies there. I  
17 think one study on human fibroblasts showing marginal cell  
18 transformation with coumarin alone, and that only occurred  
19 at high dose.

20           In terms of the KEGG and GO pathway information.  
21 And I have quite a bit of experience with this on my own  
22 from my proteomic work. It is microarray data, a single  
23 study. And I think we have to be careful there. You  
24 know, often this -- this data can be misleading. And my  
25 interpretation was that it warrants further validation,

1 rather than, you know, making too much of that data.

2 So I find myself, you know, concurring with, you  
3 know, the other authoritative bodies that -- for me, the  
4 weight of the evidence, at this point, is too limited.  
5 And I would vote not to -- not to list at this time.

6 CHAIRPERSON MACK: Thank you.

7 Dr. Dairkee.

8 COMMITTEE MEMBER ZHANG: Could I ask a question?  
9 Sorry.

10 So, Dr. Bush, you -- I thought it is the mutation  
11 data is -- but my understanding I thought the mutation  
12 data they're pretty convincing at least from the Ames test  
13 in the -- in the, you know, the bacterial tests. They are  
14 repeated and consistently come up with this one specific  
15 target. And so do you -- you don't think the bacterial  
16 data counts?

17 COMMITTEE MEMBER BUSH: I put less weight on the  
18 Ames test than looking at eukaryotic cells. And for that,  
19 I wasn't convinced.

20 CHAIRPERSON MACK: Dr. Dairkee.

21 COMMITTEE MEMBER DAIRKEE: Yes. With all the  
22 comprehensive reading material we were given, I was not on  
23 the fence at all. It was very, very helpful, very clear  
24 to me as to how I feel about this -- this chemical.  
25 Especially looking at the mechanistic data, it is very

1 clear that the cytotoxicity, as Jason pointed out, goes  
2 along with the necrosis, the atrophy, the nephropathy.  
3 All of that seems to make so much sense, because that's  
4 what cytotoxicity does. It kills cells.

5           And because the evidence on cell proliferation is  
6 very inconsistent as well, in vitro, that the agent -- the  
7 chemical does not induce cell proliferation. In fact, it  
8 induces apoptosis. And when you look at all the genes  
9 that are going up, they are apoptosis genes. So it's not  
10 even inducing evasion of apoptosis, which is why -- or  
11 cell death, which is why -- and it's not causing cell  
12 proliferation. So obviously, you are having cell death  
13 going on.

14           And in vivo and the in vitro data are quite  
15 compatible. So even if there is some level of  
16 genotoxicity, if the cells are not able to survive past  
17 that, how are they going to make cancer? They cannot be  
18 cancerous.

19           So, in my opinion, the evidence really points to  
20 the fact that this may be a nasty chemical at high doses  
21 in terms of toxicity. But there's really no strong  
22 evidence mechanistically for carcinogenicity.

23           CHAIRPERSON MACK: Peggy.

24           COMMITTEE MEMBER REYNOLDS: Well, I just have to  
25 say as a mere epidemiologist, it was very helpful to hear

1 these discussions. I was primarily focused on the animal  
2 studies. And I felt like the evidence was extremely mixed  
3 and fragile. And so I was completely on the fence and did  
4 not feel strongly to list based on that.

5 CHAIRPERSON MACK: Okay. Well, I -- everybody is  
6 on the fence. And, of course, I am too, but I'm going to  
7 fall off. The thing that impressed me the most was the  
8 cholangiosarcomas, because even at very high doses it  
9 means that there's a potential for carcinogenicity.

10 I don't pay too much attention to the in vitro  
11 studies when that's true, because I don't know what the  
12 mechanisms is. But in the empirical piece of information  
13 from the rats at least, it causes carcinoma.

14 And our mandate, unlike that at IARC is not to  
15 decide for sure that it causes carcinoma in people, it's  
16 to whether it causes cancer. That's the way the wording  
17 is in the legislation. So I have to say that I think that  
18 that's real. And I'm motivated by something else, which  
19 may or may not be pertinent, but it sticks in my mind.

20 Cholangiocarcinoma is not a very common cancer in  
21 the United States. It's very rare, in fact. But there's  
22 one place where it is the single most common lethal  
23 cancer. And it's more lethal in that place than  
24 hepatocarcinoma, which ought to be the most lethal cancer.  
25 In Khon Kaen Province in Northeastern Thailand, this is

1 where cholangiocarcinoma is the most common cancer.

2           And the reason it is is not due to coumarin, as  
3 far as we know, and from what I'm told there's no reason  
4 to think there's any coumarin there. But both of my  
5 questions were related to this, because  
6 cholangiocarcinomas is a carcinoma of the -- in people and  
7 in rats as well, a carcinoma of those bile ducts that are  
8 within the liver, not after the liver, but within the  
9 liver.

10           And in Southeast Asia that carcinomas has caused  
11 quote unquote one of the causes of it is a parasite of  
12 fish that people eat raw in Northeastern Thailand and  
13 Laos. And the organism that the parasite is a fluke and  
14 the fluke lives in that -- those -- in those bile ducts  
15 within the liver for up to 20 years.

16           And the presumption is always that it causes  
17 cholangiocarcinoma by virtue of simple abrasion and injury  
18 to the cells of the bile duct. But we all know that for  
19 the most part, that's not enough to cause the cancer, at  
20 least it is for most kinds of carcinoma. So one always  
21 assumes there must be something else going on, and I don't  
22 know what it isn't, and I'm sure it's not coumarin. But  
23 cholangiocarcinoma is an important carcinoma. And even if  
24 it's caused in rats by very high doses, to me, it means  
25 that coumarin can cause cancer under some circumstances.

1 And I have to assume it probably can elsewhere as well.

2 So my vote is for listing.

3 So what do we do now?

4 Make a vote.

5 All right. So let me go to the right words to  
6 make sure I don't upset Carol.

7 Okay. The question is has coumarin been shown  
8 through scientifically valid testing, according to  
9 generally accepted principles, to cause cancer?

10 Now, may I have yes votes to that question by  
11 hand raises.

12 (Hands raised.)

13 CHAIRPERSON MACK: One, two, three. Three and a  
14 half, three and a half, going for three and  
15 three-quarters.

16 Four. Four out of -- so let's count again.

17 Maybe I missed --

18 Only one, two, three, four.

19 Okay. The vote is not -- well let me just ask  
20 now the other question.

21 All those voting no, please raise their hand?

22 (Hands raised.)

23 CHAIRPERSON MACK: One, two.

24 Four yeses and two noes.

25 Five votes are required to add a chemical to the

1 list.

2 COMMITTEE MEMBER REYNOLDS: Abstain.

3 CHAIRPERSON MACK: And we have and abstention,  
4 but it's irrelevant.

5 CHAIRPERSON MACK: So we do not vote to list --  
6 add coumarin to the list.

7 Did I count myself?

8 I must have, 4 to 2.

9 DIRECTOR ZEISE: There's 7 here.

10 CHAIRPERSON MACK: Okay. So we're finished with  
11 that particular item on the agenda, correct?

12 DIRECTOR ZEISE: Does the court reporter --

13 CHAIRPERSON MACK: Carol.

14 CHIEF COUNSEL MONAHAN CUMMINGS: I'm just  
15 thinking we need to take a break at least for the  
16 reporter. I know we've got other stuff and we'd like to  
17 go quickly, but I think we need to at least take a short  
18 break.

19 Could we do 15 minutes. Would that work for you?

20 THE COURT REPORTER: That's fine.

21 CHIEF COUNSEL MONAHAN CUMMINGS: You want to do  
22 that instead of taking a lunch break.

23 CHAIRPERSON MACK: How many minutes, 15 minutes?

24 CHIEF COUNSEL MONAHAN CUMMINGS: Uh-huh.

25 CHAIRPERSON MACK: Okay.

1 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. Thank  
2 you.

3 (Off record: 12:09 p.m.)

4 (Thereupon a recess was taken.)

5 (On record: 12:21 p.m.)

6 CHAIRPERSON MACK: Okay. Can we reconvene,  
7 please?

8 Okay. Here.

9 DIRECTOR ZEISE: Yes.

10 CHAIRPERSON MACK: Okay. The next item is a  
11 consent item, in which the Committee is asked to consent  
12 to the update of the California Code of Regulations title  
13 27, section 27000, the list of chemicals which have not  
14 been adequately tested as required. So this list is  
15 basically a list of chemicals which are both under  
16 question for both -- both carcinogenicity and -- I'm  
17 blocking on the word --

18 DIRECTOR ZEISE: Reproductive toxicity.

19 CHAIRPERSON MACK: Reproductive toxicity.

20 So the Committee just asked to give their consent  
21 to maintaining the same list. There are really only a  
22 couple of carcinogen potentials on the whole list. Carol,  
23 do you want to say something?

24 CHIEF COUNSEL MONAHAN CUMMINGS: Right. So as  
25 Dr. Mack said, this is a consent item. We're going to try



1 it this way at this meeting.

2 And so if -- let me see.

3 (Thereupon an overhead presentation was  
4 presented as follows.)

5 CHIEF COUNSEL MONAHAN CUMMINGS: If you recall,  
6 you received a document that looks something like this  
7 from us where it was a staff report that -- ahead of the  
8 meeting, and we also posted this report on our website  
9 that is shown in this slide. There's a copy available at  
10 the back of the room for the public if anybody wishes to  
11 see it.

12 The specific item you're voting on is amendments  
13 to -- that are shown in section 6 of that report. This  
14 item is on the agenda for your consent. This means you  
15 just need to vote yes or no concerning the changes OEHHA  
16 proposes to make to this Section 2700[SIC] list of  
17 chemicals that need further testing. And this is based on  
18 information obtained by OEHHA from the Department of  
19 Pesticide Regulation and U.S. EPA.

20 The section 2700[SIC] list is informational and  
21 has no regulatory effect.

22 Next slide.

23 --o0o--

24 CHIEF COUNSEL MONAHAN CUMMINGS: That's me. Next  
25 slide. Okay. So for purposes of this Committee, there's

1 only two changes to the list that are proposed in the  
2 staff report. You can see these on this slide. The other  
3 changes to the list will be considered by the DART IC  
4 Committee at their meeting later this month.

5 OEHHA staff is recommending that you vote yes, so  
6 that we can make the necessary changes to the list  
7 described in the staff report.

8 Does anyone have any questions before Chairman  
9 Mack requests a vote?

10 CHAIRPERSON MACK: Like the previous discussion,  
11 there doesn't seem to be any question at all, Carol.

12 CHIEF COUNSEL MONAHAN CUMMINGS: Okay. Good.

13 CHAIRPERSON MACK: So I go ahead and ask for the  
14 vote?

15 Based upon the recommendations of the OEHHA staff  
16 report should Section 27000 of Title 27 in the California  
17 Code of Regulations be amended as indicated in section 6  
18 of the staff report?

19 Would everybody voting yes, please raise their  
20 hands?

21 (Hands raised.)

22 CHAIRPERSON MACK: Unanimously approved.

23 No votes for no, so the result is 6 votes yes,  
24 and no votes no.

25 So now we go on to the next item on the agenda.

1 CHIEF COUNSEL MONAHAN CUMMINGS: Sorry, that was  
2 7 yes, 0 no.

3 DIRECTOR ZEISE: Because there's 7.

4 CHAIRPERSON MACK: You want to do that?

5 Are you going to do it?

6 CHAIRPERSON MACK: Basically, we've come to the  
7 staff updates. So we're talking about the Prop 65  
8 chemicals that have been added since November.

9 I can read them, but I guess somebody else --  
10 yeah, please. Go ahead, my dear.

11 MS. RAMIREZ: Okay. Since your last meeting,  
12 weve added a total of 5 chemicals administratively for  
13 causing cancer, and 4 for causing reproductive toxicity.  
14 The first slide here shows that for cancer the following  
15 chemicals were added: Glyphosate, by the Labor Code  
16 listing mechanism; Pentabromodiphenyl ether mixture [DE71  
17 (technical grade)] by the authoritative bodies listing  
18 mechanism; and N,N-dimethylformamide;  
19 2-mercaptobenzothiazole; and tetrabromobisphenol A by the  
20 Labor Code listing mechanism.

21 --o0o--

22 MS. RAMIREZ: The second slide shows that for  
23 reproductive toxicity Vismodegib was added for all three  
24 endpoints, developmental, female reproductive, and male  
25 reproductive toxicity via the formally required listing

1 mechanism

2           Pertuzumab was added for the developmental  
3 endpoint also by the formally required listing mechanism.

4           And perfluorooctanoic acid, PFOA, and  
5 perfluorooctane sulfonate, PFOS, where both added for the  
6 developmental endpoint via the authority bodies mechanism.

7                           --o0o--

8           MS. RAMIREZ: The next slide has the chemical  
9 under consideration for administrative listing, vinylidene  
10 chloride. The far right column indicates the date of the  
11 notice of intent to list. That was September 22nd, 2017.

12                           --o0o--

13           MS. RAMIREZ: And this next slide shows that  
14 since your last meeting 8 safe harbor levels have been  
15 adopted in regulation effective July 1st, 2017. A no  
16 significant risk level has been adopted for styrene. And  
17 maximum allowable dose levels have been adopted for  
18 ethylene glycol (ingested), and for oral exposures to each  
19 of the 6 triazine compounds.

20                           --o0o--

21           MS. RAMIREZ: On this last slide, as you can see,  
22 we've also proposed safe harbor levels for 3 chemicals.  
23 No significant risk levels have been proposed for  
24 malathion, glyphosate, and vinylidene chloride.

25           And now I'll turn things back over to Carol.

1 Thank you.

2 CHAIRPERSON MACK: Thank you. Now, we go on to  
3 litigation.

4 CHIEF COUNSEL MONAHAN CUMMINGS: Right.  
5 Litigation.

6 All right. So the good news since our last  
7 meeting is that there have been no new lawsuits filed  
8 against OEHHA. Now, there will be just because I said  
9 that, but there -- the existing cases -- active cases are  
10 all now in the court of appeal. The only trial court  
11 cases pending are derivative, and one that's not a Prop 65  
12 case. We did settle the case Syngenta versus OEHHA that  
13 related to the no significant risk level for  
14 chlorothalonil, so that case has been dismissed.

15 And all the other cases have been fully briefed.  
16 We expect to hear, at some point, from the court of appeal  
17 for a hearing. They are the American Chemistry Council  
18 case that challenged the listing of BPA as a developmental  
19 toxin, also, the American Chemistry Council case  
20 challenging the listing of DINP, the Syngenta case  
21 challenging the listing of the triazines, a case filed by  
22 Mateel challenging our lead maximum allowable dose level,  
23 the challenge by Monsanto to the listing of glyphosate.

24 So all of those cases we expect, at some point,  
25 to be heard by the court of appeal. If I had to guess,

1 the most likely one to be heard early next year is the  
2 Monsanto case, because they have successfully requested a  
3 early hearing date on that case. We don't know exactly  
4 when it's going to get set. It's in the Fifth District  
5 Court.

6 So does anybody have questions?

7 CHAIRPERSON MACK: Is glyphosate Roundup.

8 CHIEF COUNSEL MONAHAN CUMMINGS: Glyphosate is in  
9 Roundup, yes.

10 CHAIRPERSON MACK: Any comments or questions  
11 for -- Dr. Landolph.

12 COMMITTEE MEMBER LANDOLPH: Usually, with the  
13 administrative listings, I usually look at them, and I  
14 usually agree with them because they've been so thought  
15 out already, so I don't say anything. But the last set,  
16 you know, they sent out, I agreed with them all. So I  
17 didn't say anything to you. Is that okay? Do you -- I  
18 think that's what most people do probably.

19 CHIEF COUNSEL MONAHAN CUMMINGS: Right. Well,  
20 it's our practice to send you notices when we do  
21 administrative listings. And you always have the option  
22 as individuals to comment on whether or not you think that  
23 that listing is appropriate under that particular listing  
24 mechanism, but you're not required to make a comment.

25 COMMITTEE MEMBER LANDOLPH: So you should assume

1 that if you don't hear from me, that means everything is  
2 okay.

3 CHIEF COUNSEL MONAHAN CUMMINGS: Correct.

4 COMMITTEE MEMBER LANDOLPH: If I don't like  
5 something, I'll let you know, but usually they're okay.

6 CHIEF COUNSEL MONAHAN CUMMINGS: Okay.

7 COMMITTEE MEMBER EASTMOND: Let me ask as a  
8 follow-up question. So what if we believe that listing  
9 was not correct. The problem is these authoritative  
10 bodies one are done pretty much automatically based upon  
11 sort of statute. So even if I didn't think something  
12 should be listed, what impact does that have in the  
13 decision-making process?

14 CHIEF COUNSEL MONAHAN CUMMINGS: Well, that's got  
15 a two-part answer. First, this Committee has identified  
16 authoritative bodies for purposes of listing carcinogens.  
17 So if, for some reason, you -- you noticed that a  
18 particular authoritative body is identifying chemicals  
19 that you don't think should be listed, then you always  
20 have the option to change that designation, and say  
21 they're no longer an authoritative body. That would have  
22 to be done by the Committee in, you know -- through a  
23 regular process.

24 If it's a listing under one of the other  
25 mechanisms, for example, the Labor Code or formally

1 required, you can make a comment as an individual on the  
2 Committee and say why you don't think that it should be  
3 listed. But then we'd still have to look at that in the  
4 context of the criteria in the regulation and the statute  
5 to see if it should still be listed.

6 COMMITTEE MEMBER EASTMOND: So I was surprised to  
7 see glyphosate was listed under Labor Code and not  
8 authoritative body. That was --

9 CHIEF COUNSEL MONAHAN CUMMINGS: Well, actually  
10 the International Agency for Research on Cancer is both an  
11 authoritative body and a source for listings under the  
12 Labor Code. And generally, we propose the listings  
13 through the Labor Code mechanism. Unless there's some  
14 confusion or something that needs to be fleshed out more  
15 in a public comment process, then we can put it through  
16 the authoritative body process.

17 So normally, we put them through the Labor Code,  
18 unless there's a -- there's a particular reason to put  
19 them through the other mechanism, but we can use either  
20 one for them.

21 COMMITTEE MEMBER LANDOLPH: So if a member of the  
22 CIC said they don't like this listing by authoritative  
23 bodies, we challenge it, then what would happen? Would  
24 OEHHA internally adjudicate that or would it come back to  
25 the Committee?



1 CHIEF COUNSEL MONAHAN CUMMINGS: Well, I think  
2 what we would do with any comments that you make on the  
3 proposed listings is consider them in light of the  
4 criteria for that listing mechanism for that particular  
5 chemical. So if you say, for example, you don't think it  
6 meets the criteria for listing, because it's not well  
7 identified, or it wasn't a final decision, or the science  
8 is not strong enough to support the decision by the  
9 authoritative body, then we would consider that in much  
10 the same way as we do other public comments.

11 But the other situation is where if you thought  
12 that a particular body was making decisions kind of  
13 routinely adverse to what you all would do, then you  
14 always have the opportunity to change the designation of  
15 your -- the authoritative body and not identify them  
16 anymore.

17 And in the alternative, you can also add  
18 authoritative bodies, which we really haven't done for  
19 many years.

20 COMMITTEE MEMBER LANDOLPH: Well, that would be  
21 pretty strin -- you know, pretty severe. I mean,  
22 occasionally they might make a mistake. Mistakes happen.  
23 So what if we thought it was a mistake, but they were  
24 generally a reasonable authoritative body, could we  
25 consider it by the Committee again?

1 CHIEF COUNSEL MONAHAN CUMMINGS: The chemical  
2 itself?

3 COMMITTEE MEMBER LANDOLPH: Yes.

4 CHIEF COUNSEL MONAHAN CUMMINGS: No, not  
5 generally. If it meets the criteria for listing in any of  
6 the four listing mechanisms, we have to list it. But like  
7 I said, if you have a concern about a listing, then I  
8 would encourage you to make those comments, so we can  
9 consider them while we're -- before we may finish the  
10 listing process.

11 For chem -- if a chemical gets to a certain point  
12 in the authoritative listing process, and we determine  
13 that maybe it doesn't meet the criteria anymore - we  
14 thought it did, but it doesn't - we will take that  
15 chemical to you for consideration before we decide whether  
16 or not to list it.

17 CHAIRPERSON MACK: You'll just have to write an  
18 op-ed. Okay?

19 (Laughter.)

20 COMMITTEE MEMBER LANDOLPH: Pardon?

21 CHAIRPERSON MACK: You'll just have to write an  
22 op-ed.

23 COMMITTEE MEMBER EASTMOND: I mean, I'll give you  
24 a case in point. A number of years ago when I was first  
25 on the Committee, we deliberated on trichloroacetic acid

1 at great length, and concluded that although tumors were  
2 induced in rodents, that they were not relevant to humans.  
3 A number of years later, it was listed through the  
4 authoritative bodies mechanism, or Labor Code, inde --  
5 regardless of what we had concluded.

6 And you know that basically kind of undermines --  
7 I find it sort of undermining the credibility of your  
8 Committee, if you think your Committee of experts has  
9 reviewed this, evaluated, and they've reached a  
10 conclusion, and then you list it regardless. It strikes  
11 me as not really following the recommendations or advice  
12 of the Committee.

13 CHIEF COUNSEL MONAHAN CUMMINGS: Well, I think  
14 that the -- the issue is the way that the statute is  
15 written. It has these independent listing mechanisms that  
16 aren't -- there's no hierarchy. So if -- as I said, if it  
17 meets one of those listing mechanisms, we have to list it.

18 And there's -- it's not that uncommon for there  
19 to be a difference of opinion between the different  
20 authoritative bodies or other groups. So I agree that it  
21 is uncomfortable. Sometimes, it's because there's newer  
22 evidence, but it's the way that the statute is written.

23 COMMITTEE MEMBER LANDOLPH: One more question.  
24 Sorry, one more question.

25 The chemical that's being considered on appeal,

1 it was one of those plasticizer chemicals, do we still  
2 have to hold on to documentation about that?

3 CHIEF COUNSEL MONAHAN CUMMINGS: The DINP?

4 COMMITTEE MEMBER LANDOLPH: Yeah.

5 CHIEF COUNSEL MONAHAN CUMMINGS: Yes, until the  
6 case is resolved. It's been sitting in the court of  
7 appeal now for probably close to 2 years, but it just  
8 hasn't been set for hearing.

9 COMMITTEE MEMBER LANDOLPH: Thank you.

10 COMMITTEE MEMBER EASTMOND: One quick question.  
11 A few years ago Governor Brown was trying to advance some  
12 changes in Prop 65 in the evaluation. Is he pursuing that  
13 at all, or has he kind of tabled that or stopped any  
14 efforts? Is that still moving forward?

15 CHIEF COUNSEL MONAHAN CUMMINGS: Well, there was  
16 an effort -- a pretty extensive effort to do some updates  
17 and modifications to the statute. As you may know, the --  
18 it can only be changed by a two-thirds majority vote of  
19 the legislature, plus a finding that whatever change  
20 furthers the purpose of this statute. It's very difficult  
21 to get that. And he brought together a very large group  
22 of industry and NGOs, and a whole group of folks,  
23 including us, and we worked pretty hard to try and come up  
24 with something that would get through the legislature, but  
25 just ultimately weren't successful.

1           DIRECTOR ZEISE: You know, there was a -- coming  
2 out of that process also, we've changed the regulation  
3 governing how warnings are -- safe harbor warnings are  
4 given. And I wonder if at the next meeting, it would be  
5 helpful to -- for us to make a presentation to the  
6 Committee, because it does address some of the issues that  
7 came up in that process. So we can do that next meeting.

8           CHAIRPERSON MACK: Go ahead.

9           DIRECTOR ZEISE: Okay. So I'll summarize the  
10 Committee's actions.

11           The Committee considered whether or not coumarin  
12 had been clearly shown through scientifically valid  
13 testing, according to generally accepted principles to  
14 cause cancer. There were 4 votes for, 2 against, and 1  
15 abstention. It requires 5 yes votes to add a chemical to  
16 the list, so coumarin won't be added to the Prop 65 list.

17           Then the Committee considered the Section  
18 2700[SIC] additions and removals of chemicals requiring  
19 testing based on federal and State requirements. And the  
20 Committee considered that as a consent item. All  
21 Committee members present voted yes, so that amendment  
22 will be -- proceed through the regulatory process.

23           And so that's it for the Committee actions. And  
24 I just wanted to thank all the Committee members for again  
25 coming to the meeting, and spending so much time in

1 preparation of the meeting, all your careful consideration  
2 that went into -- I know -- we all know that you're so  
3 busy. So we really appreciate your input and donating  
4 your time to State service. So thank you.

5           And I'd like to thank the members of the public  
6 for your participation at the meeting, and for those  
7 listening on the webcast. And then, of course, the RCHAB  
8 and Implementation staff to put on these meetings and to  
9 prepare the hazard identification materials as you can see  
10 is a huge task. And the staff I think -- I've heard a  
11 number of compliments about the document, and -- that was  
12 produced for the hazard identification. So I just want to  
13 thank the staff again for all the work on that.

14           So now to you, Dr. Mack.

15           CHAIRPERSON MACK: Since they all work for  
16 Lauren, it's not -- that's a pretty shallow thank you. So  
17 I'm going to --

18           (Laughter.)

19           CHAIRPERSON MACK: -- thank you instead. You  
20 guys did a lot of work, and we really appreciated your  
21 doing it.

22           Thank you very much.

23           All right. I formally will adjourn the meeting  
24 now. Thank you very much.

25           (Thereupon the Carcinogen Identification

Committee adjourned at 12:41 p.m.)

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

## 1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand  
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the  
5 foregoing California Office of Environmental Health Hazard  
6 Assessment, Carcinogen Identification Committee was  
7 reported in shorthand by me, James F. Peters, a Certified  
8 Shorthand Reporter of the State of California, and  
9 thereafter transcribed under my direction, by  
10 computer-assisted transcription;

11 I further certify that I am not of counsel or  
12 attorney for any of the parties to said workshop nor in  
13 any way interested in the outcome of said workshop.

14 IN WITNESS WHEREOF, I have hereunto set my hand  
15 this 16th day of November, 2017.

16  
17  
18  
19 

20  
21  
22 JAMES F. PETERS, CSR  
23 Certified Shorthand Reporter  
24 License No. 10063  
25