

MEETING  
STATE OF CALIFORNIA  
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT  
PROPOSITION 65  
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT  
IDENTIFICATION COMMITTEE

JOE SERNA JR.  
CAL/EPA HEADQUARTERS BUILDING  
1001 I STREET  
BYRON SHER AUDITORIUM  
SACRAMENTO, CALIFORNIA

MONDAY, MARCH 18, 2013  
10:02 A.M.

TIFFANY C. KRAFT, CSR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 12277

APPEARANCES

COMMITTEE MEMBERS:

Ellen B. Gold, Ph.D., Chairperson

Laurence Baskin, M.D.

Aydin Nazmi, Ph.D.

Isaac Pessah, Ph.D.

Meredith Rocca, Ph.D., D.A.B.T.

Catherine VandeVoort, Ph.D.

Tracey Woodruff, Ph.D., MPH

STAFF:

Dr. George Alexeeff, Director

Ms. Carol Monahan-Cummings, Chief Counsel

Dr. James Donald, Chief, Reproductive Toxicology and  
Epidemiology Section

Dr. Poorni Iyer, Staff Toxicologist

Dr. Melanie Marty, Assistant Deputy Director for  
Scientific Affairs

Dr. Lauren Zeise, Deputy Director, Scientific Affairs

ALSO PRESENT:

Mr. Stanley W. Landfair, Bayer CropScience, LP

Dr. Jay Murray, Murray and Associates

Ms. Gretchen Lee Salter, Breast Cancer Fund

Dr. Larry Sheets, Bayer CropScience, LP

Ms. Andria Ventura, Clean Water Action

INDEX

	<u>PAGE</u>
I. Introduction and Administration of the Oath of Office to Newly Appointed Members	3
II. Consideration of Chemicals as Known to the State to Cause Reproductive Toxicity	
A. Deltamethrin	
Staff Presentation	10
Committee Discussion	30
Public Comments	52
Committee Discussion and Decision	119
III. Overview of the Process for Providing Review of OEHHA Proposed Maximum Allowable Dose Levels	
Staff Presentation	142
Public Comments	
Committee discussion and recommendations	159
IV. Staff Updates Proposition 65 Litigation	162
V. Committee Comments	
VI. Public Comments	165
VII. Summary of Committee Actions/Close Meeting	166



1 rise.

2           And actually, first of all, why don't we start --  
3 before we do the oath, let's just have the members  
4 introduce themselves. And for the three new members, if  
5 you can say a little bit about yourself. We had all the  
6 other members explain a little bit about their background  
7 in the first meeting. Why don't we go ahead and do that  
8 first so we know who is being sworn in. Why don't we  
9 start at the far right?

10           COMMITTEE MEMBER VANDEVOORT: I'm Catherine  
11 VandeVoort. I'm a professor in obstetrics and gynecology  
12 in the School of Medicine at the University of California  
13 Davis.

14           COMMITTEE MEMBER WOODRUFF: My name is Tracey  
15 Woodruff. I'm a professor in the very same department,  
16 but not at the same school. Department of Obstetrics,  
17 Gynecology and Reproductive Sciences in the School of  
18 Medicine at the University of California San Francisco.  
19 And my research area is epidemiology, evaluating  
20 environmental chemical exposures during pregnancy, and how  
21 that might be linked to various types of birth outcomes.

22           DIRECTOR ALEXEEFF: And Dr. Pessah. Since we  
23 seem to be doing the three new members first.

24           COMMITTEE MEMBER PESSAH: I'm Isaac Pessah. I'm  
25 Professor and Chair of the Department of Molecular

1 Biosciences at the U.C. Davis. I was trained as a  
2 toxicologist with a specialty in developmental  
3 neurotoxicology.

4 DIRECTOR ALEXEEFF: Why don't we start at the far  
5 right and move back. This will wake everybody up.

6 COMMITTEE MEMBER BASKIN: Larry Baskin, UCSF.

7 COMMITTEE MEMBER ROCCA: Meredith Rocca, Director  
8 of Nonclinical Toxicology at Janssen Alzheimer  
9 Immunotherapy.

10 CHAIRPERSON GOLD: I'm Ellen Gold. I'm Professor  
11 and Chair of Public Health Sciences at U.C. Davis School  
12 of Medicine.

13 COMMITTEE MEMBER NAZMI: I'm Aydin Nazmi. I'm an  
14 epidemiologist and faculty member at Cal Poly State  
15 University.

16 DIRECTOR ALEXEEFF: Okay. Great. So now I'll  
17 ask the three new members to stand, and we'll do the oath.  
18 So you can just sort of repeat after me. And when we  
19 say -- say your name when we say, "I," and then space.

20 (Whereupon the oath was administered.)

21 DIRECTOR ALEXEEFF: Thank you. So I have a  
22 couple of introductory comments.

23 First of all, first, I want to thank all the  
24 members for being here today. And this is the second  
25 meeting we've had this year.

1 I also want to thank those in the audience who  
2 are attending, and I also want to introduce the staff that  
3 are here in attendance.

4 Before I introduce the staff, I just want to  
5 mention when we do speak, please use your microphone. And  
6 also members of the audience, if you would like to speak,  
7 please use the microphone as well. This is being webcast.

8 Okay. So I'll just start over here. On the far  
9 right here is Dr. Jim Donald. He is the head of our  
10 Developmental Reproductive Toxicology Section. And it's  
11 that section that produces most of the work for this  
12 Committee. So they develop all the materials, and they  
13 are our departmental experts in this particular area.

14 Next to Dr. Donald is Dr. Lauren Zeise. She is  
15 now our Deputy Director for Scientific Affairs.

16 And next to her is Carol Monahan-Cummings, our  
17 legal counsel and this Committee's legal counsel. If you  
18 have any questions with regards to -- that are more of a  
19 legal question, then Carol is the one you should contact.  
20 And she'll work as your counsel as well.

21 And next to Carol is Dr. Melanie Marty, and she's  
22 the Assistant Deputy Director for Scientific Affairs. And  
23 next to her is Allan Hirsch, our Chief Deputy Director.  
24 And always in the red -- it's always important to have  
25 someone dressed in red -- is Cynthia Oshita, who you

1 probably all have met. She's provided you many of the  
2 materials and she will be making a presentation later, I  
3 believe.

4 So that's the introduction. Oh -- hiding,  
5 someone I cannot see, is Dr. Poorni Iyer, who actually  
6 will be making ultimately the presentation for today's  
7 chemical for consideration.

8 Sorry, Poorni. I didn't see you there.

9 So there are a couple things I just wanted to  
10 mention. That is, you know, we prepare materials for the  
11 Panel to assist them in their deliberations. So as part  
12 for this meeting, we prepared materials for the chemical  
13 Deltamethrin, in particular. And so when you're  
14 considering that chemical, we consider the hazard  
15 materials -- the hazard identification materials. The  
16 information that we prepare -- in this case, it was a  
17 summary document, plus the public comments that are  
18 submitted, as well as the comments that are made during  
19 the meeting here today.

20 And then again as a reminder -- let me just  
21 mention one more thing. In this case, it was a bit  
22 unusual, but it came to our attention that there was some  
23 additional information we should supply to you. And so we  
24 did make a revised document a little bit later in early  
25 this March to you. And then also became aware that access



1 to some of the submitted studies from the registrant to  
2 the Department of Pesticide Regulation, that will be  
3 helpful for you. So we had sent you information about  
4 accessing those materials as well.

5 So since there was a lot of information there,  
6 you know, you have to -- if you feel you'd like ultimately  
7 more time after today, we can always defer a decision.  
8 You don't have to make a decision today. You can always  
9 defer, if that's what you needed to do.

10 So now I will -- I think that's all that I have  
11 for now. I think right now I will turn over to Dr. Gold,  
12 the Chair.

13 CHAIRPERSON GOLD: Thank you. And good morning.  
14 So I just have a couple of brief comments.

15 As I mentioned at our last meeting, we are  
16 devoted to having an open and transparent process. And so  
17 I have a couple of disclosures to make.

18 First of all, I received a letter from Stan  
19 Landfair on behalf of Bayer asking for one hour for three  
20 of their representatives to present their information.  
21 And we have decided to give each of them 20 minutes,  
22 because we did not receive any other request for  
23 additional time, as was posted on the website. If people  
24 wanted more time, they were supposed to contact us.

25 We will, however, in the afternoon see how many

1 people want to make public comments and how much time we  
2 have available and see how that goes. And as Dr. Alexeeff  
3 said, if need be and we feel like we need more time, we  
4 can always defer the decision. Because the goal is to be  
5 fair and equitable in terms of public comment, but also to  
6 make sure the Committee has enough information to make a  
7 decision.

8           Secondly, I think also a little bit redundant  
9 here, but all Committee members were offered an  
10 opportunity to review the full guideline studies. These  
11 were provided by Bayer on the condition that each member  
12 agreed to sign an affirmation regarding the use of this  
13 information. So members of the public can have access to  
14 the same information by requesting it from the California  
15 Department of Pesticide Regulation, which is here in the  
16 Cal/EPA building. Also, copies of both letters that I  
17 received are at the back of the room and on the OEHHA  
18 website for people to view.

19           I think that's all I have in terms of comments at  
20 this time. And I believe our Chief Counsel has a few  
21 words to say.

22           CHIEF COUNSEL MONAHAN-CUMMINGS: Good morning.  
23 Can you hear me okay?

24           I'm not going to make the lengthy presentation I  
25 made at the last meeting. I apologize to the new members.

1 Hopefully you were able to look at that material online.  
2 But I did want to remind you briefly that one of your main  
3 duties today and in terms of being a Committee member is  
4 to consider the chemicals that we bring before you to  
5 determine whether they have been clearly shown by -- let  
6 me read it off for you -- clearly shown through  
7 scientifically valid testing according to generally  
8 accepted principles to cause reproductive toxicity. So  
9 you may hear that phrase kicked around a lot today. But  
10 that is the standard that you need to apply.

11           And I wanted to just clarify for you that is not  
12 a legal standard. Your decision today if you decide to  
13 list or not list the chemical can have legal effect. But  
14 your appointments by the Governor were for your scientific  
15 expertise, and that's the expertise that you're asked to  
16 apply here. Even though clearly shown sounds a little bit  
17 like a legal standard that you might hear if you're on  
18 jury duty or something, it really isn't in this context.

19           So we're asking you to apply your scientific  
20 knowledge and then there is also materials that you  
21 received about guidance that earlier Committee members had  
22 developed for the Committee. And that may help you kind  
23 of look at the materials that you have received already.  
24 And also listening to the presentations and the public  
25 comments, you can use that material to kind of guide your

1 decision-making.

2           But the other two things that you don't need to  
3 consider today specifically are whether or not humans are  
4 currently being exposed to the chemical at a level that is  
5 problematic to humans, because that decision is made  
6 later. And later on this afternoon, we'll talk to you  
7 about one of the things that we ask you to do in that  
8 regard. But it doesn't have anything to do with the  
9 listing of a chemical. And also just to remind you that  
10 you can list a chemical based entirely on animal evidence.  
11 You're not required to find that the chemical causes  
12 cancer in humans. I'm sorry. I just said cancer.  
13 Reproductive toxicity in humans.

14           Does anybody have questions before we proceed?  
15 Yes, Dr. Woodruff.

16           COMMITTEE MEMBER WOODRUFF: So the first  
17 statement that you read about the scientific standards and  
18 cause, is that directly from the statute?

19           CHIEF COUNSEL MONAHAN-CUMMINGS: That is directly  
20 from the Proposition 65 statute. And so that is the  
21 criteria that this Committee applies to any decision that  
22 you make.

23           And Dr. Gold will also restate that for you when  
24 you do get to a point where you want to make a decision.  
25 She'll ask you specifically whether or not the chemical

1 meets that standard.

2 Any other questions? Thank you.

3 DIRECTOR ALEXEEFF: I just want to add one more  
4 comment. That is since this is a newly-formed Committee  
5 and you may have questions about process or anything like  
6 that, so I think since all of our discussions have to be  
7 in public, that if you have questions that come to mind  
8 that either Carol can answer or you feel staff can answer,  
9 feel free to ask them either amongst yourselves or in  
10 general so if there is something that's kind of on your  
11 mind you'd like to get cleared, feel free to bring that  
12 up. That's all.

13 CHAIRPERSON GOLD: I believe we can proceed with  
14 the staff presentation.

15 (Whereupon the following slide presentation was  
16 made.)

17 MS. IYER: Good morning. Today, I'm going to be  
18 summarizing the evidence on the developmental and  
19 reproductive toxicity of Deltamethrin.

20 --o0o--

21 MS. IYER: Good morning. My name is Poorni Iyer,  
22 and I'm a staff member at the Office of Environmental  
23 Health Hazard Assessment Reproductive Toxicology Branch.

24 And moving on to Deltamethrin itself, the  
25 chemical of the day. Deltamethrin is a synthetic

1 pyrethroid insecticide. Like most pyrethroids, it is  
2 neurotoxicant that interferes with normal production and  
3 conduction of nerve signals. It is a Type 2 pyrethroid.  
4 Has an alpha-cyano group and acts on nerve membranes by  
5 inducing long-lasting inhibition of the sodium channel  
6 activation gate. This is how it exerts its neurotoxicity.

7           The mechanism of action of pyrethroids, including  
8 Deltamethrin, is the same for target and non-target  
9 organisms. Formulations of Deltamethrin include  
10 emulsifiable concentrates, wettable powders, and flowable  
11 formulations, and granules.

12           --o0o--

13           MS. IYER: Exposure to this pyrethroid  
14 insecticide is largely from its use in structural pest  
15 control. It is also used to control numerous insect pests  
16 of field crops, potted plants, and ornamentals. It has  
17 been registered for use on golf courses, outdoor  
18 perimeters treatments, indoor crack and crevices and pet  
19 collars.

20           Additional exposure to Deltamethrin comes from  
21 the use of tralomethrin, another pyrethroid that undergoes  
22 rapid debromination to form Deltamethrin.

23           --o0o--

24           MS. IYER: Deltamethrin is considered to be  
25 readily absorbed when administered orally, and the carrier

1 or solvents can effect the rate of absorption. Absorption  
2 in the gastrointestinal tract and respiratory tract is  
3 higher compared to absorption through the skin. Oral  
4 absorption in humans is thought to be at least 50 percent.  
5 In the Sprague-Dawley rat, about 58.4 percent of  
6 absorption of an oral dose was noted. Rats absorbed 3.6  
7 percent of the Deltamethrin when applied to their skin.  
8 And since human skin is less permeable than rat skin, the  
9 absorption of Deltamethrin through human skin is expected  
10 to be relatively weak.

11 Deltamethrin is distributed to nerve tissues and  
12 all regions of the brain tested. Studies with rats  
13 observed that orally administered Deltamethrin was  
14 recovered in fat at slightly higher concentrations  
15 compared to other tissues.

16 In rats, Deltamethrin had a half life in blood of  
17 5.5 hours and a half life in the brain of one to two days,  
18 but it is more persistent in body fat with a half life of  
19 five days. Metabolism of Deltamethrin in rats involved  
20 rapid ester cleavage and hydroxylation. Only the parent  
21 compound is toxicologically significant.

22 --o0o--

23 MS. IYER: Paresthesia was the most commonly  
24 reported symptom of acute dermal exposure in occupational  
25 studies involving pyrethroids such as Deltamethrin. Skin

1 sensations were characterized as tingling, itching,  
2 burning, and numbness of the skin after dermal exposure.

3           The paresthesia was reported to be transient and  
4 reversible, sometimes lasting up to 48 hours, occurring  
5 only at the site of dermal exposure and not associated  
6 with systemic toxicity.

7           The California Pesticide Illness Query Database  
8 revealed 41 incidents of illness reports that had probable  
9 to possible association with the use of Deltamethrin over  
10 a ten-year period.

11           In animals, the signs of toxicity associated with  
12 Deltamethrin are typical of Type 2 pyrethroids and include  
13 characteristic effects of choreoathetosis, which is  
14 sinuous writhing, and salivation presenting as pawing and  
15 burrowing behavior followed by salivation and tremors,  
16 progressing to clonic seizures.

17           Regarding the chronic effects of the chemical, no  
18 studies investigating mutagenicity or cancer in humans  
19 were identified. The U.S. EPA classified Deltamethrin as  
20 not likely to be a human carcinogen by all routes of  
21 exposure. There was no increase in tumor incidents in  
22 mice fed technical grade Deltamethrin in the diet.

23                           --o0o--

24           MS. IYER: Moving on to the reproductive toxicity  
25 of Deltamethrin and the studies that we examined, there



1 were no studies examining male reproductive effects in  
2 humans.

3           In vivo studies examining the effect of  
4 Deltamethrin exposure on the male reproductive system are  
5 available in mouse, rat, and rabbit. One in vitro study  
6 is also available. These studies are summarized in Table  
7 6 in the documents submitted to the panel.

8           In a three-generation reproductive study, the  
9 test compound dissolved in corn oil was administered in  
10 the diet to adult rats before mating and continuing  
11 through weaning of the offspring for each generation,  
12 after which animals were sacrificed.

13           No adverse effects on the reproductive system,  
14 fertility, or survival were observed at any dose level  
15 tested. The only effects in males included a decrease in  
16 mean parental body weight of the F zero males between week  
17 11 and week 39 of study.

18           Also compared to controls, slight reductions in  
19 mean food consumption was noted in the F1 males and F2  
20 females at the 50 parts per million level. Histopathology  
21 of parental animals was not presented. Overall, the study  
22 had several limitations, such as lack of test article  
23 purity and dose level justification, and hence, it is  
24 difficult to determine if testing had been done at  
25 adequate dose levels to elicit a response.

1           In a two-generation study, conducted to meet  
2 federal regulatory guidelines under FIFRA, exposure of  
3 rats extended from the pre-mating period during gestation  
4 and through day 21 of lactation when the pups were weaned.  
5 In this study, the absolute mean weights of the epididymis  
6 and testes of the offspring was significantly less than  
7 those of the controls in the high dose group of 320 parts  
8 per million Deltamethrin in the diet, which is about 21 to  
9 35 milligrams per KG body weight, as estimated by the  
10 authors. The ratio of testes weight to brain weight was  
11 also reduced at this dose level.

12                           --o0o--

13           MS. IYER: Studies from the open literature are  
14 examined and are described in the next few slides. These  
15 include various routes of exposure and they were all  
16 non-dietary. The slides describe studies as they became  
17 available, with some information on the chemical used in  
18 the study and the dose level at which the effects were  
19 observed are in bold.

20           In a study with rats exposed to two pesticides,  
21 Dimethoate and Deltamethrin, a decrease in libido and  
22 ejaculate volume and sperm concentration was noted, along  
23 with an increase in the percentage of dead spermatozoa at  
24 100th LD50 of Deltamethrin alone. But the actual doses  
25 were not stated.

1           In a rat study, oral administration of  
2 Deltamethrin for 65 consecutive days decreased the  
3 conception rate in non-treated females that were mated  
4 with the treated males, with decreases in sperm  
5 concentration noted at both one milligram per KG and two  
6 milligrams per KG. The decrease in live sperm and plasma  
7 testosterone levels continue and was noted 21 days after  
8 administration of the chemical was stopped, along with  
9 degenerative changes in testicular and accessory gland  
10 structures.

11           In another study, intraperitoneal injection of  
12 Deltamethrin to male rats at one milligram per KG were  
13 shown to induce testicular apoptosis.

14                           --o0o--

15           MS. IYER: In utero and lactational exposure to  
16 Deltamethrin induced subtle changes in reproductive  
17 behavior and physiology of male offspring such as  
18 reduction in the number of animals with ejaculate, along  
19 with a decrease in testicular and epididymil absolute  
20 weights and the diameter of seminiferous tubules in the  
21 highest dose group of Deltamethrin of four milligram per  
22 kilogram.

23           From studies examining dominant lethal effects,  
24 overall, Deltamethrin was found to exert a weak effect in  
25 the midweek -- that is the third week of the spermatogenic

1 cycle, in medium and high dose treated animals.

2 --o0o--

3 MS. IYER: Sub-cutaneous exposure to Deltamethrin  
4 at doses as low as 0.003 milligram per kilogram per day  
5 for a period of 30, 45, or 60 days produced  
6 histopathological changes in testes and an arrest in  
7 spermatogenesis. A significant decrease in plasma FSH  
8 concentrations compared to controls was noted by the  
9 authors after 45 and 60 days, but not after 30 days.

10 A decline in LH and testosterone was noted after  
11 60 days of treatment, and hence, the author suggested that  
12 the hormonal system is targeted by Deltamethrin.

13 Additionally, in mice, oral administration at levels as  
14 low as five milligram per kilogram per day of Deltamethrin  
15 alone or Deltamethrin and Dimethoate administered together  
16 resulted in significantly decreased sperm count, motility,  
17 and viability, and a significantly increased percentage of  
18 morphologically abnormal spermatozoa compared with the  
19 controls.

20 Also, in vitro exposure to Deltamethrin at  
21 different concentrations caused a significant decline of  
22 sperm motility and viability and an increase in abnormal  
23 sperm morphology.

24 --o0o--

25 MS. IYER: The first study on this slide, severe

1 degenerative histopathological changes in the testes,  
2 prostate, epididymis, and seminal vesicles were observed  
3 that were attenuated by Vitamin E and selenium mixture.

4           In the next study, gestational treatment in mice  
5 with Deltamethrin alone or in combination with Dimethoate  
6 produced significant reduction in the testes weights,  
7 epididymil sperm count, motility, and viability in male  
8 offspring.

9           Overall, there is evidence from a number of  
10 studies for a decrease in sperm count and increase in dead  
11 spermatozoa in mice, rats, and rabbits at relatively low  
12 doses of Deltamethrin via several routes of exposure.

13           Also, one study examined changes in hormonal  
14 levels. And the author suggests these pertubations may be  
15 the mode of action for this chemical. Effects on  
16 puberty -- that is, development of the male reproductive  
17 system -- are included later on in this presentation,  
18 along with developmental effects.

19                           --o0o--

20           MS. IYER: Considering female reproduction, there  
21 were no studies examining female reproductive effects in  
22 humans. Also, there are no studies evaluating effects of  
23 Deltamethrin on the estrous cycle.

24           The animal studies included those described  
25 earlier for the male reproductive system, in which a three

1 generation reproduction study submitted to regulatory  
2 agencies, such as U.S. EPA and the California Department  
3 of Pesticide Regulation, were in Deltamethrin in the diet  
4 was given to rats before mating, during gestation, and  
5 continued through weaning for three generations. No  
6 adverse effects on the reproductive system, fertility, or  
7 survival were noted.

8           Slight reductions in mean food consumption were  
9 noted in the F1 males and F2 females at the 50 parts per  
10 million dose level. As mentioned earlier, histopathology  
11 of parental animal tissues was not presented.

12           In the standard two-generation reproduction study  
13 conducted according to FIFRA guidelines, the absolute mean  
14 weight for the non-gravid uterus was less than that of the  
15 control for the P1 and F1 females of the high dose group.

16           Also, for the P1 females in the same dose group,  
17 the absolute mean pituitary weights were less than those  
18 of the controls. No other adverse effects were noted.

19                           --o0o--

20           MS. IYER: From the open literature, in a study  
21 examining the effect of the chemical on the response of  
22 the blastocyst-endometrium interactions in rats, the  
23 implantation process was effected. Histopathological  
24 alterations in the implantation sites, as well as a  
25 reduction in the number of sites were noted.

1           In another report, a smaller number of pups and  
2 reduced fertility was also noted subsequent to exposure to  
3 the formulation with no clinical signs of toxicity.  
4 Effects on puberty -- that is, the development of the  
5 female reproductive system -- will be presented later on,  
6 along with the developmental effects.

7                           --o0o--

8           MS. IYER: The next set of slides presents  
9 studies examining development. First will be those  
10 examining neurodevelopment, and this will be followed by  
11 those that examined other effects on development.

12                           --o0o--

13           MS. IYER: To better understand the neurotoxic  
14 effect of diverse hazards on the developing human nervous  
15 system, researchers and clinicians rely on data collected  
16 from a number of model species that develop and mature at  
17 varying rates. The findings from evolutionary and  
18 developmental biology show that the timing and sequence of  
19 early events in the brain development are remarkably  
20 conserved across animals and form the basis for  
21 generalization across species.

22           Several researchers that examined this issue and  
23 it is estimated from the work of Clancy, et al, that  
24 around postnatal day one through ten in the rat pup  
25 corresponds to the in utero period in humans for several





1           This is based on the acquisition and integration  
2 of large data bases of multiple data types, analysis using  
3 standard multi variate techniques made simpler by  
4 increased computing power. And these are publicly  
5 available through web-based interfaces. These tools have  
6 allowed for predictions of cross species developmental  
7 sequences based on multiple events in multiple species.

8           In this table, which is not in the hazard ID  
9 document, it summarizes a model developed to predict neuro  
10 development across three species to further illustrate the  
11 issue. It is calibrated to the rat in the first column,  
12 right here, and the gestational time for each species are  
13 in red.

14           The numbers in blue in each cell represent  
15 post-conception days in utero until birth, which is also  
16 marked in red.

17           The rest of the numbers in black represent  
18 postnatal days indicated by the PND before the number.  
19 This table allows translation by following a line across  
20 the columns. As you can see here, the yellow highlight.

21           For instance, by the birth time of rat and mouse,  
22 humans are past the first trimester of gestation. And  
23 this corresponds to gestation day 110 for cortex  
24 development.

25                           --o0o--

1 MS. IYER: In this context, we are presenting  
2 studies that include prenatal and postnatal exposure.  
3 These include studies to meet with the developmental neuro  
4 toxicity guidelines, as well as other studies.

5 On this slide, in the first study, the authors  
6 reported that in utero and lactational exposure to  
7 Deltamethrin induce subtle changes in reproductive  
8 behavior physiology of male offspring, such as a trend  
9 toward a reduction in the number of animals with ejaculate  
10 in the highest dose group of Deltamethrin at four  
11 milligram per kilogram.

12 Also included are studies that have prenatal  
13 exposure to a low dose of Deltamethrin with evaluations of  
14 alterations in offspring motor and dopaminergic activity  
15 systems as well as perturbations in biochemical parameters,  
16 which are effects that are not examined in guideline  
17 studies.

18 --o0o--

19 MS. IYER: In the study by Lazarini, et al, the  
20 effects of prenatal exposure of rat pups to 0.08 milligram  
21 per kilogram of Deltamethrin on physical reflex and  
22 behavioral developmental parameters on forced swimming and  
23 open field behaviors and on striatal monamine levels at 60  
24 days of age were observed.

25 According to the authors, forced swimming is an

1 inescapably stressful situation, causing a relatively  
2 short escape reaction, followed by floating without  
3 performing any activity.

4           The authors reported that prenatal exposure to  
5 Deltamethrin alter the latency to float and the activity  
6 of striatal dopaminergic systems and might reflect a  
7 persistent effect on animal motor activity. This occurred  
8 mainly in males, and the decrease in general activity  
9 observed in experimental male rats in relation to control  
10 animals suggested higher levels of emotionality induced by  
11 previous exposure to the swimming behavior.

12           In the work by Johri, et al, the authors contend  
13 that low dose prenatal exposure to pyrethroids has the  
14 potential to produce long-lasting effects on the  
15 expression of xenobiotic metabolizing cytochrome p450 in  
16 the brain and liver of the offspring. And this exposure  
17 may lead to the accumulation of Deltamethrin or its  
18 metabolites to an extent that is sufficient to induce  
19 behavioral alterations in the offspring evaluated  
20 postnatally at three weeks.

21                           --o0o--

22           MS. IYER: On this slide, the study was conducted  
23 to meet with developmental neurotoxicity guidelines and  
24 included prenatal and postnatal exposure. Effects  
25 included a decrease in postnatal body weight, a decrease

1 in fixed female brain weight, and an increase in  
2 resistance at removal with vocalization at the 200 parts  
3 per million dose level. The authors were not clear about  
4 the significance of this finding.

5 --o0o--

6 MS. IYER: Several studies examined the effects  
7 of Deltamethrin exposure in utero on other non-neuro  
8 developmental end points in laboratory animal species.  
9 Some of these were FIFRA studies and other studies  
10 published in the open literature.

11 those submitted for regulatory purposes of  
12 pesticide registration included developmental teratology  
13 studies, developmental neurotoxicity studies that examined  
14 other developmental landmarks, and the two-gen and  
15 three-generation reproduction studies that had in utero  
16 exposure.

17 Some of these reported no adverse developmental  
18 effects, while some reported effects that may or may not  
19 have been examined in the other studies.

20 The next few slides describes studies as they  
21 became available and the dose level at which the effects  
22 that were observed are in bold. In the first study on  
23 this slide, no adverse effects were observed in rats and  
24 mice. In the next study in rats, also there were no  
25 adverse effects.

1           In the third study on this slide, a retardation  
2 of bone ossification was noted in the offspring with other  
3 variations.

4           Continuing with studies that were published in  
5 the open literature and examined the effect of  
6 Deltamethrin exposure in utero on other non-neuro  
7 developmental end points, again, the dose level at which  
8 the effects were observed are in bold, and the slide  
9 details the study design and the effects are noted as  
10 well.

11                   --o0o--

12           MS. IYER: In the next set of slides that  
13 examined Deltamethrin exposure in utero, in this slide,  
14 the study by Richard, et al, was submitted for regulatory  
15 purpose of pesticide registration and was the second  
16 standard developmental toxicity study in the rabbit and  
17 reported no adverse developmental effects.

18           The study in the rat published in the open  
19 literature also had a standard developmental toxicity  
20 study design and reported a decrease in maternal body  
21 weight gain during gestation with signs of lethargy and a  
22 decrease in uterine weight and an increase in percentage  
23 of resorbed fetuses, as well as malformed fetuses in a  
24 dose-dependant manner, along with a decrease in average  
25 body weight of the fetuses and incomplete ossification.

1           As stated previously, some of these studies  
2 reported no adverse developmental effects, while some  
3 effects that may or may not have been examined in the  
4 other studies were observed.

5           A number of these studies also had limitations  
6 that would preclude them from being acceptable for the  
7 purposes of pesticide registration, but they serve as  
8 non-guideline studies and contribute to the weight of  
9 evidence.

10                           --o0o--

11           MS. IYER: At maternal exposure to 200 parts per  
12 million, Deltamethrin in the diet in the developmental  
13 neurotoxicity study in rats where the parameter was  
14 evaluated, the mean age of attainment of preputial  
15 separation was delayed 1.6 days in high dose male  
16 offspring apparently associated with the delay in growth  
17 equivalent to about one day's body weight.

18           However, this parameter is also influenced by  
19 hormonal changes. And so it is unclear what may have  
20 contributed to the effects observed in the study. In  
21 other studies in rats, maternal exposure during  
22 organogenesis period resulted in a delay in the day of  
23 eyes opening for male and early vaginal channel opening in  
24 female offspring.

25           Additionally, according to Lazarini, et al, in

1 2007, findings from other researchers have demonstrated  
2 that administration of epidermal growth factor to new-born  
3 mice accelerates eye opening as well as delays of vaginal  
4 opening. Hence, it is possible that the delay in eye  
5 opening and the hastening of vaginal opening noted after  
6 exposure to Deltamethrin in this study could be a result  
7 of inhibition of the expression of epidermal growth  
8 factor. Because there was no other evidence of general  
9 developmental delay, the author suggested this to be a  
10 specific effect of Deltamethrin on this physical landmark.

11 --o0o--

12 MS. IYER: In summary, the developmental  
13 neurotoxicity study in rats included exposure during the  
14 prenatal and postnatal period. And adverse effects, such  
15 as significantly reduced fixed female brain weight in F1  
16 rats at termination and increased resistance at removal  
17 with vocalization in males at the high dose group of 200  
18 parts per million were noted by the authors. However, no  
19 adverse effects were observed for auditory startle  
20 habituation. Learning and memory as measured by passive  
21 avoidance after weaning and the water maze task.

22 In other studies in rats, maternal exposure  
23 during the organogenesis period resulted in decreased  
24 locomotion frequency and increased immobility observed in  
25 male rates prenatally exposed to Deltamethrin and have

1 been interpreted by the authors as consequences of high  
2 levels of emotionality induced by the prenatal exposure to  
3 the pyrethroid.

4           These findings, along with those from other  
5 studies presented earlier, suggest that prenatal exposure  
6 to Deltamethrin may cause alterations in offspring motor  
7 and dopaminergic activity systems as well as perturbations  
8 in biochemical parameters, which are effects that are not  
9 examined in guideline studies.

10                           --o0o--

11           MS. IYER: Summarizing the other developmental  
12 effects observed, several studies examined the effect of  
13 Deltamethrin exposure in utero on neurodevelopment and  
14 other developmental end points in laboratory animal  
15 species. And some of these were FIFRA studies and other  
16 studies published in the open literature, which included  
17 prenatal and a combination of prenatal and postnatal  
18 exposure.

19           Some of the studies reported no adverse  
20 developmental effects. Some reported pup mortality after  
21 prenatal and a combination of prenatal and postnatal  
22 exposure, while some reported effects on developmental  
23 landmarks that may or may not have been examined in the  
24 other studies.

25           And this concludes my presentation for today, and



1 I'll be glad to answer any questions.

2 CHAIRPERSON GOLD: Does the panel have any  
3 questions for the presenter?

4 COMMITTEE MEMBER WOODRUFF: Thank you for the  
5 presentation.

6 I had a question. When you are looking for  
7 developmental toxicity studies, do you focus your search  
8 on studies -- any study that looks at a prenatal or  
9 post-gestational day exposure? Or do you also look for  
10 ones that are evaluating certain effects?

11 My question really comes down to do you look at  
12 the potential for a prenatal exposure to have more than  
13 just an immediate effect but perhaps some other types of  
14 what may not be longer term effects in the life of the  
15 animal postnatally?

16 MS. IYER: We look at all studies that come up in  
17 our research that have covered prenatal exposure. But  
18 also postnatal exposure, like I mentioned, if it  
19 corresponds to prenatal exposure in humans.

20 COMMITTEE MEMBER WOODRUFF: Do you go up to --  
21 the postnatal exposures in the rats, do you go up to  
22 the -- all the way up to the equivalent of 270 days in a  
23 human? Is that right, from this chart?

24 MS. IYER: We basically looked at all the studies  
25 that are available.

1 COMMITTEE MEMBER WOODRUFF: I see.

2 CHAIRPERSON GOLD: Any other questions for Dr.  
3 Iyer from the panel?

4 Dr. Donald, you have a question mark next to your  
5 name.

6 Okay. So since we don't have any human studies,  
7 I've called upon a toxicologist to lead the discussion by  
8 the panel. We'll start with Dr. Rocca and go to Dr.  
9 Pessah. You decide to switch. Okay. So Dr. Pessah will  
10 go first. My error.

11 COMMITTEE MEMBER PESSAH: Thank you.

12 So some of the important questions that a  
13 toxicologist would ask about the data is essentially is  
14 there exposure. Deltamethrin is the Type II pyrethroid  
15 and is clearly one of the more acutely toxic pyrethroids.  
16 It clearly is widely used in several applications. So  
17 human exposure has, in fact, been documented in some  
18 studies, but their consequences have not been elucidated  
19 as we've heard, at least, in the published literature.

20 The half life of the chemical in the environment,  
21 although only one to two weeks apparently, could be  
22 sufficient if the chemical reached target organs at a  
23 critical time in development, both in terms of any  
24 consequences on the gametes of the parents, but also if  
25 the chemical were to reach the developing brain, both in

1 rodent models would be late prenatal and early postnatal.  
2 So essentially, the perinatal period is a critical  
3 sensitive target for Deltamethrin.

4           The animal studies suggest that distribution of  
5 Deltamethrin to the target organ, the brain, is, in fact,  
6 possible and somewhat efficient in these animal studies.

7           The half life in the brain is sufficient to alter  
8 certain developmental parameters that could impact  
9 behavioral outcomes.

10           Of course, metabolism via hydrolysis and  
11 hydroxylation limits exposure because, as far as we know,  
12 the metabolites are not active. They're not active  
13 neurotoxicants.

14           The question is, is Deltamethrin an acute  
15 neurotoxicant at levels of exposure that produce central  
16 effects? And the answer is clearly yes. The LD 50 is 30  
17 migs per kig.

18           And the real question then becomes is it at  
19 levels that are either sub-over toxicity that produce  
20 overt toxicity and those that produce mild to moderate  
21 toxicity, is there potential of having trans-generational  
22 effect. That is, effect in the F1 and possibly in  
23 subsequent generations.

24           Several studies have looked at this, and these  
25 were summarized pretty thoroughly by OEHHA. Many of these

1 effects are thought to stem from the primary mechanism of  
2 Deltamethrin, which is essentially initially it delays the  
3 activation of the sodium channels that are in the neurons  
4 and subsequently inactivates them over prolonged periods  
5 of time, which essentially silences neurons, especially in  
6 the developing situation.

7           So the question is, what are the in vivo  
8 consequences in animal studies with respect to the central  
9 target, which is nerve development.

10           I think there are some themes that many of these  
11 studies essentially conclude, not all of the studies as  
12 we've come to learn, that essentially a motor activity,  
13 growth, and motor activity are in inextricably  
14 intertwined, but we don't know which begets what. Okay.  
15 So these studies really didn't look at cause and effect.  
16 They looked at the phenomenal logical outcomes that are  
17 standard measures.

18           These are relatively blunt instruments when we  
19 think about their translation to the human condition in  
20 that more subtle aspects of behavior were not addressed,  
21 such as the development of fine nuances in social  
22 behavior, the possibility that a second insult to another  
23 chemical may, in fact, produce more toxicity than just the  
24 one chemical that's been examined, in this case,  
25 Deltamethrin.

1 I'm not going to go back over the developmental  
2 and reproductive effects, but from a mechanistic  
3 perspective, if, in fact, these are robust effects, one  
4 should ask why would there be reproductive effects in the  
5 mother or in the males when this is a sodium channel  
6 active substance, which suggests that there may be other  
7 downstream or secondary effects that are produced by  
8 exposures that may be a consequence of altering general  
9 nervous system excitability.

10 Is there evidence of this? Well, in vitro  
11 studies, which haven't really been highlighted, there are  
12 several indications that very low levels of exposure to  
13 Deltamethrin typically in the nanomolar -- high nanomolar  
14 to very low micromolar can, in fact, change aspects of  
15 excitability and cell signaling that have not been really  
16 addressed sufficiently in my opinion.

17 One of these effects is that essentially there is  
18 very clear evidence that pyrethroids, including  
19 Deltamethrin, can change a fundamental signaling pathway  
20 in both neuro and germ cells, which involve calcium  
21 regulated genes and calcium regulated processes, which  
22 really have not been addressed.

23 And if evidence were to come out that, in fact,  
24 these effects can be obtained at sub nanomolar  
25 concentrations, then we might want to look at different

1 outcomes in behavioral studies which have not been  
2 examined to date.

3 So with that, I'm going to discussion.

4 CHAIRPERSON GOLD: Thank you.

5 Dr. Rocca, do you have something to add to that?

6 COMMITTEE MEMBER ROCCA: Good morning.

7 I reviewed the studies and have more individual  
8 comments on the studies to provide. And I think  
9 everything that you've just heard is very relevant as to  
10 what a toxicologist would be looking at. But of course,  
11 the first commandment, I guess you'd say, of toxicology is  
12 that the dose makes the poison.

13 And in this case, we're not always clear what  
14 these animals were dosed with and what the treatments  
15 were. In some of them, it does clearly say that the  
16 commercial mixture of the pesticide was used. In that  
17 case, either Butox or Dexcis -- I'm not sure if I'm  
18 pronouncing that properly -- was used. And those have  
19 between two and five percent of Deltamethrin, and the  
20 remainder are other solvents and excipients.

21 Because of that and not having any  
22 pharmacokinetic information from the animals in those  
23 studies, I think that those studies should be largely  
24 discounted.

25 So for male reproduction, those studies would

1 include the Abd el-Aziz for 1994 which used Butox, Oda in  
2 2011 which used Butox, Shukla 2000 which used Decis, and  
3 the Salem study from 1988 which used Decis.

4           The studies that remain -- I'm doing this in  
5 species order -- is we have an in vitro study in which  
6 semen from one rat was taken and was directly exposed to  
7 Deltamethrin. We don't know the purity of this. It  
8 appears from my reading that the N of this experiment is  
9 one rat, which would not be up to a level of scientific  
10 muster. And it was a direct exposure to the semen. And I  
11 don't think that this will ever be a relevant route for  
12 humans.

13           The next studies we have in the rat is the  
14 El-Gohary in 1999, and this was an IP exposure once again  
15 with no purity data. Just injected things intraperitoneal  
16 I don't think is a relevant exposure either. And once  
17 again, we have no purity data. So we really don't know  
18 what the dose was.

19           The next one on the list would be a subcutaneous  
20 exposure. And that was done by Issam in 2009. Once  
21 again, we have no exposure data or purity data. But we do  
22 know they used a 70 percent ETOH vehicle control. This  
23 was a subcutaneous study. That seems like a very  
24 inappropriate vehicle for a subcutaneous study. The doses  
25 that they used appear to be very low compared to doses

1 that were used in other studies. And so I don't see the  
2 small effects they found there to be compelling either.

3 We have a mouse study that was done by gavage  
4 that was oral. That was the Ben Abdallah in 2009, which  
5 used a corn oil vehicle. But once again, we have no  
6 purity data on that. So really don't know how valuable  
7 that is. It was a 21-day exposure trying to look at  
8 different periods of spermatogenesis. But without seeing  
9 effects in other studies at higher doses, I don't find  
10 that one completely convincing either.

11 There are, however, some studies that do tell us  
12 some about male toxicity. And these were done in the  
13 multi-generational studies, in which animals were exposed  
14 during the pre-mating time, during the mating period,  
15 during gestation, and during lactation and sometimes for  
16 several generations after that.

17 The two that are relevant here are Hoberman and  
18 Wrenn. Wrenn was done in 1980. As was stated, this was  
19 not according to the regulations because there weren't any  
20 regulations that stated this at the time. But that does  
21 not preclude us from looking at the quality of the study.  
22 This was done with technical grade Deltamethrin. Test  
23 article analysis was performed several times, so they do  
24 know how much Deltamethrin was, indeed, in the feed. And  
25 in this case, they found in a three generational studies



1 no real effects on any reproduction.

2 Now, the caveat for this one is they really did  
3 only do full histology on the F3B generation. So this  
4 is -- the parents have been treated. The offspring have  
5 been treated. The grandchildren have been treated. And  
6 now we're down to the great grandchildren having been  
7 treated. So we have a lot of litters there, but it would  
8 probably have been much more useful to look at animals who  
9 had had that first exposure in the first generation.

10 So then we come to the Hoberman study. In the  
11 Hoberman study, this is a two-generational study that was  
12 done more recently in 1992. This had a very pure test  
13 article of 99.7 percent purity. A test article analysis  
14 was done at several times. So we can say that the animals  
15 were exposed and how much they were exposed to. The high  
16 dose was toxic to the animals of both generations. And so  
17 we had body weight effects, clinical observation effects.  
18 We even had some deaths in the F1s. And so at doses that  
19 are that toxic, you really have a hard time trying to  
20 understand whether a reduced organ weight is the result of  
21 toxicity to that organ or whether it is more due to the  
22 lack of body weight.

23 However, at any of the non-toxic doses, there  
24 were no biologically significant effects either on the  
25 organ weights or on any of the reproductive parameters.

1 This was the study that went up to approximately between  
2 20 and 37 milligrams per kilogram per day, and so that was  
3 the dose that was toxic. The next one down was 80 parts  
4 per million, which comes out to be about five to ten  
5 milligrams per kilogram per day. And there was absolutely  
6 no toxicity seen at that dose. So that is one of the  
7 higher doses that we see here.

8           So next we go on to whether or not there are any  
9 female effects. And once again, I can go through the  
10 studies. For the same reasons of using the commercial  
11 mixtures, the Abdel-Khalik, 1993; Kandil, 2006; Lemos,  
12 2011; and Lemos 2012 all use the commercial mixture that  
13 was at least 95 percent other ingredients. So I have  
14 discounted the results of these as most likely due to the  
15 solvents and other excipients.

16           The next study that we see then is a study by  
17 Kavlock. And it included both mice and rats published in  
18 the same study. Those animals were dosed during the  
19 period of organogenesis for the prospective animals.  
20 Gestation day 7 to 16 in the mouse. Gestation day 7 to 21  
21 in the rat. They had very substantial Ns between -- for  
22 mice between nine and 17 pregnant animals per group and 20  
23 to 28 pregnant animals per group for the rats.

24           They do see maternal toxicity at the high dose in  
25 both of those studies. So we know that we have dosed to a

1 high enough amount. And yet, in both of those, there were  
2 no effects on any of the female reproductive end points,  
3 nor were there any physical malformations in the  
4 offspring.

5 Next one is Lazarini 2007. This one we also have  
6 an unknown purity in a very low dose. There were no  
7 effects on the females, nor any physical malformations in  
8 that study.

9 Next one is Schardein 1990, listed as A. In the  
10 case people haven't caught on yet, I'm doing this  
11 alphabetically, if you wanted to follow.

12 This is a study where animals were dosed once  
13 again during the period of organogenesis. And it's 25  
14 animals per group, 99.2 percent purity. We have a corn  
15 oil vehicle, which is the vehicle control. Doses went as  
16 high as 11 milligrams per kilogram per day. That high  
17 dose and the dose down from that, the seven milligram per  
18 kilogram per day were both maternally toxic. However,  
19 there were no effects on female reproduction or fetal  
20 malformations at those doses.

21 Next one is Richard, which is a rabbit gavage.  
22 We've moved on to our rabbits. And in that study, rabbits  
23 were dosed during the period of organogenesis, gestation  
24 day 6 to 28, at an N of 21 to 24 per group. There were  
25 four doses used. The material was 99.1 percent pure in a

1 corn oil vehicle. The maternally -- there was maternal  
2 toxicity at the highest dose seen. But there were no  
3 reproductive effects and no fetal malformations in that  
4 study either.

5 We have another study by Schardein 1990 B, in  
6 which rabbits were dosed from gestation day six to 15 and  
7 of 10 to 13. 99.4 percent purity of the test article, a  
8 carboxymethylcellulose vehicle, and went as high as 100  
9 milligrams per kilogram per day, which was maternally  
10 toxic.

11 But even with that, there were no reproductive  
12 effects on the lower doses or fetal effects. It was noted  
13 that there was some delay in ossification at the high  
14 dose, but this is not unexpected when there is toxicity in  
15 the female in which both the female has lost weight and  
16 the fetuses also weigh less. Those are I think female end  
17 points.

18 I can go through the studies that also had  
19 postnatal evaluations, once again starting with the rat.  
20 And the ones that I have discounted for using the  
21 commercial formulation are Aziz 2001; Johri 2006; both A  
22 and B, and that's that for that one.

23 The first study that is there is Andrade 2002  
24 where the animals were dosed from gestation day one all  
25 the way through postnatal day 21 by gavage. So

1 presumably, the pups were exposed lactationally, although  
2 we do not have an analysis of that. There were doses, the  
3 material was 98.8 percent pure. Doses went up to four  
4 milligrams per kilogram per day. There was no maternal  
5 toxicity, and there were no biologically significant  
6 effects on the offspring in terms of fertility end points.

7           Next one is a study by Kavlock that I discussed  
8 before where animals were dosed from gestation day seven  
9 through lactation day 15 using the technical grade  
10 Deltamethrin. There was a decrease in body weights at the  
11 highest dose, which is five milligrams per kilograms per  
12 day. And there were no effects on the growth or neural  
13 behavior of the offspring noted in that study.

14           Lazarini 2001, in this study, we do not know what  
15 the purity is, nor do we know what the vehicle is. The  
16 dose was 0.08 milligrams per kilogram, which is really  
17 quite low compared to the other studies.

18           There were a couple interesting things that came  
19 up, one of which was latency to floating in a rather  
20 unusual test. I believe in that one if you look at the  
21 data closely, you may see there was an outlier in the  
22 controls. But one would need to see more data,  
23 particularly as nothing was seen at much higher doses in  
24 other studies.

25           The next one I have is the Lazarini from 2007

1 uses the same dose. We do not know anything about purity  
2 or the test article. That's the one in which there was a  
3 slight delay in eye opening and earlier vaginal opening.  
4 However, there were no pup data weights or actual numbers  
5 for any of these events. Many of those things  
6 developmental, landmarks, are, indeed, tied to body  
7 weight. Without that information and particularly this  
8 being the only study that saw this result at this very low  
9 dose, I don't find that to be compelling.

10           The next studies were rat feed studies. And  
11 these studies rats were fed the diets from gestation day  
12 six through lactation. And it should be noted in the case  
13 of a feed study, that by the second week of life, the pups  
14 themselves are beginning to consume some of the diet. And  
15 actually by the time that they're weaned at three weeks,  
16 part of the reason they can be weaned at three weeks is  
17 they're eating a full and adequate diet at that time.

18           So it becomes a little more difficult to know  
19 whether these exposures were in the early part of the  
20 postnatal days, which might be more equivalent to the  
21 human late gestation or whether these were actually  
22 significantly later in that.

23           But nonetheless, in Gilmore 2006, test article  
24 was 99.6 percent pure at the high dose of approximately 16  
25 milligrams per kilogram per day. We had decreases in

1 gestation and lactation body weights at the high dose.  
2 Not surprisingly, we also had decrease in pup body weights  
3 during lactation at the high dose and some delay in  
4 preputial separation, which is not unusual based upon the  
5 lower body weights. But no neurological end points were  
6 effected in this study.

7           The next feed study is the Hoberman study. This  
8 was the same two-generational study in which the test  
9 article was 99.7 percent pure. Test article analyses were  
10 done. There was toxicity in both the parental and the F1  
11 generation and even some deaths in the F1s. But there was  
12 no significant reproductive organ weight effects. And in  
13 this study, there were necropsies done and organ weights  
14 taken in several generations.

15           Next one would be Wrenn, which is the three  
16 generation study we've talked about before that has the  
17 caveat of only doing histology in the F3B generation. But  
18 in this generation, there were no effects on the organs  
19 seen. And in the other generations, although there was  
20 decreased body weight in some generations, there was no  
21 effects on reproduction that were seen.

22           And the last study, our favorite study is always  
23 the last, is mouse gavage study, which was done by Ben  
24 Slima in 2011. This study has no purity data. There is  
25 an N of five animals per group in the F0 generation.

1 These five animals per group -- and there was only a  
2 control group and one dose group -- were treated from  
3 gestation three to 21. However, there were only four  
4 males evaluated for the end points in the next generation.  
5 So we have two dose groups, one of whom the dosed group  
6 with the Deltamethrin only evaluated four males.

7 And in this study, maternal body weight was  
8 decreased, and we don't know anything about what data  
9 necropsy was done, which was the only time maternal body  
10 weights were taken. There was no gestational data. It  
11 does say there were decreases in testis weight and affects  
12 on sperm parameters. However, we don't have any  
13 information on body weights or sexual maturity. And  
14 therefore, I find that one hard to interpret.

15 So that's my review of all the studies that we've  
16 been presented information for. And based upon this, I  
17 personally do not think that they meet the level of  
18 scientific rigor in order to list this chemical.

19 CHAIRPERSON GOLD: Thank you, Dr. Rocca.

20 Dr. Pessah, would you like to make additional  
21 comments?

22 COMMITTEE MEMBER PESSAH: I guess I'm a little  
23 concerned that most of the studies, whether they were  
24 faulted or maybe not so badly faulted, really missed the  
25 critical period in development because they do not extend



1 exposures into the postnatal period, which reflects the  
2 prenatal period in humans. And given the short half life,  
3 even in the brain, I think that in general we just don't  
4 have enough information.

5 Now, there are some of the studies that were  
6 mentioned that actually did see effects. For example,  
7 these supernumerary ribs in all the treated groups with  
8 the Kavlock, et al, 1979. This is in the mouse study.

9 And then what caught my attention in the Gilmore  
10 study, when actually did go beyond birth with the  
11 exposures, is that they actually saw a behavioral change  
12 that actually is being used quite a bit now to scan much  
13 more subtle neurodevelopmental outcomes, such as  
14 vocalization and anxiety. So I know that the extra rib  
15 can be, in fact, a consequence of maternal stress or  
16 anxiety. But that hasn't been fully worked out as to what  
17 the causation is there, whether it's direct or indirect.

18 But there is some indication that maternal stress  
19 can produce these abnormal growths in the offspring. But  
20 again, there are many behavioral tests that have never  
21 been put to bear after a relevant exposure extends into  
22 the lactation period. And what is there is suggestive,  
23 but obviously not definitive.

24 CHAIRPERSON GOLD: Thank you.

25 Are there other members of the panel that wish to

1 comment at this time?

2 COMMITTEE MEMBER WOODRUFF: Yeah. Those comments  
3 were very helpful, and I think one of the challenges have  
4 been reading through this is -- and I see that you've  
5 added -- modified the presentation materials a little bit.  
6 For example, some of the issues related to what the dosing  
7 is is helpful to clarify between the different studies.

8 I did note that for the male reproductive  
9 developmental studies is that there was -- there was  
10 variation in the type of outcomes that were evaluated.  
11 And some of these -- you talked a little bit about the  
12 Hoberman study, for example -- did mostly focused on  
13 weight changes, which, to me, is not going to be --  
14 certainly, if there are weight changes in the reproductive  
15 organs, that would be a valuable indicator for that  
16 experiment. But it might not be as subtle an indicator as  
17 some of the other studies which looked at sperm, effects  
18 on sperm, whether it's increase in abnormal sperm or  
19 decreases in motility and viability.

20 And one of the things that I have been thinking  
21 about when you were giving your comments is sorting  
22 through the -- because there are a number of these studies  
23 that focused not on organ weights, but on evaluating the  
24 testosterone and sperm-related effects. Though they have  
25 some of the issues you were talking about in terms of

1 which ones used technical grade exposure versus something  
2 different. And so I think the difference between the  
3 document we got and the presentation here today in terms  
4 of -- because there's more clarity in this presentation  
5 about whether they were exposed to the actual pesticide  
6 technical grade is -- I think would have been helpful to  
7 have in this earlier --

8 MS. IYER: It's there in the appendix.

9 COMMITTEE MEMBER WOODRUFF: Yes, in the appendix.  
10 I like having it in the table. That's just my comment.

11 I would say that does -- I think we should sort  
12 through the studies based on the outcomes. Some are more  
13 subtle evaluations of reproductive effects than some of  
14 the others that were evaluated in these studies.

15 CHAIRPERSON GOLD: Thank you. Other comments  
16 from the panel?

17 Dr. Baskin.

18 COMMITTEE MEMBER BASKIN: So to the panel, and  
19 Dr. Rocca specifically, the concern with the majority of  
20 studies is that Deltamethrin wasn't being tested  
21 specifically. I just want to verify that.

22 COMMITTEE MEMBER ROCCA: Yes.

23 COMMITTEE MEMBER BASKIN: So something in the  
24 gamish of chemicals could be causing decrease in  
25 spermatogenesis and apoptosis and some of the serious

1 effects we're seeing. But we can't directly relate that  
2 to Deltamethrin. That was your major concern.

3 COMMITTEE MEMBER ROCCA: Yes. It does appear  
4 that the mixture is a male reproductive toxicant, because  
5 that was a consistent finding where they used the  
6 mixtures. But in any of those that we know the purity and  
7 they did not use that, it wasn't seen.

8 COMMITTEE MEMBER BASKIN: Thank you.

9 COMMITTEE MEMBER WOODRUFF: Though -- but let me  
10 see. You said the ones that had the mixture were -- I  
11 wrote down were the Oda study, the Abd el-Aziz study, but  
12 not all the studies that saw an effect used a mixture;  
13 right?

14 COMMITTEE MEMBER ROCCA: Do you have a specific  
15 one?

16 COMMITTEE MEMBER WOODRUFF: I'm looking at the  
17 Issam study, Ben Abdallah two studies, and Ben Slima and  
18 Salem. Yes.

19 COMMITTEE MEMBER ROCCA: So for the male  
20 reproductive end points, do you want me to list those  
21 studies again? Would that be helpful?

22 COMMITTEE MEMBER WOODRUFF: I'm just -- I guess  
23 I'm saying not -- there were some studies in the male  
24 reproductive end points that used a mixture, but not every  
25 study that saw an effect used a mixture. I guess that was

1 my --

2 COMMITTEE MEMBER ROCCA: So the ones that used  
3 mixtures were the Abd el-Aziz, Oda, Shukla, Salem.

4 The studies for which we have no purity  
5 information were the in vitro, Ben Abdallah, the  
6 intraperitoneal study for El-Gohary, and the subcutaneous  
7 study for Issam 2009. So none of those have found any  
8 purity data whatsoever. So we really don't know what the  
9 doses were. And in some of them, the doses were not even  
10 stated. So for the male fertility end points, I was  
11 basing my assessment primarily on the Hoberman and Wrenn  
12 multi-generational studies.

13 COMMITTEE MEMBER WOODRUFF: Right. But those  
14 studies did not -- the Wrenn and the Hoberman studies did  
15 not evaluate sperm effect; is that right? Right? The  
16 histology and testis weight, and epididymal weight.

17 CHAIRPERSON GOLD: Dr. Nazmi.

18 COMMITTEE MEMBER NAZMI: I think it might be  
19 important to point out that the commercial formulations --  
20 you mentioned, for example, the Butox. I think it's  
21 important to mention that the majority of the ingredients  
22 are considered expedients and the common link between the  
23 commercial preparations is the base Deltamethrin. So I  
24 think completely disregarding those studies is a mistake.

25 CHAIRPERSON GOLD: Dr. Pessah.

1           COMMITTEE MEMBER PESSAH: I guess the concern is  
2 that the formulation itself is causing the effects and  
3 none of the studies that you've dismissed are looking at  
4 an appropriate vehicle control, is that --

5           COMMITTEE MEMBER ROCCA: Yes. They did not have  
6 a vehicle control. They had a corn oil control or water  
7 control. If they had an appropriate excipient and vehicle  
8 control, that would have been very helpful.

9           COMMITTEE MEMBER PESSAH: Is there any evidence  
10 that we know that Decis and Butox, in fact, have these  
11 kinds of reproductive effects at the levels that were used  
12 in these studies? I mean, from other studies, regardless  
13 of whether they were looking at Deltamethrin.

14           COMMITTEE MEMBER ROCCA: The excipients, you're  
15 asking?

16           COMMITTEE MEMBER PESSAH: Yes.

17           COMMITTEE MEMBER ROCCA: I do not know.

18           COMMITTEE MEMBER PESSAH: So we cannot discount  
19 that the excipients are or aren't. Yeah.

20           And by the way, the technical mixture would  
21 essentially based on a mig per kig, that wasn't based on  
22 the active principle. That was based on the total weight,  
23 which means if Deltamethrin was causing these effects, it  
24 would be at a much lower level and would influence the  
25 NOEL.

1           COMMITTEE MEMBER ROCCA: Exactly. It would have  
2 been at less than, depending on which mix they use five  
3 percent of what the stated dose was. When you compare it  
4 to the studies, they use much, much higher doses. It just  
5 doesn't seem plausible that that's the chemical that's  
6 causing this.

7           CHAIRPERSON GOLD: Other comments from the  
8 Committee?

9           Okay. Hearing none, I think we can go to public  
10 comments at this time. I think what we'll do is start  
11 with them and then we will take a lunch break. Since we  
12 have three commentors and we've given them each 20  
13 minutes, I think rather than go for an entire hour --  
14 although if the Committee feels like it can go for an  
15 entire hour and also the recorder. Are you good for  
16 another hour?

17           We can give that to a shot, to try to fit in  
18 three public comments in the next hour. And so we have  
19 Stan Landfair first; correct? Thank you.

20           MR. LANDFAIR: For your benefit, Dr. Gold, I can  
21 be quite flexible. If you'd like me to go now, if you'd  
22 like me to split it up.

23           CHAIRPERSON GOLD: I'm going in the order in  
24 which they were received.

25           MR. LANDFAIR: Yes, I'm first.

1 CHAIRPERSON GOLD: That's fine.

2 MR. LANDFAIR: Well, to introduce myself, my name  
3 is Stanley Landfair. I'm an attorney with the firm of  
4 McKenna, Long, and Aldridge. And in one capacity here, I  
5 represent Bayer CropScience.

6 If I could have the next slide, please.

7 --o0o--

8 MR. LANDFAIR: I'm also the author of the March  
9 8th letter requesting the opportunity to make this  
10 presentation. And I want to acknowledge that that request  
11 was made by Bayer, but with the ascent of Valient  
12 Biosciences Corporation, Consumer Specialty Products  
13 Association, and Western Plant Health Association.

14 The reason I'm spending time on this, Chairman  
15 Gold and the Committee, is because we want to thank you  
16 for this opportunity to speak with you.

17 CSPA and the Western Plant Health Association  
18 made their request purely from a process point and we're  
19 concerned about the opportunity for the manufacturing  
20 community and the user community to interact with the  
21 Committee. This is the only opportunity we get. And I  
22 want you to know that our thanks are heartfelt for the  
23 opportunity to speak with you.

24 And what we hope to present to you is the  
25 opportunity of an interactive dialogue with other people



1 who are quite knowledgeable about these data and can help  
2 to inform your decision.

3           In that regard, I'd like to introduce the two  
4 speakers. The first is Larry Sheets from Bayer. Dr.  
5 Sheets holds his Ph.D. in toxicology from the University  
6 of Kansas Medical Center. He also earned a post-doctoral  
7 fellowship studying with U.S. EPA. He has studied  
8 Deltamethrin in various capacities. He has been a study  
9 director for much of his career. He has conducted dozens  
10 of studies, including pioneer work in developmental  
11 toxicity and developmental neurotoxicity. In his present  
12 position, he is a research fellow with Bayer. And he  
13 holds the position of the Human Safety Manager for  
14 Deltamethrin. He is, indeed, an authority, familiar with  
15 all the studies, and is prepared to address questions from  
16 all of you.

17           Our other substantive speaker is Dr. Jay Murray,  
18 consulting toxicologist from Murray and Associates. Dr.  
19 Murray's published works include many developmental and  
20 reproductive toxicity studies on chemicals such as  
21 Benzene, sulfur dioxide, TCDD, DBCP, chloroform,  
22 acrylonitrile. Dr. Murray was formerly a member of this  
23 Committee and he was, indeed, the Chair of this Committee  
24 for a period of time.

25           Before they begin, I'd like to speak just a few

1 words about the standard for living. I'd like to talk  
2 about the standard of living, but first we're going to  
3 talk about the standard for listing. And it causes me to  
4 say a word first just about the role of lawyers in this  
5 process. One of the former directors of this institution  
6 once said to me, "Stan, Prop. 65 is half law and half  
7 science. We all have to live with that."

8           Now, I know the lawyers tend to give short shrift  
9 sometimes to the science and the scientists tend to give  
10 short shrift sometimes to the law. But in order to  
11 implement this statute properly, we really need to marry  
12 the two together and observe both in order to reach the  
13 proper outcomes.

14           So the next slide, please.

15                           --o0o--

16           MR. LANDFAIR: I'd like to spend just a moment on  
17 this standard for listing and the oft-raised question of  
18 what it means to be clearly shown through scientifically  
19 valid testing according to generally accepted scientific  
20 principles.

21           The key word that we come to discuss from time to  
22 time is what it means to be clearly shown. And as Carol  
23 appropriately pointed out to you, that's not a legal term  
24 of art. It has no specialized meaning. It's just two  
25 English words, which we need to ask you to take in their

1 ordinary plain spoken English context. It means clearly  
2 shown. And if we seek any further guidance on that, I  
3 found some the other day in Roget's Thesaurus. Clearly  
4 shown is a synonym for proven. Shown there is no longer a  
5 doubt. It's proven.

6 So that does bare some elaboration in light of a  
7 recent court case. If I could have the next slide,  
8 please.

9 --o0o--

10 MR. LANDFAIR: The California Court of Appeal had  
11 an occasion to address this issue recently of what it  
12 meant when chemicals are listed and had the chance to  
13 review this. And the key word that I'd like to bring to  
14 your attention here, the key sentence is the last one  
15 highlighted. It says, "Chemicals that are only suspect  
16 are not included those are not supposed to be listed."  
17 And the next slide, please.

18 --o0o--

19 MR. LANDFAIR: In this regard, the panels of the  
20 committee's criteria document instructs that we are  
21 supposed to approach this from a weight of evidence  
22 approach. That's what we'll ask you to do as the  
23 manufacturers and distributors of Deltamethrin products is  
24 to weigh the evidence and determine whether or not the  
25 product is clearly shown in that context to cause

1 reproductive toxicity.

2 I have one last comment, if you give me the last  
3 slide.

4 --o0o--

5 MR. LANDFAIR: I'd like to bring up just a note  
6 about another mechanism for listing, which is not at issue  
7 here, which is the authoritative bodies listing. As you  
8 probably know, but may not, there are other mechanisms for  
9 listing chemicals. One is the so-called authoritative  
10 bodies listing mechanism, which provides that if one or  
11 more of certain chemicals that are -- agencies that are  
12 designated as authoritative bodies would formally identify  
13 a chemical as causing reproductive toxicity, it would be  
14 listed automatically.

15 In this context, with this chemical, it is  
16 significant in our view that Deltamethrin is regulated  
17 around the world by pesticide regulatory agencies and in  
18 the United States is regulated and has been regulated  
19 since 1994 by the U.S. Environmental Protection Agency,  
20 which is an authoritative body. The U.S. EPA has reviewed  
21 the database constantly and repeatedly for this chemical  
22 for nearly 20 years. If they had ever deemed it or  
23 formerly identified it to be a reproductive toxicant, we  
24 wouldn't be here today.

25 In our view, the chemical does not qualify for

1 listing, but I don't want to over extend my expertise.  
2 I'd like to turn over the floor to Dr. Sheets and Dr.  
3 Murray, if you'd like to proceed now. Or if not, after  
4 lunch.

5 CHAIRPERSON GOLD: So you're not going to speak  
6 for a total of 20 minutes, is that it?

7 MR. LANDFAIR: I just want to be responsive to  
8 you. That's all I need.

9 CHAIRPERSON GOLD: That's all you need. Okay.  
10 Thank you.

11 Are there any questions for Mr. Landfair?

12 COMMITTEE MEMBER WOODRUFF: Yeah, I have a  
13 question. Are we going to get a copy of the slides, your  
14 slides?

15 MR. LANDFAIR: I'm sorry?

16 COMMITTEE MEMBER WOODRUFF: Will we be getting a  
17 copy of the slides?

18 MR. LANDFAIR: I've given a copy to Cindy, and  
19 I've got some extra copies right here if you'd like them.  
20 I'll pass them out.

21 COMMITTEE MEMBER WOODRUFF: My other question is  
22 has U.S. EPA made a hazard identification call on  
23 Deltamethrin?

24 MR. LANDFAIR: I'm going to let Dr. Sheets  
25 address all the questions about the data, if that's okay

1 with you?

2 COMMITTEE MEMBER WOODRUFF: Because it says on  
3 your slide that they are an authoritative body; right?

4 MR. LANDFAIR: That's correct.

5 COMMITTEE MEMBER WOODRUFF: And the other  
6 question I had was related to the guidelines. We got a  
7 copy of the criteria for recommending chemicals for  
8 listing, as known to the State to cause reproductive  
9 toxicity. And I've been looking through these because I'm  
10 trying to look at all the data and figure out how -- what  
11 the current guidelines are for evaluating. And it does  
12 have that there's different variations on the weight of  
13 evidence considerations. So, for example, they have a  
14 listing for what might be sufficient evidence in humans  
15 and what might be sufficient -- considered sufficient  
16 evidence in experimental animals.

17 Will you be talking about that in reference to  
18 these guidelines? So, for example, number of -- you can  
19 have this weight of evidence consideration for animals for  
20 sufficient includes consideration of data on a single  
21 species from a well-conducted developmental and  
22 reproduction study may be sufficient to classify an agent  
23 as a reproductive toxicant, provided they're not equally  
24 well conducted studies that show an effect and have  
25 sufficient power to call into question the repeatability

1 of the observation in the positive study.

2           So some of those things I've been thinking about,  
3 that is one criteria or data on more than one species or  
4 for more than a single study increase the confidence or  
5 classification of an agent on a reproductive toxicant.

6           So I think those are also things that are -- that  
7 I'm thinking about as we're looking through these studies  
8 and considering your -- some of the language that is  
9 legally binding for how we decide whether these are  
10 reproductive or developmental toxicants.

11           MR. LANDFAIR: As I'm sure Ms. Monahan-Cummings  
12 will tell you, the DARTs criteria document are guidance.  
13 They are published by this Committee, although sometime  
14 ago. And they serve as a tool for your use. They're  
15 flexible. They don't box you in. They encourage you to  
16 look at the totality of the data and consider the weight.

17           And to answer one of your questions, the complete  
18 criteria were attached to a copy of our submission on  
19 December 12th, I believe. Is that Attachment A?

20           COMMITTEE MEMBER WOODRUFF: Okay.

21           MR. LANDFAIR: And I know Dr. Murray would like  
22 to speak to you in terms of actually how he would go about  
23 weighing the evidence against those criteria in this case.

24           CHAIRPERSON GOLD: Ms. Monahan-Cummings, do you  
25 have a comment?

1 CHIEF COUNSEL MONAHAN-CUMMINGS: Yeah. A couple  
2 comments, just briefly.

3 In terms of your questions, Dr. Woodruff, where  
4 to begin here? You were asking about the guidance that  
5 you have from the Committee that was developed several  
6 years ago by this Committee, and it is considered general  
7 guidance. You actually as a Committee can modify that,  
8 change it in whatever way you think is appropriate. It  
9 was developed some years ago before some of the newer  
10 scientific methodology was developed. And we discussed  
11 that a little bit at the last meeting about what some of  
12 the duties of this Committee are and your abilities to  
13 change the various materials. And that also includes the  
14 criteria that is used for authoritative body listing. Mr.  
15 Landfair mentioned the authoritative bodies and also  
16 mentioned a decision -- a court decision in the Styrene  
17 Information and Research Council case against OEHHA. On  
18 both of those, the case actually dealt with a different  
19 listing mechanism. It's called a labor code listing  
20 mechanism. It has nothing to do with this Committee and  
21 neither does that decision. It was decided on a different  
22 standard, on different facts, and in a different context  
23 than what this Committee does.

24 In terms of the authoritative body listings,  
25 again, the criteria is different for that listing



1 mechanism. I mentioned this at our last meeting, but  
2 there are four listing mechanisms under Prop. 65. Each  
3 one of them is separate. Each one of them has a slightly  
4 different set of criteria. Criteria for this Committee  
5 that we mentioned already and so has Mr. Landfair is  
6 clearly shown through scientifically valid evidence.

7           So really, the criteria that you would be  
8 applying here has to do with the scientifically valid  
9 evidence clearly shown standard. And whether or not U.S.  
10 EPA has determined whether the chemical is a reproductive  
11 toxicant is really not relevant here. I understand our  
12 commenters may disagree. But from my perspective as  
13 counsel for this Committee, it doesn't have anything to do  
14 with it.

15           MR. LANDFAIR: Well, I think you may learn now  
16 why we don't like to have lawyers speaking before the  
17 Committee. I think Ms. Monahan-Cummings is trying to  
18 extend my remarks far beyond what I intended.

19           What I intended to point out to you here is EPA  
20 has considered this many times and has never declared the  
21 chemical a reproductive toxicant. Leave that as it may,  
22 as I said, if it had, it would have been listed by that  
23 mechanism.

24           With respect to clearly shown, the statute says  
25 clearly shown. And I've tried to explain to you how you

1 can use that term in your own use.

2 With respect to the DART criteria, of course, you  
3 may change them. We trust if you were to change them, you  
4 would do it in the future. You would not do it  
5 retroactively or on the fly at this proceeding.

6 And the point I tried to make with respect to the  
7 DART criteria is that they encourage you to use the weight  
8 of the evidence approach. I think we can all agree on  
9 that.

10 CHAIRPERSON GOLD: Okay. Thank you. Are you  
11 finished?

12 MR. LANDFAIR: Unless you have further questions  
13 for me.

14 CHAIRPERSON GOLD: Any further questions from the  
15 Committee?

16 MR. LANDFAIR: Thank you again. We appreciate  
17 the opportunity to be heard.

18 CHAIRPERSON GOLD: Thank you. So the next  
19 speaker is Larry Sheets, who will get 20 minutes. We  
20 did -- and Jay Murray will get 20 minutes. And then we  
21 have two other speakers -- we'll see how the time goes as  
22 to whether we do those before or after lunch.

23 (Thereupon an overhead presentation was  
24 presented as follows.)

25 DR. SHEETS: Thank you for letting me speak

1 today. I appreciate all the time and effort you've put  
2 into evaluating the data with Deltamethrin, and I  
3 appreciate the comments that were provided.

4 I promise not to overly repeat what you've  
5 already discussed. But I think the approach I'm going to  
6 take is to really systematically go through the  
7 information that we have, starting with the guideline  
8 studies, to determine whether Deltamethrin is a  
9 reproductive or developmental toxicant. And I want to go  
10 through each of the principle findings that were  
11 identified in the HID and evaluate those in the context of  
12 a weight to evidence to say are these findings credible  
13 relative to all the available information? Are there some  
14 indications in there that raise uncertainties with respect  
15 to findings of a given dose that contradict or contrast,  
16 for example, with findings we have at much higher dose  
17 levels in other studies.

18 Next slide, please.

19 --o0o--

20 DR. SHEETS: So it's already been mentioned  
21 Deltamethrin is a Type II pyrethroid. Its principle  
22 effect is certainly on the nervous system, effecting the  
23 voltage-gated sodium ion channels and nerve membranes.  
24 And the principle effects we see in the Tox database,  
25 whether it's by an acute bolus dose or a chronic dietary

1 exposure are indications of acute neurotoxicity. The  
2 driver for chronic risk assessments are acute neurotoxic  
3 signs in a one-year dog study, for example. It's really  
4 not a cumulative toxicant, by and large.

5 Next slide, please.

6 --o0o--

7 DR. SHEETS: I will be emphasizing the relative  
8 value and the weight that I think we ought to place onto  
9 the guideline studies. It's not to say I think my studies  
10 or our studies are better than someone else's. But I  
11 think a lot of the questions you've raised in terms of  
12 uncertainties with regard to what the animals received and  
13 how and what they were -- some of the test elements, et  
14 cetera, really point back to the importance of and the  
15 added value of GLP studies, conducted in accordance with  
16 standard guidelines where we have a lot of data and a lot  
17 of experience running the tests that we performed.

18 GLP, as most of you likely know, really gets down  
19 to very rigorous documentation and oversight of everything  
20 that's done in the laboratories. The staff follow  
21 standard operating procedures. And protocols are  
22 established in advance, to be sure the studies are  
23 conducted in accordance with the test guideline  
24 requirement to satisfy global registrations.

25 These study designs, the tests and design of the

1 study is not something that the individual labs come up  
2 with. These are stringently designed to -- in accordance  
3 with standards that really lend themselves to help  
4 toxicologists and the regulatory bodies evaluate the data,  
5 the significance of the findings. We work with a very  
6 high grade technical material. You look at the guideline  
7 studies, you know what the dose was. You know what the  
8 treatment was the animal received. Everything is very  
9 rigorously documented in terms of purity and doses and  
10 things like that.

11 Standard study design always requires we run at  
12 least three dose levels with an appropriate control. And  
13 that control is something -- is the animals receive  
14 everything, but the test materials, so we really have a  
15 very good standard reference to know what is the effect of  
16 the chemical Deltamethrin on those animals at different  
17 dose levels. And guidelines require that the low dose be  
18 one that we expect to show no adverse effects. The high  
19 dose is the highest dose the animals will tolerate without  
20 overly interfering with the interpretation of the data.

21 As I discuss the two-gen study in a second, we  
22 slightly exceeded that. It really compromises to some  
23 extent the ability to interpret the data at very high dose  
24 levels. The mid-dose is something in the middle typically  
25 halfway between the low and the high dose. You look for a

1 graded increase in the effect, obviously, to help with the  
2 interpretation.

3 I already mention reference to appropriate  
4 controls. We also have a lot of historical controls that  
5 are referenced, so if we have -- if we had values that are  
6 either trending or statistically significant, or we see  
7 some controls that seem a little abberant we have a rich  
8 database to refer back to to say how do those animals at  
9 various dose levels, including the controls, compare with  
10 the controls in the current study. And that's something  
11 that's sorely missing in a lot of the published data that  
12 we have to look at where we're comparing one group treated  
13 to one control group and any difference is considered to  
14 be a potential effect.

15 We have sufficient sample sizes. And I do have  
16 to be cognizant of my time here and move along. But in  
17 many of these studies, you'll see samples of three, five,  
18 eight animals. The guidelines specify what sample size.  
19 And for these developmental repro tox studies, typically  
20 it's a minimum of 20 animals per dose group using a route  
21 and duration of exposure that's relevant for the purpose.  
22 Route being relevant to potential human exposures with the  
23 appropriate selection of end points.

24 If I can have the next slide.

25 --o0o--

1 DR. SHEETS: These are the complements of  
2 developmental and repro tox studies that we have with  
3 Deltamethrin. It's already been mentioned kindly we've  
4 got the two and three generation studies. We have  
5 developmental toxicity studies, and the two standard  
6 animal models, the rat and the rabbit with exposures to  
7 implantation through gestation. And we have the  
8 developmental neuro tox study. And as I go through my  
9 slides, I'll also try to attend to some of the questions  
10 that were raised that I think I can answer. For example,  
11 with the DNT study, those animals' exposure stopped at  
12 lactation day 21, but we extend the testing of those  
13 animals until they're adults at 60 to 70 days of age to  
14 look for latent effects or persistent effects.

15 I think we should also point out that we have a  
16 lot of data in adult animals that's relevant here with 90  
17 day and one year exposure studies in three species where  
18 we look at reproductive tissues for evidence of  
19 histopathology. In all cases, these tissues have shown no  
20 specific effects and no histological changes.

21 Next slide, please.

22 --o0o--

23 DR. SHEETS: The two-gen study I think in the  
24 context of what we're looking at here is a key study,  
25 because it's a very rigorous and extended exposure study.

1 This study was performed at Argus labs, which is very well  
2 renowned for their experience and expertise with  
3 developmental and reproductive toxicity studies.

4 In this study, very briefly, the study design  
5 starts at twelve weeks. The exposure starts twelve weeks  
6 before the animals are co-housed for mating to make sure  
7 you cover the full spermatogenic cycle and extends through  
8 the delivery of those offspring. Those animals are  
9 raised, mated, and until the offspring of those pups go  
10 out to postnatal day 21.

11 In this study, there's 30 animals per sex per  
12 dietary level. Four dose levels, including a high dose  
13 where we actually produce a pretty considerable toxicity,  
14 with one dam in the P generation that died and another  
15 animal showed signs of neurotoxicity. Those were most  
16 clearly seen during the lactation phase when the dietary  
17 intake, the intake of the treated diet, increases in the  
18 mothers. So we see signs of neurotoxicity.

19 There were no histopathological effects. There  
20 were decreases in body weight in those animals and tissue  
21 weight decreases in the females which has already been  
22 mentioned.

23 The F1 animals showed also acute neurotoxicity,  
24 including mortality, at the time they started eating the  
25 treated diet. Those animals eat a lot of feed per



1 kilogram or gram of body weight. And they expressed clear  
2 acute neurotoxic signs, including a significant number of  
3 those pups that died.

4           The tissue weight decreases in those animals was  
5 clearly secondary to decrease in the body weight. There  
6 was some reference to the brain weight being conserved.  
7 We always see that in these studies. Brain weight is  
8 conserved relative to other tissues. That's very common.  
9 It doesn't take anything away from the fact that the  
10 effects on tissue weights in this study were associated  
11 with a decreased body weight during growth and  
12 development.

13           Going to the next slide, please.

14                           --o0o--

15           DR. SHEETS: There were two findings that were  
16 cited in the HID. As I go through the rest of the  
17 material, I'm going to go through these principle findings  
18 that were identified and evaluate them. In this case,  
19 these are two findings that were cited as evidence or  
20 potential evidence of reproductive toxicity in the two-gen  
21 study.

22           I've already mentioned the fact these decreases  
23 in tissue weights were associated with significant  
24 decreases in body weight. So it was only absolute tissue  
25 weight reduced and not an effect on relative weight when

1 you take into consideration the body weight of these  
2 animals.

3           These findings occurred only at the high dose  
4 level. They were associated with a dose that produced  
5 mortality, decreased body weight. And there was no  
6 evidence of histopathology in these tissues.

7           So the conclusion is the findings at the high  
8 dose that were cited in the HID are secondary to decrease  
9 in body weight and mortality and not evidence of  
10 reproductive toxicity. Next slide, please.

11                           --o0o--

12           DR. SHEETS: And what I just said is consistent  
13 with the evaluation performed by the California Department  
14 of Pesticide Regulations, U.S. EPA, the European Union,  
15 and the World Health Organization. They looked at the  
16 results from this two-gen study. They noted the signs of  
17 neurotoxicity and decreases in body weight in the parental  
18 and the F1 generation pups. And they conclude there is no  
19 evidence of reproductive toxicity, even at a dose level  
20 that produced lethality.

21           And really, the evidence that developmental  
22 toxicity were not effects that were expressed during fetal  
23 development, but rather were expressed postnatally,  
24 particularly when the animals started eating the treated  
25 feed and received very high dose levels.

1           Before I go on to the rest of the studies, I'd  
2 like to ask if there are any questions about the guideline  
3 study information we have before I go on to the rest of  
4 the material and digging into the details.

5           CHAIRPERSON GOLD: Dr. Pessah.

6           COMMITTEE MEMBER PESSAH: I just want to make  
7 sure I understand this correctly. In the three-generation  
8 study, the second generation actually had reduced effects  
9 on body weight and mortality? But they continued to  
10 receive the --

11          DR. SHEETS: So there were two multi-generation  
12 studies. The Wrenn study from 1980 predated the  
13 guidelines. And one of the main deficiencies that were  
14 identified in that study is it didn't meet MTD criteria.  
15 There was essentially no toxicity shown in that study up  
16 to a dose equivalent to two and a half milligrams per  
17 kilogram per day.

18          I point that out because I think that information  
19 is also useful as we're looking at other studies that show  
20 findings at one and two milligrams per kilogram per day.

21          The study I'm dealing with here is the two-gen  
22 study by Hoberman. Those animals are treated through the  
23 diet throughout the P, the F1, and the F1's delivery of  
24 their offspring. Does that answer your question?

25          COMMITTEE MEMBER PESSAH: There's an increase in

1 resistance to the compound, given there's fewer effects in  
2 the second generation?

3 DR. SHEETS: No. There were more toxicity in the  
4 second generation in terms of there were more deaths. And  
5 the pups, when they started eating the treated feed, their  
6 dose level really goes up significantly.

7 So the findings that we see are really associated  
8 with the dietary intake and the acute effects from what  
9 those animals are receiving on a daily basis. Does that  
10 answer your question? Okay.

11 CHAIRPERSON GOLD: Other questions before we  
12 proceed from the panel? Okay. Go on.

13 DR. SHEETS: Thank you.

14 Next slide, please.

15 --o0o--

16 DR. SHEETS: What I'm doing now -- and I don't  
17 want to belabor the point, but I think in some cases some  
18 of the questions you had in terms of really we need to  
19 look back and say, well, so why did this study show one  
20 thing and another study didn't, or do we have the evidence  
21 from -- do we just have a finding in one study that's  
22 either unexplained or we have some frame of reference.

23 So I've gone through the principle findings  
24 identified in the HID and tried to -- I've highlighted in  
25 this red some points that I think are critical issues for

1 us to evaluate.

2           And in the interest of time and your attention  
3 span, I'll try not to dwell too much on those, but rather  
4 to move through these very quickly.

5           So there was one other pair of studies from one  
6 lab that looked at female reproductive toxicity. In this  
7 case, I just point out what Dr. Rocca has already noted.  
8 This is one of the studies that tested a formulation that  
9 has 2.5 percent Deltamethrin. I think it's important for  
10 you to know that the other 97.5 percent formulating agents  
11 are not just detergents but also included aromatic  
12 hydrocarbons.

13           One of the things with Deltamethrin is it's very  
14 water insoluble. It's necessary to formulate these in a  
15 fashion that allows it to be mixed in a way that it can be  
16 dispensed and used in the real world. And as was already  
17 pointed out, it's critical deficiency to note the controls  
18 didn't receive the formulation minus the Deltamethrin.  
19 They received water. So it's really inappropriate for a  
20 reference.

21           So the conclusion from the female reproductive  
22 toxicity perspective is really there is no credible  
23 evidence to indicate that there is a current -- an issue.  
24 And in fact, the guideline studies indicate quite clearly  
25 that there's not, even at dose levels that produce

1 lethality.

2 --o0o--

3 DR. SHEETS: I have a few slides that deal with  
4 the male reproductive toxicity and including the question  
5 about the sperm parameters that were mentioned earlier,  
6 because those aren't evaluated in this same way in the  
7 guideline studies as had been reported in a couple of  
8 publications.

9 To quickly point out the same deficiency with the  
10 first study dealing with sperm effects, this was a Decis  
11 five percent formulation. It was 95 percent formulating  
12 agent, including aromatic hydrocarbons. The controls  
13 again received water.

14 In this case, the effect on conception rate  
15 indicates that was actually lethal to the fetuses at dose  
16 levels of one or two milligrams per kilogram. I've  
17 already said in the two-gen study, we tested 26 milligram  
18 per kilogram. And in the developmental neurotox study,  
19 the high dose was 16 milligrams per kilogram per day. So  
20 there is a real inconsistency that says this formulation  
21 is really much more toxic than Deltamethrin.

22 The second one refers to a study where the  
23 subcutaneous dose administration at a very low dose level,  
24 as Dr. Rocca pointed out, we don't know the test material  
25 purity. And it's really not appropriate to have a study

1 with just one dose level for reference.

2           So succinctly I would just say -- I'll be more  
3 succinct on some other slide, there's critical flaws in  
4 these studies. And the findings are inconsistent with the  
5 absence of histopathology and the two-gen and the adult  
6 studies looking for histopathology in the testis and  
7 epididymis.

8           Although, in those studies, they don't  
9 specifically look at live sperm and sperm motility, as  
10 some of these studies do. And I'll talk to that in the  
11 next slide.

12   --o0o--

13           DR. SHEETS: Continuing with effects on the  
14 testis, this is a study by El-Gohary, and an IP dose,  
15 which is not relevant, they report really arrested  
16 spermatogenesis at a dose of one milligram per kilogram  
17 per day. Obviously, that's not something that would have  
18 been overlooked in the guideline studies that have been  
19 performed. So there's something going on there that  
20 raises a flag. We don't really understand what they  
21 tested, what they did. They referred to testing  
22 Deltamethrin, but that's really an inconsistency. So we  
23 don't really know what they did test.

24           One dose level -- generally just a flawed study  
25 and with findings that are inconsistent with, frankly,

1 more credible data from other studies.

2           The study by Andrade, those findings are  
3 interesting. As you've gone through the papers, you see  
4 the more you dig into some of these, a little more clear.  
5 There are things that are unclear. And so in this study,  
6 it was -- in some ways, it looked like a pretty good study  
7 with high purity test material and three dose levels.  
8 When you look at the findings -- and I'll give the  
9 author's credit. They say they were subtle changes. But  
10 the number would decrease to ejaculate that was not  
11 statistically significant. The findings weren't dose  
12 related. Without a historical control reference group to  
13 look back to, you don't know whether the controls were a  
14 little bit odd or what's going on. It's really not a very  
15 strong finding.

16           The decreased tissue weights that was identified  
17 in the HID again are associated with decreased body  
18 weight. And it's interesting that the effect on -- they  
19 reported a decrease in the seminiferous tubule diameter.  
20 If you do the calculations, it was a 5.7 percent  
21 difference from that control group with no reference to  
22 historical controls. So it's really a very minimal  
23 difference from one control group. So it's really  
24 insufficient evidence to say there are concerns here of an  
25 effect.



1           Next slide, please.

2                               --o0o--

3           DR. SHEETS: I think this will wrap up the male  
4 repro tox.

5           These are the findings with sperm parameters,  
6 decreased sperm count, motility and viability.

7           As Dr. Woodruff pointed out, we look at  
8 histopathology in the testis and epididymus in multiple  
9 studies. There is no evidence of histopathology even at  
10 much higher dose levels.

11           In the guideline studies, we didn't specifically  
12 look at these parameters. We need to look at these  
13 studies at face values to see how credible we think the  
14 findings are.

15           The first study by Abdallah 2010. They tested  
16 one dose level. The purity was unknown. It's one of  
17 those where you're comparing one control group to one  
18 treated group.

19           And the question is: Is that really biologically  
20 significant or robust finding? Or is it an incidental  
21 finding?

22           The second study by Salem in 1988 with a decrease  
23 in ejaculate volume and sperm concentration with increased  
24 dead sperm in the rabbit, sample size of three animals is  
25 grossly inadequate for this kind of study. We don't know





1 a delay. And the same with vaginal opening. And Dr.  
2 Rocca appropriately pointed out when you have effects on  
3 body weight, you can get modest delays in sexual  
4 development or development of these markers.

5 Next slide please.

6 --o0o--

7 DR. SHEETS: I think the second study here, the  
8 Kandil 2006 with the formulation again. I don't need to  
9 reiterate the limitations of studies with formulations.

10 Next slide.

11 --o0o--

12 DR. SHEETS: So down to my last two slides, so I  
13 think maybe I'm going to make it, if you don't cut me off.

14 I think it's important in a weight of evidence  
15 context then and the way that we've tried to evaluate the  
16 data to say the DART studies that have been performed in  
17 accordance with GLP and global standards have determined  
18 Deltamethrin is not a reproductive toxicant. Findings  
19 from other sources are generally unreliable for the  
20 reasons I've pointed out and are insufficient to challenge  
21 this determination.

22 With respect to the developmental toxicity, the  
23 same point is that, from our studies, the guideline  
24 studies that have been performed over decades have shown  
25 it is not a developmental toxicant. And the findings from

1 other sources identified in the HID are generally  
2 unreliable for the reasons I've mentioned or associated  
3 with general toxicity to the mother and the offspring.  
4 Next slide, please.

5 --o0o--

6 DR. SHEETS: To conclude, I would point out these  
7 are not just the judgments from me or anybody else in this  
8 room, but these are evaluations consistent with reviews by  
9 regulatory agencies around the world that are responsible  
10 for protecting the public health in their regions of the  
11 world. And they've determined that Deltamethrin is not a  
12 developmental reproductive toxicant. And that includes  
13 reviews that are quite current.

14 So with that, I'd like to close and ask if there  
15 are any questions.

16 CHAIRPERSON GOLD: Thank you.

17 Any comments or questions from the panel for Mr.  
18 Sheets? Dr. Pessah.

19 COMMITTEE MEMBER PESSAH: You were involved in  
20 the Gilmore 2006. And so one of the findings was that  
21 there was early behavioral abnormality that was detected  
22 in terms of -- would you speak to that, because it seems  
23 to be missing from your presentation?

24 DR. SHEETS: I'm sorry. The vocalizations? So  
25 we looked at the data and based on the pattern and

1 occurring at the high dose we figured that that was an  
2 effect.

3 And that's not unusual with the Type II  
4 pyrethroid. They tend to be hyperreactive. They tend to  
5 react to being perturbed and picked up and things.

6 We don't know whether that was actually an acute  
7 neurotoxic effect or whether this was the very young  
8 animal, these pink erasers as we call them. With the  
9 Deltamethrin in the diet, they also get a certain amount  
10 of feed on their skin. And that could have been due to  
11 the paresthesia. When you handle them, it's irritating  
12 and somewhat painful to them, because you get the feed  
13 dust in the bedding material.

14 We didn't know which it was. But it's common to  
15 get a hyperreactivity to Deltamethrin and other Type II  
16 pyrethroids. So I would attribute it to a manifestation  
17 of acute neurotoxicity. But we don't know that  
18 specifically.

19 But I would just say it was just in one sex, just  
20 in the male pups, and only at the one time point. So what  
21 you make of that is -- I speculate what I think it was due  
22 to, but we didn't put that in the report because we don't  
23 like to speculate in our reports. We like to stay with  
24 exactly what the data say.

25 CHAIRPERSON GOLD: Other comments or questions

1 from the panel for Mr. Sheets?

2 Yes, Dr. Rocca.

3 COMMITTEE MEMBER ROCCA: Are the evaluations by  
4 the other regulatory authorities available publicly?

5 DR. SHEETS: Yes.

6 COMMITTEE MEMBER ROCCA: Thank you.

7 CHAIRPERSON GOLD: Dr. Alexeeff.

8 DIRECTOR ALEXEEFF: Thank you very much for your  
9 presentation. I had a question about these two  
10 formulations, Decis and Butox. Are these like standard  
11 formulations? Are these produced by Bayer? I was just  
12 wondering if you have any information.

13 DR. SHEETS: Well, I mean, to some extent, the  
14 actual formulating agents that are used in there is  
15 confidential information, because it could be used by your  
16 competitors. So it's -- but you can go online and look to  
17 the same extent I can.

18 And the reason I'm kind of hedging a little bit  
19 because I don't know exactly how they're -- they're using  
20 these in terms of I guess the source that they received it  
21 from. It was identified as Decis. I don't know -- that  
22 doesn't specifically mean a specific formulation. I can  
23 say that.

24 The Butox, I don't know about it, what it is,  
25 other than the information I can pull off online that says

1 that it generally includes the aromatic hydrocarbons.

2 Of course, what we are looking at today is what  
3 are the effects of Deltamethrin. When you have other  
4 agents in there that constitute 95-plus percent of what's  
5 there, clearly we're not just testing Deltamethrin.  
6 That's why I think you look to see, well, are the  
7 findings, for example, consistent with what we see with a  
8 known high purity test material or not. If they're not,  
9 you say, well, can that be explained by other agents that  
10 are in there? And then you're really a considerable step  
11 away from evaluating Deltamethrin.

12 DIRECTOR ALEXEEFF: The question I had was --

13 DR. SHEETS: I'm sorry.

14 DIRECTOR ALEXEEFF: Are these third party  
15 formulations?

16 DR. SHEETS: Yes, they can be. They can be. And  
17 that may be -- I don't know about the Butox specifically,  
18 because Deltamethrin is generic.

19 COMMITTEE MEMBER WOODRUFF: Does Bayer make  
20 Butox?

21 DR. SHEETS: Honestly, this is getting beyond  
22 toxicology in terms of what I know. That's why I'm  
23 looking over my shoulder.

24 The point they were sharing with me is these  
25 formulations are prepared in many countries around the



1 world. Some are manufactured by Bayer. Some, it's third  
2 party. And I'm sorry I can't answer you get better.

3 COMMITTEE MEMBER BASKIN: It sounds like you  
4 don't know what's in your product is what everybody's  
5 confused about.

6 DR. SHEETS: It's not my product. I'm not a  
7 manufacturer. I'm a toxicologist who works for Bayer.

8 CHAIRPERSON GOLD: Dr. Rocca.

9 COMMITTEE MEMBER ROCCA: As you said, one can go  
10 online and find out some of this information. So I do  
11 actually have a copy -- if the group would like to see  
12 it -- of the MSDS for Decis, and it contains 2.9 percent  
13 Deltamethrin, between one and five percent tetrapropylene,  
14 benzenesulfonic, calcium salt, between one and five  
15 percent of isobutanol, and greater than 50 percent solvent  
16 naphtha petroleum-like aromatic.

17 DIRECTOR ALEXEEFF: Does it indicate who produced  
18 the MSDS?

19 COMMITTEE MEMBER ROCCA: It has Bayer CropScience  
20 on it on the top of the version I got. I don't know if  
21 that means they produced it or produced MSDS for use in  
22 some of these studies.

23 CHAIRPERSON GOLD: Thank you.

24 Maybe Dr. Baskin first and then Dr. Pessah.

25 COMMITTEE MEMBER BASKIN: Couple questions.

1           Can you tell me what happens after you put it on  
2 crops? There is some data in the literature we have.  
3 From your perspective, this washes away and disappears or  
4 is there metabolites or any problems?

5           DR. SHEETS: Well, that's again specifically not  
6 toxicology. What we do is we have to evaluate the  
7 degradation in terms of identifying how much of the  
8 Deltamethrin or biologically significant metabolites are  
9 present on the crop at the time that it's harvested and  
10 that's strictly regulated, that the amount that's on  
11 there, the residues that remain on there, don't exceed  
12 tolerances that have been established by the EPA.

13           COMMITTEE MEMBER BASKIN: What happens to the  
14 Deltamethrin?

15           DR SHEETS: Well, there is a certain amount of  
16 environmental degradation. So it does degrade over time,  
17 both from light and environmental conditions.

18           COMMITTEE MEMBER BASKIN: Let me get back to this  
19 formulation question.

20           I understand from a scientific perspective, if  
21 you want to do a perfect study, like all of us would like  
22 to do, you want to take the chemical Deltamethrin and have  
23 a perfect control. But the problem I'm having is there is  
24 an association that we can't ignore. Whether it's  
25 Deltamethrin or not, when you use the chemical that comes

1 from Bayer or other companies that make it, we have to  
2 evaluate all that data. How would you respond to that?

3 DR. SHEETS: Well, the excipients and the  
4 solvents that are in there don't persist to the final  
5 product. So it's not -- so what someone that would be  
6 consuming, for example, a piece of fruit or come into  
7 contact with the material in other uses wouldn't come into  
8 contact or consume the formulation.

9 The residual that would be the Deltamethrin that  
10 is not volatile, doesn't volatilize and remove. So the  
11 Deltamethrin, as opposed to the formulation, is the common  
12 element that someone could be exposed to through multiple  
13 different formulations.

14 COMMITTEE MEMBER BASKIN: So getting back to the  
15 first question, everything else disappears, but the  
16 Deltamethrin?

17 DR. SHEETS: Yes. I would expect so. They would  
18 either volatilize or wash off.

19 COMMITTEE MEMBER BASKIN: Thank you.

20 COMMITTEE MEMBER PESSAH: But if we talk about  
21 exposures to either people that are applicators or people  
22 that bring it home, the technical mixture really should be  
23 transferred into an animal in a very well-controlled  
24 study. So we can fault these other studies for not doing  
25 vehicle controls that are appropriate, but using a

1 formulation that typically is not used by crop protection  
2 or home use is equally faulted because you could change  
3 the pharmacokinetics.

4           So related to that question is have you measured  
5 brain levels and reproductive tissue levels of  
6 Deltamethrin with your exposure?

7           DR. SHEETS: So to get at the first inference  
8 before your question, if I could, in terms of potential  
9 exposures to women of child-bearing age and children, in  
10 cases of the person doing the application, that's going to  
11 be taken into consideration in the risk assessment. It's  
12 also taken into consideration in the label and the  
13 recommendations in terms of personal protective equipment  
14 that has to be worn by the person who's applying it.

15           So that person with these commercial formulations  
16 are professional applicators who are trained in how to  
17 apply it, where to apply it, for example, in and around  
18 the home or in the field, as the case may be. And the  
19 label identifies what PP is required, whether for  
20 respiration, long sleeves, gloves, things like that.

21           The other thing is if the use allows for exposure  
22 of women of child-bearing age or children, there is an  
23 additional safety factor that's applied to extend the  
24 margin of safety between potential exposures and the  
25 studies that we have from our toxicology studies. So

1 they're protected in that fashion as well.

2 And then I forgot your question.

3 COMMITTEE MEMBER PESSAH: But the distribution to  
4 target organs that are of concern to this Committee, have  
5 you measured levels of Deltamethrin? And what might they  
6 be if you've measured them?

7 DR. SHEETS: As a preliminary study towards  
8 developmental neurotox study, one of the things we have to  
9 do is to establish that there is exposure of the pups. So  
10 in that study, at least we did measure brain levels to  
11 verify that the pups are exposed through the milk during  
12 lactation. So during that critical stage of development,  
13 not only during gestation, but also early postnatally  
14 through the milk, as well as through the milk and the  
15 treated diet when they start to eat the treated feed.

16 In that study, we verified there was exposure,  
17 starting exposure on postnatal day ten before the pups  
18 start getting into the diet. And obviously, the presence  
19 of Deltamethrin in the brain is consistent with the fact  
20 that we do see CNS types of neurotoxic effects we didn't  
21 measure it in reproductive tissues.

22 CHAIRPERSON GOLD: Other questions.

23 Dr. VandeVoort.

24 COMMITTEE MEMBER VANDEVOORT: I do have some  
25 concerns about the fact that the data that we're looking

1 at in terms of dermal absorption seems to be on the  
2 compound itself and not in conjunction with the detergents  
3 or hydrocarbons or solvents that are being used to get  
4 this into solution.

5           So, I don't know. Part of me you're saying that  
6 there's -- the people who are preparing and applying these  
7 materials are sufficiently protected with personal  
8 protective equipment. But on the other hand, if they're  
9 using it on lawns and gardens and golf courses, how long  
10 is the wait time between application and potential  
11 exposure for people just walking through areas?

12           And I guess I'm having a tough time deciding  
13 maybe the dermal absorption might be very different once  
14 this compound is in contact with detergents and solvents  
15 as well as the intestinal uptake might be very different  
16 if it's still in solution with those.

17           So I'm kind of back to what Dr. Pessah said about  
18 how are these formulations in total effecting the studies.

19           DR. SHEETS: Well, so for each formulation, we do  
20 have to do a set of acute toxicity studies to evaluate  
21 potential hazards. We have to do acute oral toxicity to  
22 determine and LD 50 value. In particular, if the LD 50  
23 above 2,000, between 200, 2,000, et cetera, of certain  
24 categories.

25           We do also an acute dermal LD 50 assessment so

1 then you get the comparison of how toxic is a material by  
2 dermal route versus oral. We do an acute inhalation  
3 exposure study with four hours exposure typically by nose  
4 only to look at potential toxicity from inhaling an  
5 atmosphere of each of the formulations. We do eye and  
6 dermal irritation studies. And we do dermal sensitization  
7 study to look at sensitization potential.

8           Based on that profile, the label identifies not  
9 only what PP are required, but the potential hazards. And  
10 it also informs emergency personnel what are the  
11 appropriate steps to take in case of an inadvertent  
12 exposure.

13           COMMITTEE MEMBER VANDEVOORT: I guess with those  
14 acute studies for the LD 50, you aren't looking at  
15 reproductive end points?

16           DR. SHEETS: That's correct. We are not.

17           CHAIRPERSON GOLD: Other comments or questions  
18 from the panel?

19           Dr. Pessah.

20           COMMITTEE MEMBER PESSAH: Are you aware of any  
21 non-monotonic dose/response relationships for pyrethroids?  
22 Just in general. I'm sure there's probably none for  
23 Deltamethrin.

24           DR. SHEETS: Well, I mean, obviously, there is a  
25 lot of end points. I mean, yes, I can think of one. With

1 a Type I pyrethroid in the -- after an acute exposure, you  
2 get a nice dose-related increase, and the acoustic startle  
3 response up to the point where the animals are physically  
4 debilitated then you see a decrease. That's clearly  
5 explained by the acute effects it's having on the function  
6 of the nervous system.

7 I mean, that's an example. Did you have  
8 something more -- another example in mind or --

9 COMMITTEE MEMBER PESSAH: I was thinking more at  
10 lower doses rather than at repetitive high doses that --

11 DR. SHEETS: No. The answer would be no.

12 CHAIRPERSON GOLD: Do we have any further  
13 questions or comments for Mr. Sheets?

14 I'm going to ask the panel a question since the  
15 discussion has gone a little bit longer than anticipated.  
16 Should we take a lunch break or just a five-minute break?  
17 Do we have a preference?

18 COMMITTEE MEMBER WOODRUFF: I'd like to eat  
19 lunch.

20 CHAIRPERSON GOLD: Okay. If that's okay with Jay  
21 Murray, we'll postpone your comments until after lunch.

22 Ms. Monahan-Cummings.

23 CHIEF COUNSEL MONAHAN-CUMMINGS: Just a quick  
24 note as you go to lunch, just a reminder that since this  
25 Committee is supposed to discuss and deliberate in public,



1 if you could avoid discussing this chemical or other  
2 questions you might have during lunch and just save that  
3 until we come back to the meeting.

4 In the event you do have a discussion with  
5 anyone, I certainly can't tell you you can't, then when  
6 you get back, you need to disclose that, that you had a  
7 discussion with someone and the general subject matter you  
8 discussed.

9 CHAIRPERSON GOLD: Thank you for the reminder.  
10 What I'm going to propose is that we come back by 1:20.  
11 And then we'll start with Jay Murray. And then we have  
12 two other people that have asked to give public comments.  
13 So we'll continue with those as well. Back at 1:20.  
14 Thank you.

15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25



1 give Deltamethrin a clean bill of health or to say that  
2 all the studies that have ever been done are great studies  
3 of Deltamethrin. But you'll be asked to determine whether  
4 it's been clearly shown to cause reproductive toxicity.

5           So the best conducted gold standard studies  
6 indicate that Deltamethrin is not a reproductive and  
7 developmental toxicant.

8           In contrast, there are the other studies reported  
9 in the literature that do report a variety of effects on a  
10 variety of end points. And there are two issues with  
11 these studies. The first is that many of them have  
12 serious flaws and limitations, and you've discussed that  
13 among yourself. You've heard others talk about those  
14 limitations. And the second is a lack of consistency in  
15 the findings in these studies. And Dr. Sheets pointed out  
16 a number of the inconsistencies across studies. But in  
17 addition to that, there are also inconsistencies within  
18 some of these studies.

19           And I'll give you an example. In the Lazarini  
20 study, you were talking earlier about latency to float in  
21 the swimming test. And latency to float is the average  
22 number of seconds it takes or the number of seconds it  
23 takes for the average in the group to start to float is  
24 what that is.

25           And in the control group, the value is about 13

1 seconds. In the Deltamethrin treated group, it was three  
2 seconds. And that was a statistically significant  
3 decrease. And as one of you pointed out, it really looked  
4 like that there was an outlier in the control group so  
5 that the anomaly was the control group rather than the  
6 treated group. And if you look at the data for females in  
7 that same study in the same table, the values for the  
8 controls in the treated females was two seconds and one  
9 second. So the control males, 13 seconds. The control  
10 females, two seconds.

11 So unless you believe that there is really a six  
12 or seven-fold difference in sex in that parameter, it just  
13 doesn't make sense. It's more likely it's an anomalous  
14 male control group. That's one of the problems when you  
15 have studies with only one dose level and no historical  
16 control data is you don't have a read on variability. If  
17 there had been other dose levels, you'd at least have an  
18 opportunity to evaluate dose response and see if that is  
19 really an unusual control. So that's just one example.  
20 There are lots of others, and I'm not going to go into any  
21 of the others.

22 So the other -- as I said, the other problem is  
23 the quality of the studies. And you all recognize it's  
24 important to consider the quality of studies. And it's  
25 especially important under Prop. 65, because the listing

1 criteria in the statute says it's got to be clearly shown  
2 through scientifically valid testing according to  
3 generally-accepted principles. And you can't list a  
4 substance based in whole or in part on a study that is not  
5 scientifically-valid testing according to  
6 generally-accepted principles.

7           And you know, I'll weigh in on the Decis issue.  
8 I do think it's appropriate to discount those studies and  
9 set them aside. Now, that's not to say that it doesn't  
10 raise some concern as some of you have pointed out because  
11 there is an association. But think about what you're  
12 being asked to reach a decision on. It's got -- it's not  
13 a decision on a mixture, and it's not an association.  
14 It's Deltamethrin. The compound has to be clearly shown  
15 to cause.

16           And the reason I said on the Decis studies, it's  
17 a formulation. The formulation isn't the fatal flaw in my  
18 opinion. The real problem is there is no appropriate  
19 control group. The control group isn't the vehicle and  
20 all the other substances that were in the commercial  
21 mixture. So there is no way you get to a causal  
22 relationship with Deltamethrin under those circumstances.

23           Also, the male sperm effects, it's been pointed  
24 out that there have been a number of several studies -- a  
25 number of studies that reported effects on semen

1 parameters and that the guideline studies that were done  
2 before there was a requirement for semen evaluation. But  
3 if you look at those underlying studies, in my opinion,  
4 every single one of the studies that looked at a semen end  
5 point had serious and significant limitations. And in the  
6 interest of time, I won't go through them. But if you  
7 have any questions about that, ask me about the specific  
8 studies, and I'll tell you why I concluded that.

9           So if you determined that some of the positive  
10 studies are scientifically valid testing according to  
11 generally-accepted principles, then you have to do a  
12 weight of the evidence evaluation. You've got to figure  
13 out whether you have enough scientific weight. And those  
14 positive studies would have to be very convincing and  
15 compelling, given the results of the well-conducted  
16 guideline studies.

17           And in my view, even if we didn't have those  
18 guideline studies, I don't think the other studies that  
19 give you positive results are of sufficient quality to be  
20 able to reach a conclusion that Deltamethrin is clearly  
21 shown to cause reproductive toxicity.

22           So the issue is not really whether the positive  
23 studies had the power to call the results of the  
24 well-conducted negative studies into question. The  
25 positive studies have to be so strong that they show the

1 negative studies are clearly wrong and contribute  
2 virtually nothing to the weight of the scientific  
3 evidence. Otherwise, there is really no way to get to  
4 clearly shown to cause.

5           Even if the positive and the negative studies had  
6 equal scientific weight -- and I don't think they do --  
7 but let's assume they did, you still can't get to clearly  
8 shown to cause under those circumstances. So in my  
9 opinion, it falls far short.

10           So Prop. 65 sets the bar at a high level. If you  
11 have concern and you think that you'd like to see more  
12 studies, better conducted studies, that's fine. But that  
13 doesn't get you to clearly shown to cause. If you think  
14 Deltamethrin is a suspect reproductive toxicant or a  
15 possible reproductive toxicant, that doesn't get you to  
16 clearly shown to cause. There has to be enough evidence  
17 for it to be clearly shown to cause reproductive toxicity  
18 through scientifically-valid testing according to  
19 generally-accepted principles.

20           So in my opinion, it doesn't even come close.  
21 But it's your call. It's not my call. And it's a  
22 decision you have to make. And I appreciate your  
23 consideration of my comments. Thank you.

24           CHAIRPERSON GOLD: Thank you.

25           Are there any comments or questions from the

1 panel for Mr. Murray?

2 COMMITTEE MEMBER WOODRUFF: I do have a question.

3 CHAIRPERSON GOLD: Dr. Woodruff.

4 COMMITTEE MEMBER WOODRUFF: Thank you for your  
5 presentation.

6 I had a question when you were talking about  
7 the -- I may pronounce this wrong -- Lazarini 2001 study  
8 with the difference in the latency time to float, which is  
9 13 for the controls and three seconds for the dosed  
10 animals.

11 Can you explain -- you said there's something  
12 about the controls that changes your interpretation? Can  
13 you show me -- I couldn't find it in the papers.

14 DR. MURRAY: Yeah, I'd be happy. Do you have the  
15 paper in front of you?

16 COMMITTEE MEMBER WOODRUFF: Yeah.

17 DR. MURRAY: Go to page 669 and take a look at  
18 Table 2. And it's a little confusing, because I didn't do  
19 a great job with the legends.

20 COMMITTEE MEMBER WOODRUFF: It's in the document  
21 that we got from OEHHA. Yes. Table two.

22 DR. MURRAY: So the first row in that table that  
23 is -- the parameter is LF, that's latency to float.  
24 That's the time it takes from when you drop the rat into  
25 the water to when they start to float.



1           So if somebody sits there with a timer and times  
2 the number of seconds it takes for a rat to decide to  
3 float rather than to try to swim when they're dropped in  
4 the water and you can see the control group value is 13.1  
5 plus or minus 4.3. And the experimental group -- there  
6 was only one dose level, and it was .08 milligrams per  
7 kilogram, that value is 3.2 plus or minus 1.2. That's  
8 statistically significant.

9           And if you look at that in a vacuum, you would  
10 say, gee, looks like an effect. And in fact, that's what  
11 the author's said, this was an effect on latency to float.  
12 And they attributed that to an effect -- I think they  
13 called it on emotionality. But look at the female values  
14 right next to them, the next two columns --

15           COMMITTEE MEMBER WOODRUFF: Right. I see they're  
16 not that different. But --

17           DR. MURRAY: Not only are they not different from  
18 each other, but look at the female control value relative  
19 to the male control value. It doesn't make any sense.  
20 The female control value is 1.9, plus or minus 0.7. And  
21 that suggests there's something funny about the test. And  
22 that's why I said it's a shame they didn't have additional  
23 dose levels so you could evaluate whether there is a dose  
24 response. But it's not obvious to me that the real  
25 difference is attributable to Deltamethrin exposure in the

1 experimental group as opposed to --

2 COMMITTEE MEMBER WOODRUFF: I understand what  
3 you're saying. I guess I just wanted to clarify there is  
4 nothing in the paper that says there was something odd  
5 about -- we don't have any data on anything else about the  
6 controls, other than what's in this table.

7 DR. MURRAY: No. That's correct. The only clue  
8 is when you look at the standard deviation, the standard  
9 deviation is so high in that control group and that -- for  
10 whatever reason, there is more variability in the male  
11 controls than in the female controls or than any of the  
12 exposed groups.

13 And often when you see that, your first thought  
14 is that there is an outlier. But I haven't seen the  
15 individual animal data to know whether that, in fact, is  
16 the case. But I look at that and I say it's less than  
17 clear that Deltamethrin is really having a significant  
18 effect on this particular end point, which is latency to  
19 float, time to float.

20 COMMITTEE MEMBER WOODRUFF: But I would be --  
21 another interpretation could be that there is more of an  
22 effect on male. There is a gender effect.

23 DR. MURRAY: Absolutely. There are alternative  
24 explanations. All I'm trying to point out is that that  
25 one is anything but clear-cut. And the authors obviously

1 attributed -- did attribute this as an effect to  
2 Deltamethrin.

3           And what I'm raising is that there is an  
4 alternative explanation. Without more data, without the  
5 individual animal data, it's hard to know for sure. And  
6 it's a shame they didn't provide historical control data  
7 or more than a single dose level, and then we'd have  
8 another way to look at this.

9           COMMITTEE MEMBER WOODRUFF: I mean, I agree that  
10 it's not that useful to read what the authors write about  
11 the interpretation of their studies in any of the studies,  
12 because we should just look at the data that we have. So  
13 I think if -- when we look at this data, if we have some  
14 other data that show us -- I mean, we could expect high  
15 variability and we could expect various interpretations of  
16 this.

17           But I think that we should look at whatever data  
18 that we have that may -- just explain our alternative  
19 judgments. And in this case, this is -- what we have only  
20 is in the paper. We don't have any data other than this.

21           DR. MURRAY: There are no other swimming tests.  
22 Nothing else like this that I found.

23           The only other thing that you have -- and it's  
24 not identical -- is the Gilmore study where they did look  
25 at a number of end points of locomotion and maze tests and

1 so on. But again, it's not exactly the same test.

2 DR. DONALD: If it would be helpful to the  
3 Committee, Dr. Mari Golub in my group is a well-recognized  
4 expert in neurobehavioral developmental toxicity and could  
5 give her opinion on how this type of data is usually  
6 evaluated.

7 CHAIRPERSON GOLD: Could we first see if there  
8 are any more comment for Dr. Murray and then we'll see --  
9 comments or questions from the panel?

10 Seeing none, thank you very much.

11 Mari Golub, if you want to come up and tell us  
12 about neurotox tests.

13 DR. GOLUB: My name is Mari Golub. I'm a staff  
14 toxicologist with the Developmental and Reproductive  
15 Hazard Assessment Branch.

16 I wasn't involved in the Deltamethrin evaluation  
17 of the data, but I did, of course, read this paper because  
18 it's a very interesting paper and it's in my area. And  
19 it's a good table to look at, Table 2. One of the  
20 interpretation that Dr. Woodruff brought up was the  
21 possible sex differences in these behaviors. And if you  
22 look at the float time, the second variable in that table,  
23 it does clearly show a sex difference.

24 So part of the contrast of that treated male  
25 group to the females I'm not sure you would want to make

1 that comparison, given the sex differences.

2           Also, the male open field behavior also shows sex  
3 differences. And it also shows a reduced activity or  
4 possibly freezing in that there was lower locomotion in  
5 the males. So it's not entirely inconsistent with the  
6 pattern in the swimming behavior.

7           This particular swimming test is called a Porsolt  
8 swimming test. It's fairly widely used to look at  
9 depression and anxiety behavior as stated by the authors.  
10 And in the rat and in the mouse, it's used for both of  
11 them.

12           So this is just to add a little bit of thought  
13 about sex differences that came to my mind when I was  
14 looking at this data set.

15           CHAIRPERSON GOLD: Dr. Rocca.

16           COMMITTEE MEMBER ROCCA: Thank you for that.

17           Are you privy to any historical control databases  
18 on this test that would help us interpret this?

19           DR. GOLUB: I cannot call that forward for you  
20 for the Porsolt test. I don't think we really had any  
21 presentation of historical data in connection with any of  
22 these experiments that we're discussing today.

23           CHAIRPERSON GOLD: Any other questions for Dr.  
24 Golub?

25           Thank you.

1           Okay. We'll move to the remainder of the public  
2 comments. So the next one is Gretchen Lee Salter.

3           MS. SALTER: Thank you very much. My name is  
4 Gretchen Lee Salter. I'm a Senior Program and Policy  
5 Manager with the Breast Cancer Fund.

6           And I'm also here today speaking on behalf of Dr.  
7 Sarah Janssen from the Natural Resources Defense Council  
8 who could not be here today.

9           So, first of all, I want to thank you very much  
10 for allowing us to speak today and for some of the work  
11 that you've done to try to ensure a fair process.

12           My comments today are really going to be focused  
13 on the process. I don't really have an opinion on  
14 Deltamethrin. My organization has not studied this issue,  
15 and I wouldn't be able to give you an informed opinion at  
16 this time.

17           But I do want to talk a little bit about the  
18 process that has gone forward today and talk about the  
19 process in the past and give you some context for some NGO  
20 concerns about that.

21           We are a bit dismayed that yet again vested  
22 interests have been given extra time to present their  
23 case. To give you a bit of context, in the past, both the  
24 DART Committee as well as the Carcinogen Identification  
25 Committee had given the chemical industry and their

1 lobbyists 30, sometimes up to 90, minutes to present their  
2 cases for why a chemical should not be listed. And when  
3 NGOs requested similar times, we were either denied or  
4 told we had to have a certain number of people here to  
5 cede our time to our given scientists, but that the  
6 industry didn't have to have that because they were  
7 technically a member of a group or they were representing  
8 a group.

9           So that's why we requested a meeting with OEHHA  
10 staff as well as the former DART Committee Chair to  
11 discuss the process and our concerns with process. And we  
12 want to make sure that people are given equal time and are  
13 given equal opportunity to represent their concerns.

14           The letters that we saw from SC Johnson as well  
15 as Bayer asked for more time, citing that they should be  
16 given as much time as OEHHA staff. And I would just like  
17 to point out that OEHHA is a disinterested party. They  
18 are here to assist the staff. Whereas, SC Johnson, Bayer,  
19 the CSPA, and other chemical interests that may come  
20 before you in the future are not disinterested parties.  
21 They represent a vested interest. They have a vested  
22 financial interest in what happens in this Committee.

23           And so if experience is any indication, they will  
24 likely cherry pick the science to make it look as though  
25 the chemical in question is not as bad as it would seem.

1 Or as we saw today, discount every single study that comes  
2 before you as if there's some vast grand conspiracy among  
3 a host of independent scientists to cast a certain  
4 chemical in doubt.

5           So while it's true that no NGO may have asked for  
6 additional time today, I would say that Dr. Gold's  
7 announcement at the beginning of the hearing today was the  
8 first that we had heard that industry will be given more  
9 time. NGO's weren't told that this was an option for us  
10 to request more time. And so I would hope that in the  
11 future if one party is going to be given more than the  
12 allotted standard five to ten minutes, that that be  
13 announced prior to the start of the meeting so that other  
14 interested parties be allowed to have the same amount of  
15 time and prepare the similar kind of remarks.

16           Regardless, though, even if that does go forward,  
17 I think it's important to note that unless you have the  
18 means to be at a physical meeting, you're at a distinct  
19 disadvantage. Non-governmental organizations just do not  
20 have the means to be at every meeting.

21           Independent scientists who have conducted all  
22 these studies that you see today do not have the means or  
23 the funding or the time to be able to come to this meeting  
24 and to defend their science against attacks that you've  
25 heard earlier today.



1           Workers and consumers who are going to be  
2 affected by these chemicals and who are currently affected  
3 by these chemicals work full-time jobs, sometimes two jobs  
4 if you are a person that's applying pesticide, you  
5 sometimes work more than one job because usually it's a  
6 low-income job. You do not have the time to be at these  
7 meetings.

8           So we, therefore, in the public interest  
9 community are at a distinct disadvantage from other  
10 members from vested chemical interests who are here today  
11 presenting their case before you.

12           So I would request that, regardless of who was  
13 asking to present, and regardless of how much science they  
14 have on their side, that because people on the public  
15 interest side of this will always be at a distinct  
16 disadvantage from what the chemical industry will be from  
17 where they are that nobody be given anything more than ten  
18 minutes to present their case to this Committee.  
19 Otherwise, it is just patently unfair. And that's not at  
20 all what we're trying to achieve here.

21           Also, I would ask you to look at other committees  
22 that have public comment periods. My understanding is  
23 that the California Air Resources Board only allows three  
24 minutes for each speaker. And my understanding -- again,  
25 I could be wrong, and I apologize if I am. But the

1 information I've been given is that that is not extended,  
2 regardless of who asks for more time.

3           So I just put that forward. I think that the  
4 Committee today has asked some very thoughtful questions,  
5 and I really appreciate the time the Committee has put  
6 into looking at this chemical. I've been very impressed  
7 with the level of discussion here today.

8           So I'm very impressed with the Committee. I just  
9 hope that -- this is a new Committee and as we move  
10 forward that we make every endeavor to make sure that  
11 those of us in the public interest community are not given  
12 short shrift for the lack of resources. Thank you.

13           CHAIRPERSON GOLD: Thank you.

14           CHAIRPERSON ALEXEEFF: Thank you for those  
15 comments.

16           And what we've announced on the last agenda, what  
17 we were planning on putting in our agenda that  
18 basically -- you know, that we basically plan the meeting  
19 on the assumption that comments will be five minutes long  
20 per speaker, roughly speaking.

21           However, we also indicate in there that if there  
22 is a need for more extended time period, to let us know,  
23 and we will attempt to accommodate that, with the  
24 intent -- and I think as Dr. Gold mentioned earlier  
25 today -- we want to make sure the panel has access to all

1 the information that they need in order to make whatever  
2 the best decision.

3           So I do understand also your point about the  
4 issue of the means and the public interest groups and  
5 things like that. I don't have a resolution to that  
6 concept. So maybe we can think about that issue.

7           But that was what the intent was. One is to try  
8 to set a rule, but at the same time be flexible enough  
9 that if it requires more understanding for the Committee  
10 to listen to this. And basically a decision will be made  
11 in large part by Dr. Gold in consultation with me.  
12 Because that's sort of the plan.

13           Sorry you were unaware of that. But that's --  
14 we're flexible to adjust it if we need to. But that's  
15 what our current thought is in terms of trying to get all  
16 the information out, and at the same time, be fair so as  
17 not to overly prolong the meeting.

18           MS. SALTER: I understand that. And I appreciate  
19 that is exactly what you're trying to do. I think,  
20 however, you will always find that those who have  
21 financial means to be here will be presenting a certain  
22 side of the science.

23           What we see here today discounting the science  
24 that's been presented by OEHHA staff and saying almost  
25 every single study should not be given any weight, it's

1 not new. This is something that has happened for over a  
2 decade at DART and CIC meetings. So we shouldn't be  
3 surprised that what we heard today from the chemical  
4 industry says that, of course, Deltamethrin shouldn't be  
5 listed because none of these studies should be relevant.

6 And I guess the issue that I have is that those  
7 people who are conducting the studies, they don't have the  
8 means, they are not given funding to be at this meeting to  
9 defend themselves. So you are hearing a one-sided account  
10 of it. You're hearing an account from OEHHA staff, who  
11 has gone through the data, and now you're hearing from  
12 another side. But you aren't hearing from the actual  
13 people who conducted the study. So you're always going to  
14 have a one-sided opinion. If you said you want all the  
15 information to be presented, because the independent  
16 scientists can't be here, you'll always only have the  
17 detractors.

18 So I don't have a solution for that either. But  
19 I hope that we can work together and come to a conclusion  
20 and that this just doesn't remain the status quo because  
21 we don't have a solution yet.

22 CHAIRPERSON GOLD: If I may, I would just sort of  
23 underscore what Dr. Alexeeff has said that the goal was to  
24 post this on the website so everyone can have the same  
25 information about the time limit and have the opportunity

1 to appeal for more time, if they wish for it. That's  
2 point number one.

3 Point number two, I've been making notes about  
4 comments people have made about items that the Committee  
5 might discuss among themselves and publicly, of course.  
6 But one of them might be how we handle the issue of time  
7 limits, because I think both sides have issues around  
8 that. We really just want to be fair and equitable in  
9 making sure that all sides are heard and feel they've been  
10 heard and that we have enough information to make an  
11 informed decision. So I've got it on my list of things  
12 that the Committee ought to take up.

13 MS. SALTER: One suggestion I could make -- I  
14 don't know if this would actually make things absolutely  
15 equal. But right now, the Committee doesn't take comments  
16 via the web. And unless you're physically in the room,  
17 there is no way to give comment. Most of these meetings  
18 happen in Sacramento and sometimes in Oakland, but rarely  
19 do they happen around the state. So that may be something  
20 the Committee wants to consider.

21 CHAIRPERSON GOLD: Thank you. It's a good  
22 suggestion. I don't know about the feasibility.

23 I think the other piece -- I'll speak for  
24 myself -- I regard the OEHHA staff reports to be sort of  
25 objective. In other words, I would hope they give us

1 pluses and minuses of every study.

2 But I think perhaps one other item I have on my  
3 agenda is that we give them more guidance on what the  
4 Committee would benefit from hearing from them in terms of  
5 pluses and minuses.

6 But the web comment is an interesting one. I  
7 don't know about the feasibility, but we can look into it.

8 MS. SALTER: Thank you.

9 CHAIRPERSON GOLD: Anyone else on the panel have  
10 any comments?

11 Dr. Rocca has asked if all written comments that  
12 are given to the staff are given to the Committee. I'm  
13 assuming yes. And they're all nodding yes. And we did  
14 receive an extensive group of written comments. So I  
15 assume they just pass them all on.

16 Anything else, staff panel wants to say on this  
17 issue? So thank you very much. Sorry.

18 COMMITTEE MEMBER VANDEVOORT: Is it common  
19 knowledge that the written comments are passed onto the  
20 Committee?

21 CHAIRPERSON GOLD: I don't know if I would know  
22 the answer to that.

23 MS. SALTER: I did not know that.

24 COMMITTEE MEMBER VANDEVOORT: So maybe that needs  
25 to be --

1           CHAIRPERSON GOLD: Ms. Monahan-Cummings has a  
2 comment.

3           CHIEF COUNSEL MONAHAN-CUMMINGS: I'm sorry. I  
4 believe that is part of our notices for each of the  
5 documents. When we publish them, the hazard  
6 communication -- hazard identification documents, when we  
7 publish them for public comments, we do say in there that  
8 all of the public comments will be collected and given to  
9 the appropriate Committee.

10           And that's our practice. We don't respond to  
11 those comments from by the office, because they're  
12 considered part of the same materials that you're  
13 receiving. You get the information from us. You get the  
14 public comments that are written. And then you get the  
15 public comments that are spoken here. There is no page  
16 limit for comments. And there are no restrictions on what  
17 the content of those comments would be.

18           CHAIRPERSON GOLD: I would just underscore that  
19 the letters I received from industry I announced at the  
20 beginning have been made public, but they know very well I  
21 didn't respond to them. If I had, those would have been  
22 made public as well. But I don't want the perception  
23 there's back-room conversations going on.

24           Okay. Andria Ventura. I apologize if I  
25 mispronounced.

1 MS. VENTURA: No, you didn't. Most people  
2 mispronounce it, but you pronounced it perfectly. So  
3 thank you.

4 My name is Andria Ventura. I'm a Toxics Program  
5 Manager for Clean Water Action. And I will be very brief  
6 actually.

7 First of all, I want to support everything that  
8 Ms. Salter said. I won't repeat it obviously. We've  
9 discussed it. But many of the comments that she made are  
10 in line with our own thinking.

11 Just a couple of things based on what I heard  
12 today that I just want to get out there and clarify. We  
13 heard the discussion about what clearly shown means.  
14 We've heard a little debate about that. It's been equated  
15 with the idea of proof.

16 You know, my thinking and my education, the idea  
17 of proving anything is a really an elusive goal most of  
18 the time. And one of the things that has to be remembered  
19 is that we have things on the Prop. 65 list that have not  
20 been definitively proven. We haven't proven, for  
21 instance, that cigarette smoke causes cancer. But there  
22 is a lot of great deal of evidence that shows it should be  
23 on the Prop. 65 list.

24 This is not -- as you heard from your own  
25 counsel, this is not a legal standard. It is something



1 that you are given the task of interpreting as far as what  
2 is clearly shown about this chemical or any other chemical  
3 that you are considering and whether it should be on the  
4 list. So I think that your legal counsel as opposed to  
5 Bayer's legal counsel is probably the appropriate one to  
6 follow on that.

7           The court case that was mentioned before, we need  
8 to reiterate that that was cited in one of the previous  
9 speaker's comments. That was specific to carcinogens.  
10 Meaning, it pertained to a different process than what  
11 you're going through here. It referred to the  
12 authoritative body's listing. You're not reliant on the  
13 authoritative bodies. You are the experts here. And it's  
14 your role here obviously to review the chemicals and the  
15 science around those chemicals that you are considering.  
16 In fact, often is the case that you will be considering a  
17 review of chemicals that authoritative bodies have not  
18 done an adequate job at examining. So I think we need to  
19 clarify that.

20           And just finally, I'll close by saying I was a  
21 little concerned earlier about the comments about dose.  
22 Okay. And I just would like to respectfully remind you  
23 that again the dose here is not applicable and the counsel  
24 has said that. What your decision is not based on what is  
25 the safe or the dangerous dose. Your decision is, is this

1 chemical, whatever it be on a given day, is this chemical  
2 toxic and should it be on a list that indicates that there  
3 is -- it's clearly shown that there is toxicity related to  
4 reproductive harm.

5           So I would leave it at that. Just wanted to make  
6 sure that we have a little balanced approach to how some  
7 of these things are being defined.

8           CHAIRPERSON ALEXEEFF: Thank you very much.

9           CHAIRPERSON GOLD: Thank you very much.

10           Are there any comments or questions for  
11 Ms. Ventura? Thank you.

12           Okay. We now turn to the Committee's discussion  
13 of the consideration of Deltamethrin listing. So I'm  
14 going to open it up to see comments the panel might have.  
15 Dr. Pessah.

16           COMMITTEE MEMBER PESSAH: As a toxicologist that  
17 has performed research and collaborated with researchers  
18 at all levels, looking at both molecular and cellular  
19 mechanisms as well as animal models that might portray  
20 risk to human beings, I have to admit when I read the  
21 quality of the studies that you so well presented, Dr.  
22 Rocca, that I was appalled at such studies would actually  
23 make it through the peer review. But then I also am  
24 cognizant that we did get one side of the story today. If  
25 we had Issam, et al, here who published in Toxicological

1 Science, which should be a rigorously reviewed journal,  
2 maybe they would have answers to your questions. But they  
3 weren't here. So we are getting one side.

4           The fact that animals can exhibit frank toxicity  
5 during pregnancy and literally have no consequences in  
6 their offspring just flies against the data in the human  
7 literature. Epilepsy excitotoxicity has ramifications in  
8 humans on their children.

9           CHAIRPERSON GOLD: Other comments from the panel?  
10 Dr. Baskin.

11           COMMITTEE MEMBER BASKIN: You know, as we make  
12 these decisions and look at the scientific evidence, I'm  
13 still very concerned and also performing scientific  
14 studies where we can't find out from industry what is in  
15 these chemicals, i.e., the formulations, to be able to do  
16 the proper studies, which I think is emphasized in the  
17 studies we reviewed in the literature where we know the  
18 agents we're looking at, but we don't know the other  
19 agents so we can't eliminate them to do proper controls  
20 and add different solvents and different compounds.

21           And understand propriety and patents and the  
22 concept of one company doesn't want to give the other  
23 company secrets. But we somehow have to come to some type  
24 of compromise when it comes to the health of ourselves,  
25 our children, and our future children. It's more an

1 editorial comment. But that I think needs to be taken  
2 into account.

3 CHAIRPERSON GOLD: Thank you. Others?

4 Dr. Woodruff.

5 COMMITTEE MEMBER WOODRUFF: I have a question for  
6 Dr. Pessah. I was interested because everybody today has  
7 commented even in the document or whether they've given  
8 public comments about the neurotoxicity of pyrethroids and  
9 this pyrethroid in adult acute studies.

10 I was wondering if you could talk a little bit  
11 about the relationship between chemicals that cause acute  
12 neurotoxic effects in adult animals or adult humans and  
13 how that relates to developmental neurotox.

14 COMMITTEE MEMBER PESSAH: Well, I guess it  
15 depends what you're measuring in the developmental  
16 studies. Typically -- and I think some of the people that  
17 have dealt much more extensively with animal studies in  
18 the developmental period can probably correct me if I'm  
19 wrong, so please do.

20 But many of the outcomes that are actually  
21 measured may not be at all relevant to complex human  
22 disorders such as Schizophrenia, autism, asthma, the  
23 likelihood of diabetes or metabolic disorders. Those are  
24 very complicated human issues that have not been  
25 adequately modeled in animals, especially as a consequence

1 of chemical exposure.

2           And so I think most of the data presented was  
3 looking at blunt instruments. In other words, if you  
4 don't really have huge effects on the developing nervous  
5 system, you won't see them in those outcomes.

6           I was particularly intrigued by the effect on  
7 ultrasonic vocalization, but that was dismissed as, well,  
8 it's just because they're slightly immature. Well, many  
9 of us actually measure that. And very few DNT studies  
10 measure the quality and consistency of the types of  
11 vocalization these mice make, which is now very much at  
12 the forefront of trying to understand behavioral outcomes.  
13 So it really depends on the questions you ask and how  
14 sensitive they are to neurotoxic events.

15           COMMITTEE MEMBER WOODRUFF: That's a little  
16 funny, because I just want to comment on the proceedings  
17 before I ask you another question, because I know we're  
18 not supposed to talk about this off line. We have to have  
19 all our conversations transparently. So when I am asking  
20 you these questions, it's because some things I'm  
21 listening to the discussion and then I have questions  
22 myself about some of the scientific underpinnings. But of  
23 course, I can't -- I can't call you on the phone and ask  
24 you. I have to ask you in this public setting. So my  
25 questions aren't always as clearly articulated maybe as

1 I'd like.

2           So just to follow-up a little bit because I'm  
3 wondering about -- and this is just a scientific  
4 principle. Because we see a lot of chemicals that are --  
5 have been neurotoxicologically active in adults, early  
6 exposure like occupational exposure. I'm thinking mercury  
7 and lead are very classic. And then we find out they're  
8 developmental neurotoxicants. I'm wondering what the  
9 likelihood is between the link if we found out it's  
10 neurotoxic in an adult, what is the data that we might  
11 expect, even if we don't have very good measures. So  
12 you're saying the measures we have of the toxicity in  
13 adults is somewhat crude because they're very acute  
14 testing. What we can infer from other data for  
15 development.

16           COMMITTEE MEMBER PESSAH: Well, clearly, there  
17 are quite a bit of data on persistent organic pollutants.  
18 Certainly, those compounds that have clear effect on  
19 developmental outcomes are much more persistent than  
20 Deltamethrin. But in fact, we don't know what the average  
21 exposure of an adult to a single compound is. It may not  
22 even be a relevant question because there are many, many  
23 pyrethroids that we have exposure to.

24           One piece of data that didn't come out here which  
25 I feel is somewhat relevant is nobody mentioned the

1 relationship of neonicotinoids and the pyrethroid, which  
2 wasn't Deltamethrin, in the recent Nature paper that was  
3 published last year which shows clear influences on social  
4 behavior in social insect. That may be completely  
5 irrelevant to Deltamethrin, but it does show proof of  
6 concept that if one uses a very sensitive measure that's  
7 in context of a particular organism that one can see  
8 neurodevelopmental effects.

9 CHAIRPERSON GOLD: Are there any other comments  
10 or questions about the panel? Are we ready to vote?

11 COMMITTEE MEMBER WOODRUFF: I have a question. I  
12 just have a clarification.

13 Can you just clarify from this state -- this was  
14 raised in an earlier comment -- maybe several comments.  
15 But in order to do the finding for a reproductive or  
16 developmental toxicant, that's independent -- you could  
17 have a study that found it at any dose; is that correct?  
18 It's not dose dependent, the hazard call?

19 CHIEF COUNSEL MONAHAN-CUMMINGS: Do you want to  
20 answer that? I don't want to presume to be a --

21 COMMITTEE MEMBER ROCCA: I think I can answer  
22 that, if we can discuss it among ourselves.

23 No, I don't think dose comes into this at all.  
24 We do not know the doses humans may or may not be exposed  
25 to. And that really is not I don't think relevant for us.

1           If we believe that it is a reproductive toxicant,  
2 regardless of how low the dose is or high the dose is, I  
3 think we need to say that.

4           However, what you would expect from good  
5 scientific principles is to see some sort of dose  
6 relationship. And when the only place that you see  
7 effects are at doses that are maternally toxic and that  
8 are toxic to the pups, it's very difficult to sort out  
9 whether that toxicity has to do with loss of body weight,  
10 nutrition, and other factors.

11           So I think some of the very high doses which we  
12 actually had animals die, you really can't say whether or  
13 not these things are reproductive toxicant. And that's  
14 pretty typical of these guideline studies that they are  
15 supposed to include a dose, which is expected to cause  
16 some toxicity and then you need to interpret it.

17           CHAIRPERSON ALEXEEFF: So just to clarify this a  
18 little bit more. If you're looking at a study, such as  
19 the studies that were discussed here today, you should  
20 look at the doses that were administered and to understand  
21 whatever you can about the mechanism and that sort of  
22 thing. Because there could be a situation that what's  
23 happening in the study is not applicable outside the  
24 study. So that's something -- that's one thing to  
25 understand.



1           The other question, which Dr. Rocca referred  
2 to -- that's why I'm speaking just to separate, is the  
3 dose to people in outside the environment. Not in the  
4 experimental study. So the dose that people are receiving  
5 is essentially not part of your deliberation right now.  
6 But what's happening in the study, you should take into  
7 account everything.

8           COMMITTEE MEMBER WOODRUFF: But also if we see a  
9 study where there's an effect at a high exposure and not a  
10 low exposure, that still could be an effect that's  
11 relevant to decide whether it's a male reproductive  
12 effect, a female reproductive effect, or a developmental  
13 effect, whether they're all separated here in this  
14 document -- or we're deciding on that separately; right?

15           DR. DONALD: If I may, this is going back a  
16 little bit to the presentation I made at the last meeting,  
17 which I realized some of the current members didn't hear.

18           It's always a little difficult to express exactly  
19 what the generally-accepted principles are in any area.  
20 But what I tried to clarify at that meeting is the  
21 position that's been taken by some of the more reliable  
22 bodies or some of the major regulatory bodies.

23           And U.S. EPA, as I expect Dr. Woodruff knows, has  
24 taken the position that developmental toxicity co-occurs  
25 with minimal maternal toxicity. It's still developmental

1 toxicity and should not be discounted. And that if there  
2 is excessive maternal toxicity associated with the  
3 development toxicity, then that makes it very difficult to  
4 interpret the effects in the study.

5           The European Union more recently has taken the  
6 position that developmental toxicity that co-occurs with  
7 maternal toxicity should be interpreted as developmental  
8 toxicity, unless it can be clearly determined that the  
9 developmental effects are entirely secondary to the  
10 maternal toxicity.

11           COMMITTEE MEMBER VANDEVOORT: I have a comment.

12           CHAIRPERSON GOLD: Dr. VandeVoort.

13           COMMITTEE MEMBER VANDEVOORT: I think one of the  
14 things I'm struggling with at this point is I understand  
15 Dr. Rocca's -- at least I think this is an accurate  
16 assertion, that unless you have known material as the  
17 actual technical material for the dose, unless you know  
18 what that dose is, that you really have to discount that  
19 study.

20           And I agree on scientific principle. But I think  
21 also that sets up this real catch 22 for investigators,  
22 because when you're dealing with compounds that are  
23 proprietary mixtures where a scientific investigator will  
24 not be able to know what control they should be using and  
25 you don't know what those other ingredients like

1 detergents and solvents may be having on the compounds  
2 that you're testing and yet it is in that mixture  
3 environment where the public would be exposed, you really  
4 have a difficult -- you put science in a difficult  
5 position of trying to determine anything. Because they  
6 don't have access to the proprietary mixture in order to  
7 perform proper controls.

8           So I think -- I just want to make sure that as a  
9 scientist myself, I would -- I don't want to just discount  
10 some of these studies that actually use the commercial  
11 compound, because I'm sure that many of them would have  
12 used controls if they only knew what the control was. So  
13 I do have concerns about that. And not with respect to  
14 this particular compound, but whenever you're using  
15 commercial products.

16           COMMITTEE MEMBER ROCCA: I have a question about  
17 that that I'm going to refer to Carol, which is, does  
18 Proposition 65 have any mechanism for dealing with  
19 mixtures? I know that's something the National Toxicology  
20 Program has been struggling with and taking up.

21           CHIEF COUNSEL MONAHAN-CUMMINGS: There are a  
22 number of listings already on the list for mixtures of  
23 various chemicals, combinations of chemicals.

24           One of the things that I was going to suggest to  
25 you -- and I'm not trying to influence the outcome of this

1 at all. It's just a suggestion is that there's different  
2 ways that you can list chemicals or identify chemicals.  
3 And there are other listings that, for example, are  
4 formulations or mixtures.

5           So, for example, if you wanted to say something  
6 like Deltamethrin formulations, Deltamethrin commercial  
7 mixtures, something like that, if that gets to what it is  
8 that you believe is the chemical or the substance that  
9 causes reproductive toxicity, then you're identifying it  
10 specifically -- as specifically as you can since you don't  
11 necessarily know what all the chemicals might be in the  
12 mixture, if that makes sense.

13           So certainly under Prop. 65, you can list -- for  
14 example, we have -- I don't know -- automobile emissions.  
15 We have secondhand smoke. We have, you know, marijuana  
16 smoke. We have a number of things that have a whole  
17 mixture of different kinds of chemicals in them and we may  
18 not know specifically which one is causing the effect or  
19 perhaps they're causing it together. It's not clear. So  
20 does that help answer the question you had?

21           CHAIRPERSON GOLD: You raised a question for me  
22 though. When those other ones -- mixtures were taken up,  
23 were they announced as passive smoke or automobile  
24 exhaust? They were announced as mixtures. Whereas, this  
25 one was not announced as a mixture. Does that effect our

1 deliberations today?

2 CHIEF COUNSEL MONAHAN-CUMMINGS: I think that  
3 you're correct that in the past the other chemicals were  
4 identified in the notices as a mixture or tobacco smoke or  
5 whatever so that folks would have that knowledge in  
6 advance.

7 One of the ways to address that, of course, would  
8 be to defer a decision today and say that you'd like to  
9 take it up at another meeting with an additional notice  
10 saying that you're considering -- you know, it's not a  
11 qualified listing, so much it's just a listing of a  
12 different -- it's a mixture or combination or something.

13 CHAIRPERSON GOLD: Part of the reason I'm asking  
14 the question is your comment, but also at the last meeting  
15 when we took up Xylene, some of the studies were dealing  
16 with mixtures where some of them were dealing with Xylene  
17 exclusively. I got the sense the Committee was focusing  
18 on the ones where it was the exclusive compound. So  
19 you're saying an option for us is to defer and consider  
20 Deltamethrin mixtures or products in which Deltamethrin  
21 appears, something like that?

22 CHIEF COUNSEL MONAHAN-CUMMINGS: I think so.  
23 What you might be able to do for us is give us an idea of  
24 the kinds of -- may be the way that you would be  
25 comfortable considering a chemical mixture so we can put

1 that specifically in a notice so that there is a public  
2 notice saying these are the kinds of things that you're  
3 considering.

4           What I would not recommend is that you consider  
5 listing a proprietary named product for a number of  
6 reasons that it should be probably obvious. So it would  
7 be more like a description of what it is that you want to  
8 consider, if that makes sense.

9           CHAIRPERSON GOLD: That's helpful. Thank you.  
10 Any other questions about this? Dr. Rocca

11           COMMITTEE MEMBER ROCCA: So for today, should we  
12 considering just Deltamethrin and we can decide whether at  
13 future times to take up the mixtures and what other data  
14 might be available out there?

15           CHAIRPERSON GOLD: Correct me if I'm wrong, but  
16 it seems to me one option would be to say we want to defer  
17 this and at a later date consider Deltamethrin mixtures,  
18 however we word that.

19           CHIEF COUNSEL MONAHAN-CUMMINGS: That's correct.

20           CHAIRPERSON GOLD: That's one option. The other  
21 option is to vote on what we have today, but where it's  
22 not stated as a mixture. We are just saying we're voting  
23 on Deltamethrin. Are those our options?

24           CHIEF COUNSEL MONAHAN-CUMMINGS: You could. But  
25 the third option is you could vote today on the

1 Deltamethrin, which was the chemical that was noticed, and  
2 ask for -- to consider the other mixtures or whatever at a  
3 later meeting, if that -- I mean, if that's what you want.  
4 So it's not exclusive.

5 CHAIRPERSON GOLD: Should I be taking a straw  
6 poll or getting consensus here?

7 CHIEF COUNSEL MONAHAN-CUMMINGS: I think you can  
8 discuss this among yourselves and kind of get a feeling  
9 whether or not the people are comfortable with actually  
10 taking a vote today.

11 One thing I was going to mention just in general  
12 on voting is that what we do for the DART Committee is a  
13 little bit different than what we do for the Carcinogen  
14 Committee, because you have the potential for three  
15 different end points. You have male, female, and  
16 developmental end points.

17 So the Chair will poll you basically on each one  
18 of those end points and then ask you whether or not the  
19 chemical has met the standard that we've talked about  
20 today of clearly shown by scientifically valid evidence,  
21 et cetera.

22 What happens under Prop. 65 is that the decision  
23 of this Committee actually has to be a majority of the  
24 appointed members of the Committee. So it's not going to  
25 be a majority of those present. It's the majority of the

1 appointed members. At this point, we have nine appointed  
2 members. So there would have to be five affirmative  
3 votes. And that means that the two members that aren't  
4 present are essentially no votes. Anybody that abstains  
5 is essentially a no vote, that sort of thing. So I just  
6 wanted to let you know if you do take a vote, that it  
7 would require five in order to make a decision one way or  
8 the other.

9 CHAIRPERSON GOLD: Thank you.

10 CHAIRPERSON ALEXEEFF: I'll just mention one  
11 thing. I think it was two, three years ago -- I'm sure  
12 Dr. Donald will remember -- the Committee voted, was  
13 looking at a mixture of like brominated and chlorinated  
14 solvents, looking at a mixture of that and did not vote to  
15 list. But then asked us to look at them individually.

16 So, I mean, there is -- but that was -- in one  
17 sense, it would make sense to -- if you're ready, to make  
18 a decision based upon what the item that is before you,  
19 which is the chemical Deltamethrin, and then decide if  
20 there is anything else you'd like the staff to prepare or  
21 any future thing you'd like to consider. That might be  
22 the most orderly way of proceeding.

23 COMMITTEE MEMBER BASKIN: One question I have to  
24 the material that was put together by the office. Is  
25 there anything more you think you're going to be able to



1 get? In other words, the searches I think were done in a  
2 way to get this chemical and all mixtures of this  
3 chemical. So I don't know if any more information is  
4 going to be brought to this Committee that's going to be  
5 relevant.

6 DR. DONALD: Our intent us to be as complete as  
7 possible in the materials we provide to you. We are not  
8 aware at this time of any other relevant materials. We  
9 are not aware of any other way of finding other relevant  
10 materials, which is not to say they don't exist.

11 COMMITTEE MEMBER BASKIN: I would interpret that  
12 if we came back you would say -- put your hands up and  
13 say, "We gave it to you."

14 DR. DONALD: Unless something turns up that we  
15 are currently not aware of. Yes, that's what we would  
16 have to tell you.

17 CHAIRPERSON GOLD: One item that has been raised  
18 though is the opportunity for -- I don't know if this is  
19 possible. I'm asking the question. For the authors of  
20 these papers to respond to some of the criticisms. Would  
21 that be useful for the Committee and is it possible?

22 Dr. Woodruff.

23 COMMITTEE MEMBER WOODRUFF: It would be useful to  
24 hear. Some of the --

25 CHAIRPERSON GOLD: I'll point out some of them

1 are old.

2 COMMITTEE MEMBER WOODRUFF: When I'm looking  
3 at what we have in terms of the synthesis of the evidence,  
4 it seems like the most -- a lot of the studies have been  
5 focusing on the effects on sperm, but there's also been  
6 some questions about the exposure to whether the chemical  
7 mixture -- there's some studies exposed to the formulation  
8 and some that are actual studies just by Deltamethrin  
9 itself. But there's also been some criticism raised of  
10 some of these studies, and that would be helpful I know to  
11 me to get clarity on those in this section, the male  
12 reproductive effects.

13 CHAIRPERSON GOLD: When you say clarity on the  
14 criticism. In what form? From the staff? From the  
15 authors? What do you mean?

16 COMMITTEE MEMBER WOODRUFF: When you said that it  
17 sounded like a good idea, but now that you're saying it --

18 CHAIRPERSON GOLD: I didn't mean the authors are  
19 old. The papers are old.

20 COMMITTEE MEMBER BASKIN: These papers have been  
21 through the peer review process. I think it's personally  
22 my job -- I won't speak for anybody else on the panel to  
23 make an assessment whether I think they're valid or not as  
24 an independent scientist. So I don't see the utility in  
25 that, to be honest.

1 CHAIRPERSON GOLD: Dr. Nazmi.

2 COMMITTEE MEMBER NAZMI: I might agree that  
3 contacting authors at this point after the peer review  
4 process after a publication might be opening up a  
5 complicated can of worms.

6 CHAIRPERSON GOLD: George, do you want to say  
7 again what you thought the options might be?

8 CHAIRPERSON ALEXEEFF: Well, I think the only  
9 option for voting before the Committee is on Deltamethrin  
10 today.

11 And the reason I say that is if there's something  
12 else you want to vote on, there has to be a public process  
13 prior to that voting. So I think that's why, in my mind,  
14 the first thing is we decide whether or not you're ready  
15 to vote on Deltamethrin today or not, and then you can  
16 decide what other steps.

17 It could be that you don't want to vote it on  
18 until you've had a chance or see if staff can contact  
19 somebody, which is an option. We've done that kind of  
20 thing before. But in terms of actually making a decision,  
21 it could only be on Deltamethrin today.

22 CHAIRPERSON GOLD: Did you want to say something?

23 COMMITTEE MEMBER BASKIN: I thought that is what  
24 we were asked to do and not anything more or less. Is  
25 that correct?

1           CHAIRPERSON GOLD: That is correct. But an  
2 option is to defer and not vote at this time. And it  
3 sounds like another option is to see if you want in the  
4 future to consider Deltamethrin mixtures, for lack of a  
5 better term. So I think what I'd like to do --

6           COMMITTEE MEMBER WOODRUFF: I will say one thing  
7 that was raised in some of the discussions, and I went  
8 back to check some of the papers, which is this issue  
9 about purity of the test compound.

10           I'm more in agreement with Dr. Baskin if they say  
11 that's what they exposed the animals to, that seems like  
12 that's relevant in the study.

13           My only question was whether that was -- in my  
14 mind, not question to other people -- whether it would be  
15 useful to go back and get clarity on that particular  
16 point. But I feel comfortable with voting I guess today.

17           CHAIRPERSON GOLD: So maybe I'm just going to ask  
18 each of the members if they're comfortable with voting on  
19 Deltamethrin with regard to the three end points that we  
20 consider. I'll sort with Dr. VandeVoort.

21           So the option is to be able to vote on the three  
22 end points with regard to Deltamethrin; defer, in which  
23 case you have to tell us what you would like to see in  
24 addition.

25           COMMITTEE MEMBER VANDEVOORT: I'm comfortable

1 with voting on Deltamethrin alone today, and I would like  
2 to see a deferral on formulations.

3 CHAIRPERSON GOLD: Dr. Nazmi?

4 COMMITTEE MEMBER NAZMI: I'm comfortable voting  
5 today on Deltamethrin.

6 CHAIRPERSON GOLD: Dr. Woodruff?

7 COMMITTEE MEMBER WOODRUFF: I'm comfortable  
8 voting today on Deltamethrin.

9 CHAIRPERSON GOLD: Dr. Rocca?

10 COMMITTEE MEMBER ROCCA: I'm also comfortable to  
11 vote today.

12 CHAIRPERSON GOLD: Dr. Pessah?

13 COMMITTEE MEMBER PESSAH: I can vote on  
14 Deltamethrin today.

15 CHAIRPERSON GOLD: Dr. Baskin?

16 COMMITTEE MEMBER BASKIN: Yes.

17 CHAIRPERSON GOLD: Okay. So I think we are ready  
18 to vote. I think we have a majority.

19 So question before you is: Has Deltamethrin been  
20 clearly shown through scientifically valid testing  
21 according to generally accepted principles to cause  
22 developmental toxicity?

23 All those voting yes, please raise your hand.

24 COMMITTEE MEMBER WOODRUFF: Developmental or  
25 reproductive? Are we --

1 CHAIRPERSON GOLD: We're starting with  
2 developmental.

3 COMMITTEE MEMBER WOODRUFF: Okay. Thank you.

4 CHAIRPERSON GOLD: All those voting yes, please  
5 raise your hand. One.

6 All those voting no for developmental toxicity.  
7 Five.

8 No abstentions.

9 CHAIRPERSON ALEXEEFF: So are you voting now?

10 CHAIRPERSON GOLD: I voted.

11 Has Deltamethrin been clearly shown through  
12 scientifically valid testing according to generally  
13 accepted principles to cause female reproductive toxicity?

14 All those voting yes, please raise your hand. I  
15 see none.

16 All those voting no, please raise your hand. I  
17 see seven.

18 Has Deltamethrin been clearly shown through  
19 scientifically valid testing according to generally  
20 accepted principles to cause male reproductive toxicity?

21 All those voting yes, please raise your hand.

22 All those voting no, please raise your hand.

23 Five.

24 Okay. I see the result as for developmental  
25 toxicity one yes, six nos. No abstentions.

1           For female reproductive toxicity zero yeses,  
2 seven nos, and no abstentions.

3           For male reproductive toxicity, two yeses, five  
4 nos, and no abstentions. Thank you.

5           Is it appropriate to ask if the panel wants to  
6 review mixtures or? Can I hear from the panel on that  
7 issue? Dr. Rocca?

8           COMMITTEE MEMBER ROCCA: I would have a question  
9 whether or not this was considered part of the pesticide  
10 regulation review or part of the EPA review. If those are  
11 available publicly, they may have been reviewed based upon  
12 the actual chemical that's applied. I would want to know  
13 that.

14           CHAIRPERSON GOLD: So perhaps we can get some  
15 information on that before we decide if we want to review  
16 the mixture? I don't know the answer to the question.

17           CHIEF COUNSEL MONAHAN-CUMMINGS: I'm not sure we  
18 understand the question.

19           CHAIRPERSON ALEXEEFF: Dr. Shreider, do you have  
20 any comment on that? Dr. Shreider is with the Department  
21 of Pesticide Regulation.

22           MR. SHREIDER: I'm Jay Schreider. I'm a  
23 toxicologist with the Department of Pesticide Regulation.

24           We did a risk assessment on Deltamethrin  
25 approximately ten years ago.

1           It's not on?

2           Jay Shreider. I am a toxicologist in charge of  
3 risk assessment with Department of Pesticide Regulations.  
4 Risk assessment on Deltamethrin was done approximately  
5 ten years ago. And the major driver for that risk  
6 assessment was a neurotoxicity. So that's what really  
7 drove our review.

8           We reviewed the same FIFRA studies, came to the  
9 same general conclusion that the FIFRA studies did not  
10 indicate developmental or reproductive toxicity. The  
11 studies themselves are done on the active ingredient.  
12 There is limited information of those types of long-term  
13 studies on what would go into the formulated product. So  
14 even on the formulated product, the risk assessment would  
15 look at the amount of active ingredient in the formulated  
16 product, although we would also look at the acute toxicity  
17 studies.

18           There are some comments that were made in the  
19 document itself, some of the formulations. But the risk  
20 assessment itself was primarily concerned with the active  
21 ingredient and the development toxicity. Can't speak to  
22 on U.S. EPA's risk assessment.

23           The other complication in the formulated product  
24 comes with identifying when you have 50 percent as an  
25 naptha-based hydrocarbon, identifying what's in there.



1 That may change from batch to batch, depending on where  
2 it's purchased from. So starting to track that down  
3 becomes very problematic. And you could, in fact, have  
4 different hydrocarbon solvents and different -- the same  
5 product, but with different types of formulations.

6 I'm not sure if that's helpful or not.

7 COMMITTEE MEMBER ROCCA: Yes. Thank you.

8 CHAIRPERSON GOLD: I'm not sure I'm hearing a  
9 groundswell for looking at mixtures, but now would be the  
10 time to speak up if you want to. Okay.

11 So I believe we're back to our agenda then. And  
12 Ms. Monahan-Cummings is going to speak about the overview  
13 of the process for providing peer review of OEHHA proposed  
14 maximum allowable dose levels, along with Dr. Donald.

15 (Thereupon an overhead presentation was  
16 presented as follows.)

17 CHIEF COUNSEL MONAHAN-CUMMINGS: We're switching  
18 gears entirely now, and we're going to start talking about  
19 a process that OEHHA goes through after a chemical is  
20 listed or sometimes at the same time as a chemical is  
21 proposed for listing.

22 And so next slide.

23 --o0o--

24 CHIEF COUNSEL MONAHAN-CUMMINGS: As you may  
25 recall from last month's meeting, the statute, Prop. 65

1 requires two things. One is that people that are being  
2 exposed to a chemical be provided with a clear and  
3 reasonable warning, where a person that's subject to the  
4 law knowingly and intentionally exposes that person to a  
5 listed chemical. It also prohibits the discharge of a  
6 listed chemical into sources of drinking water.

7 --o0o--

8 CHIEF COUNSEL MONAHAN-CUMMINGS: There is an  
9 exception in the statute that says that warning is not  
10 required and a discharge is not prohibited if the exposure  
11 would have no observable effect, assuming exposure at  
12 1,000 times the level in question. That only has to do  
13 with reproductive toxicants.

14 So the statute itself includes this 1,000 times  
15 what we call kind of a safety factor or something. It was  
16 established in the law, and it doesn't -- it's not known  
17 exactly where that thousand came from. But it is in  
18 statute.

19 Next slide.

20 --o0o--

21 CHIEF COUNSEL MONAHAN-CUMMINGS: So shortly after  
22 the law was passed in 1987, the office adopted some  
23 regulations to interpret what the statute meant in terms  
24 of how to identify what this warning level or discharge  
25 level would be. And we call them maximum allowable dose

1 levels. Not daily. Dose levels. And you also can often  
2 hear of them as safe harbor levels.

3 We have established how to calculate these  
4 levels, and that includes the 1,000 times multiplier for  
5 the level in question. You'll see that as we discuss  
6 these and go along.

7 So it is a little different than most of your  
8 more common risk assessment methodologies where you might  
9 be able to apply a different safety factor, for example.  
10 But in context of Prop. 65, it has to be a thousand.

11 We adopt these levels, although we're not  
12 required to do so by the statute. We adopt levels for  
13 chemicals or that are listed. The reason we do that is to  
14 aid businesses in complying with Prop. 65 and also to help  
15 the public know when they're being exposed to a  
16 significant amount of a listed chemical.

17 In order to adopt these levels, we actually are  
18 required to follow the State process for adopting a  
19 regulation. So the process is different than what we use  
20 for listing chemicals, because we have an exception in the  
21 law for listing chemicals where we don't have to follow  
22 the regulatory process.

23 Next slide.

24 --o0o--

25 CHIEF COUNSEL MONAHAN-CUMMINGS: So when we talk

1 about safe harbors, this is the reason. In our  
2 regulations, we establish some guidance and methodologies  
3 for calculating a level. Our office will calculate  
4 levels, and we also have guidance there for someone else  
5 to calculate their own level where we don't have one or  
6 where they might disagree with ours. So it's considered a  
7 safe harbor because a business doesn't have to use that  
8 level. They can disagree with us and say the level needs  
9 to be higher. And they can establish that in a court  
10 proceeding that the level should be higher.

11 So essentially what this does is let somebody use  
12 our level so they don't have to go through the process of  
13 developing their own. But it's not a mandatory  
14 requirement.

15 Next slide.

16 --o0o--

17 CHIEF COUNSEL MONAHAN-CUMMINGS: So the piece of  
18 this process that we wanted to talk to you about is that  
19 under a different statute in Health and Safety Code, it  
20 requires any agency within the Environmental Protection  
21 Agency that is adopting a regulation, that has a  
22 scientific basis, that we are required to obtain peer  
23 review of the scientific basis of that regulation.

24 And so since OEHHA is developing the MADLs and we  
25 are within Cal/EPA, our MADLs or at least the risk



1           So during that period, we generally try to at  
2 least get out a draft safe harbor level for chemicals that  
3 we're listing. But in recent years especially when we are  
4 dealing with chemicals that have to do with food that are  
5 either in food or in food contact materials, we will  
6 sometimes propose a safe harbor level at the same time as  
7 we propose the listing, although we wouldn't proceed to  
8 adopt the level obviously if we don't end up listing the  
9 chemical.

10           So what we do -- and Dr. Donald is going to go  
11 over the details of this and how the MADLs get  
12 established. But as I mentioned, we do have to propose  
13 them as a regulation. So when you get the documents --  
14 and I'm pretty sure all of you have already received one  
15 of these packages of documents for the chemical Bisphenol  
16 A, what you'll get is this document that's called an  
17 Initial Statement of Reasons. And that document contains  
18 the scientific basis for the regulation. You'll also get  
19 a copy of the public notice. And if you request, we can  
20 get you copies of the references that support the  
21 scientific evaluation.

22           We give you all of those documents just for  
23 context. The part of the document that we're asking you  
24 to review is usually only about three to five pages. And  
25 that consists of the actual scientific piece that's

1 supporting the regulatory number.

2 --o0o--

3 CHIEF COUNSEL MONAHAN-CUMMINGS: So as I  
4 mentioned, there is a regulatory package that we send to  
5 you, each of the members of the Committee. We're only  
6 asking you to peer review the scientific piece of that.  
7 The rest of the materials are just included for your  
8 information. You can be compensated for your time in  
9 reviewing the document. Although I'm aware the  
10 compensation isn't the greatest, but you can ask for  
11 compensation the same way that you do for your travel  
12 expenses and your time preparing for these meetings and  
13 attendance at these meetings.

14 You are requested to send your comments  
15 individually. This isn't a function where you have to  
16 reach a consensus in a public meeting. We're asking you  
17 as individuals to peer review the documents. You are not  
18 required to give us peer reviewed comments, but our  
19 request is that if you decide you don't have any comments,  
20 that you send us an e-mail or something to that effect  
21 saying that you don't have comments so that we're aware  
22 and we're not expecting those from you.

23 --o0o--

24 CHIEF COUNSEL MONAHAN-CUMMINGS: Just so you  
25 know, any of the comments that you make will obviously be

1 part of the administrative record. And that means they're  
2 public. So they would be included, and we will respond to  
3 those at the time that we adopt the MADL.

4 --o0o--

5 CHIEF COUNSEL MONAHAN-CUMMINGS: Starting from  
6 here, we'll have Dr. Donald talk to you about the more  
7 technical aspects of the document.

8 DR. DONALD: Thank you, Carol.

9 And please feel free to ask questions as I go  
10 along. I'll go through this fairly quickly.

11 --o0o--

12 DR. DONALD: As Carol said, we have implementing  
13 regulations for the statute and the maximum allowable dose  
14 level is defined in the regulations. A level of exposure  
15 that causes no observable effect, assuming exposure at one  
16 thousand times the level in question, is derived using the  
17 assessment methodology laid out in the regulation.

18 And that maximum dose level that has no  
19 observable effect or the NOEL is divided by one thousand,  
20 that mandatory factor that Carol mentioned to arrive at  
21 the maximum allowable dose level.

22 --o0o--

23 DR. DONALD: So to determine what the NOEL is and  
24 consequently the MADL, obviously we can use the data that  
25 formed the basis for listing the chemical. In addition to



1 that, if there were data that were not used in the listing  
2 process but which are considered to be of comparable  
3 scientific validity to the evidence and standards that  
4 form the basis for the listing, then we can use those data  
5 as the basis for the MADL.

6 If a chemical is listed for one reproductive  
7 effect, for example, developmental toxicity, then the MADL  
8 must be based on the reproductive effect for which the  
9 chemical is listed.

10 If the chemical is listed on the basis of more  
11 than one effect, some combination of developmental male  
12 reproductive and female reproductive toxicity, then we  
13 only develop one MADL, and we base it on the reproductive  
14 effect for which the studies produced the lowest NOEL.

15 --o0o--

16 DR. DONALD: So some of the default parameters  
17 laid out or -- default definitions laid out in the  
18 regulation are that the NOEL is the highest dose level,  
19 which results in no observable reproductive effect. And  
20 that's expressed initially in milligrams of chemical per  
21 kilogram body weight per day. And we find that NOEL -- we  
22 base that NOEL on the most sensitive study that we  
23 consider to be of sufficient quality.

24 So the initial NOEL expressed in milligrams per  
25 kilogram per day is converted to a milligram per day dose

1 by multiplying by assumed body weight. For adult males,  
2 that's 70 kilograms. For adult females, 58 kilograms.

3 If the effect is on the developing conceptus, we  
4 also use the body weight of 58 kilograms since the  
5 exposure will be to the mother during gestation. And  
6 relatively recently, we have also adopted default body  
7 weights for reproductive effects in children. And I'll  
8 come back to that later.

9 The regulation does provide that if we have data  
10 on anatomic, physiologic, pharmacokinetic or metabolic  
11 considerations, that we consider to be reliable and we can  
12 take into account with confidence and we can use those to  
13 modify the MADL.

14 And if we only have data on levels of exposure  
15 that cause adverse effects, we can use the lowest  
16 observable effect level or LOEL and divide that by ten to  
17 establish a NOEL for purposes of this assessment.

18 --o0o--

19 COMMITTEE MEMBER VANDEVOORT: Could I ask a  
20 question on that? Let me clarify that.

21 Did you say that if you -- if the studies have  
22 not identified the lowest dose -- so if all the studies  
23 show an effect, you just take the lowest dose and divide  
24 it by ten?

25 DR. DONALD: Yes. That's correct. So if every

1 experimental study had effects manifested, the lowest dose  
2 used in the study, we would use the lowest of those doses  
3 and divide it by ten.

4 COMMITTEE MEMBER VANDEVOORT: Is there some  
5 rationale -- I mean, why not divide it by 100?

6 DR. DONALD: Ten is the default value used by  
7 most regulatory agencies extrapolating from a LOEL to a  
8 NOEL. As I said, the regulation does provide that if we  
9 have a sufficient scientific basis for using some other  
10 factor, we would. But ten is the default.

11 COMMITTEE MEMBER ZEISE: I can clarify further.  
12 We did have a recent revision to the regulation as well  
13 that enables us to conduct a statistical analysis and  
14 establish a benchmark dose as an equivalent NOEL.

15 DR. DONALD: Right.

16 COMMITTEE MEMBER WOODRUFF: Can I ask a  
17 follow-up? The NOEL is not specified -- using the NOEL is  
18 not specified in the statute; is that right?

19 DR. DONALD: I can't hear you.

20 COMMITTEE MEMBER WOODRUFF: Using a NOEL, it  
21 just -- that's not -- what you used to specify the low  
22 dose -- lowest dose is not specified what you have to do  
23 in the statute, whether you use a NOEL approach or a  
24 benchmark dose approach.

25 DR. DONALD: Carol said what the provision of the

1 statute is and which the regulation is based. And that is  
2 in the statute you're exempted from the provisions that  
3 the warning requirements and the discharge prohibition if  
4 there would still be no observable given an exposure a  
5 thousand times the level in question.

6 So the implementing regulation kind of turns that  
7 around and says if you're trying to figure out at what  
8 level you have to provide a warning or what level  
9 discharge to associated drinking water is prohibited, you  
10 find the highest level that doesn't cause an adverse  
11 effect and divide that by a thousand to reach the maximum  
12 allowable dose level. So the regulation is an  
13 interpretation of the intent of the statute.

14 COMMITTEE MEMBER WOODRUFF: Okay. I'll save my  
15 questions until you're done

16 CHAIRPERSON ALEXEEFF: Just to clarify what Dr.  
17 Donald was saying is that the statute says there is no  
18 observable effect at 1,000 times the level.

19 COMMITTEE MEMBER WOODRUFF: 1,000 below.

20 CHAIRPERSON ALEXEEFF: It's 1,000 times that  
21 level. That's what -- it's basically NOEL with a thousand  
22 fold factor.

23 DR. DONALD: What we're saying, in effect, with  
24 the MADL is that if you expose someone at that level, you  
25 have to be able to demonstrate that at a thousand times

1 higher exposure, there would still be no observable  
2 adverse effect.

3           COMMITTEE MEMBER WOODRUFF: Right. I guess my  
4 question comes into when you look at NOELs that are in a  
5 lot of these toxicological studies, they're really not --  
6 no observable -- there usually is an effect. It's often  
7 very small. And often it's not -- it's counted as a NOEL  
8 because it's not statistically significant, even though  
9 there may be an elevated effect. And that's why U.S.  
10 Environmental Protection Agency has been moving to a  
11 benchmark dose approach to find the low end of the dose  
12 response, whether it's a -- I say NOEL equivalent in the  
13 sense it's usually a one or five percent range response.  
14 And the LOEL is, in the ideal world, it's around a ten  
15 percent range response.

16           So it seems like I think we should just be  
17 careful to -- think people think NOEL means nothing has  
18 been observed. And that's not often the case in these  
19 studies -- and because they have low statistical power.  
20 So it would be something worth thinking about as moving  
21 all -- shifting all the low -- what your point of  
22 departure is, similar to what U.S. EPA has been doing.

23           DR. DONALD: And we have been thinking about  
24 that. The regulation was adopted more than 20 years ago,  
25 when the benchmark dose methodology wasn't really

1 established.

2 COMMITTEE MEMBER WOODRUFF: Right now you have a  
3 new opportunity.

4 DR. DONALD: In the last couple of years, we  
5 specifically adopted a provision for using the benchmark  
6 of dose methodology into the regulation.

7 The first example is for a listing methyl bromide  
8 is a structural fumigant. I can explain why we have that  
9 qualifier if anybody is interested later.

10 The listing is based on developmental toxicity.  
11 The route of exposure for humans that is of primary  
12 concern is inhalation exposure. We used a NOEL from a  
13 study in rabbits where there was no observable effect on  
14 exposure to 40 parts per million methyl bromide for  
15 six hours per day. So the first step is to convert the  
16 air concentration parts per million to milligrams per  
17 cubic meter. So we used a conversion factor of 3.89,  
18 which gave us a volume of 155.6 milligrams per cubic  
19 meter.

20 To convert that to a milligram -- excuse me -- we  
21 next converted that to an equivalent concentration that  
22 would result in an exposure over 24 hours or a daily  
23 exposure, which gave us a value of 38.9 milligrams per  
24 cubic meter. To calculate the NOEL expressed as  
25 milligrams per kilogram per day, we used the reported body

1 weight of 4.19 kilograms in pregnant rabbits exposed to 40  
2 parts per million methyl bromide and used an inhalation  
3 rate of 1.512 cubic meters per day that we obtained from  
4 the literature. And that gave us a value of 14.04  
5 milligrams per kilograms per day as the NOEL.

6 We converted that to a milligram per day value by  
7 multiplying by 58 kilograms, the assumed body weight for a  
8 pregnant woman, which gave us a value of 814.3 milligrams  
9 per day which, divided by the mandatory factor of 1,000,  
10 gave us a maximum allowable dose level for inhalation of  
11 methyl bromide as a structural fumigant of 814.3  
12 micrograms per day, which following our usual procedure we  
13 rounded to two significant figures and adopted as 810  
14 micrograms per day.

15 --o0o--

16 DR. DONALD: So that was an example of deriving a  
17 model using essentially all of the default procedures  
18 specified in the regulation.

19 As Carol pointed out earlier, we're not required  
20 to follow -- necessarily to follow the default procedures.  
21 And the regulations specifically provides that we follow  
22 the default procedures in the absence of principles or  
23 assumptions scientifically more appropriate based on the  
24 available data.

25 So the second example I'm going to show you is

1 one where we varied from the default assumptions. And  
2 this is for Di(2-ethylhexyl)phthalate, DEHP, which is  
3 listed based on developmental and male reproductive  
4 toxicity.

5           This particular example is for the MADL we  
6 developed for oral exposure. This one is also route  
7 specific and based on a no observable effect level of 5.8  
8 milligrams per kilograms per day found in rats. And that  
9 was based on rats fed a diet containing 100 parts per  
10 million DEHP. But in the study report the authors, based  
11 on body weight and food consumption, had converted that to  
12 a milligram per kilogram per day dose for us -- so  
13 calculating the NOEL for 70 kilogram man, you multiply 5.8  
14 by 70 kilograms to give us a value of 4.6 milligrams per  
15 day, which divided by mandatory factor of a thousand gave  
16 us a MADL for adult oral exposures of 410 micrograms per  
17 day after rounding.

18           The main concern for DEHP is effects on the male  
19 reproductive system, resulting from early postnatal  
20 exposure. Because of the way Prop. 65 is interpreted,  
21 exposure during the early postnatal developmental period  
22 is not considered -- generally not considered evidence of  
23 developmental toxicity. But because the effects are on  
24 the male reproductive system, in this case, it is still  
25 considered evidence of male reproductive effects. So



1 using a body weight of 70 kilograms, the default in the  
2 regulation is obviously inappropriate for infants.

3           So for infants zero to two years of age, we used  
4 an average body weight of ten kilograms over this  
5 developmental period. That value had already been adopted  
6 into our parallel cancer regulations, but was not at that  
7 time among the default values in our regulations for  
8 developing MADLs but we have subsequently adopted that  
9 value into the regulations.

10           At the time we reviewed the available data,  
11 looked at particularly at the National Center for Health  
12 Statistics and concluded that ten kilograms was still a  
13 reasonable value to use. So multiplying the NOEL by ten  
14 kilograms gave us a value of 58 milligrams per day,  
15 divided by a thousand, gave us a MADL for instant oral  
16 exposure of DEHP of 58 micrograms per day.

17           There was also concern for exposure during the  
18 neonatal period the first 28 days postnatal. We had no  
19 default value even in the cancer regulations for that  
20 period, so we decided to use the 50th percentile birth  
21 weight for boys of that age derived from data from the  
22 National Center for Health Statistics.

23           So calculating the NOEL for 3.5 kilograms neonate  
24 gave it a value of 20.3 micrograms per day rounded and  
25 divided by a thousand gave us a MADL for oral exposure to

1 neonatal boys to 20 micrograms per day.

2           And I would be happy to take any other questions  
3 at this point.

4           CHAIRPERSON GOLD: Any questions about this  
5 process for Dr. Donald or Ms. Monahan-Cummings at this  
6 time?

7           CHIEF COUNSEL MONAHAN-CUMMINGS: Can I just  
8 mention to you, I have the envelopes here for you. This  
9 is another MADL we want you to review. This one for SO2.  
10 So before you leave today, I'll give you these.

11           And I mentioned earlier that there is -- that we  
12 adopt these through a regulatory process. And so there is  
13 a limit on the regulatory process. You have to adopt your  
14 regulation within one year from the time you propose it or  
15 you have to start the process over.

16           And so in terms of priority, we are hoping that  
17 the ones that -- the one we're giving you today would be  
18 the one you'd give priority to, because we have to adopt  
19 it within the next two months. Maybe three months.

20           But in any event, we'll give this to you. And if  
21 you could give us your comments within, say, the next 45  
22 days, that would be wonderful. If you're not able to do  
23 that, like I said, you can send us a note and say you're  
24 not going to be able to give peer review comments. That's  
25 entirely fine. We just need to know that.

1           The other ones that you have, you have one for  
2 BPA. That chemical has not been listed yet. So you  
3 have -- that's the one you have the most time for. And  
4 then they'll be another one related to cyanide -- hydrogen  
5 cyanide you'll be getting shortly. So lucky you, you get  
6 three in a row.

7           COMMITTEE MEMBER ROCCA: I have a question about  
8 the comment period. For example, the comment period for  
9 BPA has been extended?

10          CHIEF COUNSEL MONAHAN-CUMMINGS: That's correct.

11          COMMITTEE MEMBER ROCCA: If we were doing things  
12 according to the way we would like to get them done, would  
13 you want the Committee comments in by that date as well?

14          CHIEF COUNSEL MONAHAN-CUMMINGS: Yeah. In a  
15 perfect world, that would be nice.

16          In the context of BPA, I don't think that's  
17 necessary at all, which you could bring into my next  
18 comment I was going to make in terms of the staff update,  
19 but do you have any other questions on the MADL process?

20          CHAIRPERSON ALEXEEFF: Just answer the question  
21 it's -- your comments are not considered part of the  
22 public comment period process. But we would like to have  
23 them early enough so that we could respond to them or make  
24 whatever necessary changes.

25          But what happens with the regulatory process, the

1 information -- there is one year to complete the process.  
2 But in that process, the regulation can change. And there  
3 is additional comment periods along the way if there is  
4 any changes made.

5           So the idea is that at some point if you could  
6 give us comments -- and we can give you a ballpark time  
7 line that would basically be helpful to us, getting it  
8 sooner than later. So if there was a dramatic change to  
9 be made and there would be adequate comment for -- again,  
10 the public would get the comment on the change, if we made  
11 a change.

12           COMMITTEE MEMBER ROCCA: So in this case, will we  
13 get to see all the public comments that are to be in  
14 before we would have to have our comments in?

15           CHIEF COUNSEL MONAHAN-CUMMINGS: Not normally.  
16 Normally, what we get is during the public comment period,  
17 we don't get any comments. We get them on the last day.  
18 And as soon as we receive the comments within a couple  
19 days, we post them on our website.

20           But if you were to make your peer review comments  
21 during the public comment period, you wouldn't have the  
22 benefit of those public comments unless they came in  
23 early. And we don't normally send the public comments to  
24 the Committee members. If you would like us to do that,  
25 we're happy to do it. But normally we just post them on

1 our website.

2 Do you have any questions?

3 COMMITTEE MEMBER WOODRUFF: I was wondering, you  
4 mentioned that you were going to be -- you're starting to  
5 modify how you're doing the MADLs when you use LOELs and  
6 you've been using benchmark dose evaluation. Will you be  
7 considering that, too, for the NOEL evaluations?

8 DR. DONALD: Sorry. I think we may have mislead  
9 you a little bit. We have specifically adopted the option  
10 of using the benchmark dose methodology into our  
11 regulation. We would use that in any instance where the  
12 benchmark dose methodology was preferable to the NOEL or  
13 LOEL approach.

14 COMMITTEE MEMBER WOODRUFF: I see. So in these  
15 new ones coming forward, you will show the benchmark dose  
16 calculations as well; is that right?

17 DR. DONALD: Well, it depends on the nature of  
18 the data that we have. If the data are amenable to  
19 benchmark dose approach, we're moving towards using that.  
20 But we have not yet put out any draft MADLs that are based  
21 on the benchmark dose approach.

22 CHAIRPERSON GOLD: I believe we're ready to move  
23 to the next agenda item. Ms. Monahan-Cummings is going to  
24 talk about Prop. 65 litigation updates.

25 CHIEF COUNSEL MONAHAN-CUMMINGS: Yes. Since

1 we've only had a meeting a month ago, I'm not going to go  
2 into a lot of detail on our current litigation. But I did  
3 want to mention a couple things related to our  
4 conversation just now on maximum allowable dose levels.

5           There is an equivalent process for carcinogens  
6 where we establish no significant risk levels. Those  
7 don't include the thousand-fold factor we were talking  
8 about. But we do adopt safe harbors for our cancer  
9 chemicals also. And I think I mentioned last time --  
10 maybe I didn't -- that we're currently in litigation with  
11 Syngenta Crop Protection regarding a no significant risk  
12 level that we adopted for chlorothalonil. That is listed  
13 as a carcinogen. And we had a pretty old safe harbor  
14 level for that, and we recently adopted a new one. And  
15 Syngenta has objected to that number. So we are in  
16 litigation in that case.

17           And then very recently, you may be interested in  
18 knowing that we were sued by the American Chemistry  
19 Council for the proposed listing of Bisphenol A. We're  
20 proposing that listing under the authoritative body  
21 listing mechanism based on a report from the NTP Center  
22 for the Evaluation of Human -- Risk to Human Reproduction,  
23 ERHR. And so in that case, it's very early in the  
24 process. They're asking for an injunction which would  
25 require us to stop the listing process.

1           One of the reasons I wanted to bring that up to  
2 you is, as I mentioned, you received a request to review  
3 the draft maximum allowable dose level for BPA. And  
4 that's one where we have proposed that concurrent with the  
5 proposal for listing the chemical.

6           So in the event the court prevents us to proceed  
7 with the listing, which I'm not saying the court is going  
8 to do that. We're hoping it doesn't. But if that  
9 happens, we'll advise you right away so that you don't  
10 spend time reviewing the safe harbor because, as court  
11 cases go, they usually take two or three years to resolve.  
12 And things could change by that time and we may want to  
13 look at a different MADL. So we will let you know on that  
14 one.

15           But as I mentioned, it shouldn't be the highest  
16 priority of the three that you're going to have in any  
17 event because it's concurrent with the proposal for  
18 listing. I have the one for SO2 today. And my  
19 understanding is you'll have the one for hydrogen cyanide  
20 shortly. Hydrogen cyanide is another one where we're  
21 proposing a draft concurrent with the listing. And so we  
22 will have some time for you to make your comments.

23           But so I know that you're all busy with other  
24 stuff, and we're certainly not requesting a volunteer  
25 panel throw everything aside and do this work for us. We

1 do appreciate it.

2 To the extent you can let us know if you're going  
3 to be able to do the peer review and how much time you  
4 need, that's helpful. If you're not going to be able to  
5 do it, if you could let us know that, too. Thank you.

6 COMMITTEE MEMBER ROCCA: I have a question about  
7 the peer review. So just want to be clear on the process.  
8 The process is that based upon the authoritative body,  
9 it's being listed and we're being asked to review the safe  
10 harbor.

11 CHIEF COUNSEL MONAHAN-CUMMINGS: For BPA?

12 COMMITTEE MEMBER ROCCA: We're just reviewing the  
13 safe harbor. We're not reviewing the listing, per se.

14 CHIEF COUNSEL MONAHAN-CUMMINGS: That's correct.  
15 And that's pretty much true on any of the MADLs that we  
16 would be providing to you. Even if it was -- I mean,  
17 sometimes we set them for chemicals that are listed by  
18 this Committee. Sometimes we set them for chemicals that  
19 are listed under our Labor Code process, whatever. So  
20 you're not being asked to peer review the basis for the  
21 listing. Just the basis for the safe harbor number, which  
22 we try to identify just the pages within the documents  
23 that we give you that actually require your review.

24 And you're also, as I mentioned, free to ask us  
25 to send you any of the references that we have that we



1 site in our document. We don't normally send those all to  
2 you. But we would be happy to provide any of them that  
3 you're interested in.

4 CHAIRPERSON ALEXEEFF: Just as a comment,  
5 although you're not being asked to review the listing, per  
6 se, it still applies that if, for example, the  
7 authoritative body listed on the male reproductive  
8 toxicity and we were basing the level on developmental  
9 toxicity, then you should let us know and call us on that.  
10 Because we should still be following the regulation about  
11 basing it on an end point that's consistent with the  
12 listing.

13 CHAIRPERSON GOLD: Next on the agenda is a  
14 general public comment period. I don't know that we have  
15 any. No public comments.

16 No. Then I'll turn to Dr. Alexeeff for a summary  
17 of the Committee actions.

18 CHAIRPERSON ALEXEEFF: Well, actually, I had some  
19 a couple questions to ask the Committee before I summarize  
20 the meeting.

21 First of all, I wanted to thank you again for  
22 your comments and your thoughtful deliberation process on  
23 Deltamethrin today and your attention with regard to the  
24 MADL process.

25 But I did want to talk a bit about the

1 presentation of materials to the Committee. I had  
2 mentioned in the beginning that basically we consider  
3 there are three basic sources of information that come to  
4 you as part of this process. One is some information that  
5 with specifically provide, like in this case we summarized  
6 the information in a document and then there is the public  
7 comments and then this meeting today and comments made at  
8 the meeting.

9           But we've developed -- the information we sent to  
10 you today has kind of changed over time. And based upon  
11 the previous Committees, we've tried to adjust it to  
12 provide the information that those committees wanted or  
13 that we interpreted that would be best suited for them to  
14 make their decision.

15           So part of the question that I have -- and this  
16 is can be an on going question -- doesn't have to be  
17 finished today -- is what types of information would be  
18 most helpful to the members of the Committee for their  
19 deliberation? And part of I think a little bit of it came  
20 up today, and I think just something that comes to my mind  
21 and Dr. Rocca's, it looked like you were reading off of a  
22 table. And we could summarize the information in a table,  
23 if that would be the way you would prefer to see it, as  
24 opposed to summarizing each individual study.

25           And that's the other question as to whether or

1 not that is helpful at all. In the appendix, we  
2 summarized each individual study.

3           And then in the document, we sort of try to  
4 summarize each end point, and then we had an executive  
5 summary. So you could comment -- any comments you have on  
6 any of those parts being helpful or not helpful -- for  
7 example, the executive summary, was it helpful?

8           Also over time, we've had comments, concerns  
9 raised from members of the public regarding the content of  
10 the information that we've provided to the Committee. And  
11 it seems to kind of go back and forth.

12           So the question is we are trying to -- in other  
13 documents that we develop, like for other programs, we  
14 actually either propose the level, make a decision, and  
15 then it goes out for either peer review or public comment.

16           In this case, we're trying to provide information  
17 for you to make the decision and for us not to be making  
18 the decision. So it's a very difficult fine line for us  
19 to provide you enough information, at the same time to not  
20 make it seem like we think the answer is A or B.

21           And sometimes it comes out that maybe we didn't  
22 say enough positive things about some study or enough  
23 negative things about another study. So any guidance you  
24 might have along those lines would be helpful for us. I  
25 realize it's hard and you're talking in general.

1           But you know, again so there is sort of how would  
2 you like the information presented. And what types of --  
3 how would you like us to provide any sort of thoughts we  
4 have on the data that's before you?

5           CHAIRPERSON GOLD: Dr. Pessah first.

6           COMMITTEE MEMBER PESSAH: For me, it was kind of  
7 eye opening. I took it for granted that when the study  
8 gets published through peer review the appropriate  
9 controls are done.

10           I think what would really help me in at least the  
11 animal studies and perhaps there are some parallels in  
12 human studies that just list what the controls are. Don't  
13 evaluate them. But at least that would be very helpful.

14           CHAIRPERSON GOLD: Dr. Woodruff.

15           COMMITTEE MEMBER WOODRUFF: I think it would be  
16 also helpful in the summary tables, there's some grouping  
17 by end points. But to have more grouping by within a  
18 particular group within end points that are a subset, like  
19 in the male reproductive health effects.

20           I would like to see effect sizes in here. So you  
21 have whether it increases or decreases. But that doesn't  
22 give a sense of the magnitude of the effect, even when  
23 it's not statistically significant, because sometimes a  
24 lot of these -- I actually wrote down how many numbers  
25 were done in each of these studies.

1           And actually, I would also say there has been  
2 a -- I think you were at SOT. There was a great  
3 presentation by Paul Foster who is head of the toxicology  
4 branch at NTP because they're re-doing how they're doing  
5 their developmental tox studies. And they have a whole  
6 new design that they're implementing, which is -- he  
7 has -- I thought he had very nice presentation about how  
8 it's an improvement of what EPA and OECD is doing, because  
9 they do longer length of testings, so it increases the  
10 power of their studies to see reproductive effects.

11           And he had a very nice summary about how a lot of  
12 the studies they had been doing and other guidelines --  
13 not guidelines but studies that had been done by other  
14 sort of -- I don't want to say guidelines -- certain  
15 regulatory agencies actually had pretty low statistical  
16 power in order to see effects.

17           So I think -- so that was I kind of meandered off  
18 the point of the tables. I would ask him to give a  
19 presentation to this Committee, because I thought it was  
20 very effective in terms of what they're doing to upgrade  
21 their scientific testing to get more effective test  
22 regimes and to evaluate a fuller, more subtle range of end  
23 points.

24           Back to this table. So I think the effect sizes,  
25 even when we don't have statistical significant, is going

1 to be important, because there's still information in here  
2 that I felt we could use to give us more information about  
3 the relationship between exposures and response in the  
4 studies.

5 CHAIRPERSON GOLD: Dr. Rocca.

6 COMMITTEE MEMBER ROCCA: I was anticipating this  
7 question since last time we spoke you said you could be  
8 soliciting this.

9 So I actually prepared a list as I was setting up  
10 my things. Does this need to all be entered into the  
11 record? Or may I share with you the information?

12 Basically, what it talks about is what sort of  
13 methods would be important to understand about the test  
14 article and the route and frequency. Tables would be very  
15 nice and to organize the tables. And I also like to see  
16 things kind of by species and route. If there are two rat  
17 studies, I would like to see those listed together.

18 The other sorts of -- list all the end points  
19 that were evaluated someplace in a table. Because usually  
20 what you end up with is, oh well, these two were positive.  
21 You didn't see the other 20 that were negative. And  
22 frequently, you'll have things that correlate, like body  
23 weight and slower development, those sorts of things. So  
24 if we could have all of the end points and the ones that  
25 were effected.

1           And then, of course, the discussion of what  
2 parental toxicity there was. And very importantly after  
3 reviewing this one, I want to know what other scientific  
4 evaluations have been done that it was not called out in  
5 the document at all that quite a few authoritative bodies  
6 have already reviewed this. And I would have been  
7 interested to see their evaluations.

8           CHAIRPERSON GOLD: I would add from my  
9 perspective that in addition to the text, the summary  
10 tables are very helpful. But I think they could be a  
11 little bit expanded along the lines you've heard.

12           But also, for example, I couldn't tell sometimes  
13 if animals were randomly assigned to treatment groups.  
14 And when they did culling, I couldn't tell how that was  
15 done. Knowing the total sample size per group. I think  
16 the effect size is important, statistical significance  
17 alone is not. Knowing what the control was.

18           So just to add to the other comments that I think  
19 if you had a table that not only showed results, but made  
20 some comments about some of the strengths and some of the  
21 limitations, that would be helpful as well.

22           CHAIRPERSON GOLD: Other comments from the  
23 Committee? I think Dr. Nazmi.

24           COMMITTEE MEMBER NAZMI: I have three comments.

25           First of all, I want to thank Dr. Donald and the

1 team, because I know it's difficult to put together this  
2 amount of information in one kind of cohesive document.

3           Second comment is regarding I guess organization.  
4 I find myself referring back to the original studies  
5 regularly. That sometimes precedes my use of the  
6 appendix. So I feel like if some of those tables could be  
7 maybe fused into the manuscript into the actual text, it  
8 might be a little bit more handy. Instead of flipping  
9 back and forth.

10           My final comment could be a very personal thing,  
11 but also could be a very practical consideration. My  
12 preference is spinal bound. I actually had this done,  
13 because it found it very difficult to maneuver the metal  
14 binding in terms of ease of reading on public transport or  
15 offices or something like that. But thanks once again to  
16 your team. In general, very nice presentations.

17           CHAIRPERSON GOLD: I would add one more comment  
18 that if a study is found to be extremely poor, I would  
19 still include it in the table with the comment about that.

20           Dr. Woodruff.

21           COMMITTEE MEMBER WOODRUFF: I would also --  
22 actually, I should have raised this -- that the National  
23 Toxicology Program has developed some new tools for  
24 extracting data from studies to put them all in the  
25 relevant data from studies and put them all on the same



1 basis so it's easier to see across them.

2 I think that is a very -- since they're also  
3 doing reproductive developmental -- evaluation of  
4 reproductive and developmental end points would be a very  
5 valuable tool that they are making available to other  
6 public -- other public, including public agencies. So I  
7 would recommend that as another way to lay out information  
8 in a systematic manner that captures the relevant  
9 information from the studies.

10 CHAIRPERSON ALEXEEFF: Well, first of all, I  
11 don't know if staff wants to ask any questions. This  
12 could affect your work.

13 Should we decrease the amount of text in terms of  
14 moving more toward tables? Is the executive summary  
15 helpful or not? It's kind of odd. Usually, the executive  
16 summaries sort of leads one to a conclusion. Since we are  
17 trying to not have a conclusion, it's actually kind of  
18 hard to write.

19 CHAIRPERSON GOLD: I think if the table are  
20 sufficiently detailed, the text could be reduced. But  
21 I'll ask my panel members how they feel about that.

22 COMMITTEE MEMBER BASKIN: This is a subtly  
23 different document than, for example, reading JAM or New  
24 England where they're going to give you a level of  
25 evidence and completely bias before you've read the

1 article. So I appreciate the way it's presented.

2 I like the text. And tables are fine. I think  
3 it's kind of the right amount. I think you're pretty much  
4 right on. There's supplementary your data. If you are an  
5 expert in an area, you don't need to go into it all of us  
6 aren't an expert in each specific chemical or field. So I  
7 think it's pretty right on kind of the way it is.

8 CHAIRPERSON ALEXEEFF: Let me ask one more  
9 alteration.

10 So in terms of, okay, the tables, if we were to  
11 expand the table. In the back, we have each individual  
12 study summarized. So we could include a lot of that  
13 information in the table instead. Would that be more  
14 helpful? Okay.

15 CHAIRPERSON GOLD: Dr. Rocca, you had another  
16 point?

17 COMMITTEE MEMBER ROCCA: Yes, I had a quick  
18 question on another topic that probably once again this is  
19 a legal question.

20 Knowing that we have authoritative bodies who we  
21 use to help us list chemicals, it appears we have  
22 authoritative bodies that reviewed this chemical and  
23 didn't list it. So how does that work? Do we only accept  
24 positive data from regulatory bodies? Or if they don't  
25 list it, do we also --

1 CHIEF COUNSEL MONAHAN-CUMMINGS: Let me start,  
2 and then Dr. Donald can add in.

3 First off, the majority of -- pretty much all the  
4 chemicals that come to this Committee are coming here  
5 because there hasn't been a conclusion by another  
6 authority that is recognized by Prop. 65 that there is a  
7 developmental reproductive toxicity for that chemical. So  
8 we're asking you to do an original review, for lack of a  
9 better word.

10 Also, under our regulations, this Committee, not  
11 this one in particular, but the overall Committee has  
12 identified those entities that it considers to be  
13 authoritative. So you can re-look at that list at any  
14 time, take things off, add things in. And so I know  
15 sometimes you get comments that, for example, Health  
16 Canada or the World Health Organization or the EU which,  
17 didn't even exist at the time that the regulation was  
18 adopted, have made a determination one way or the other.  
19 So you might want to consider whether you want to include  
20 some of those, federal OSHA or whomever.

21 So we do -- and Dr. Donald can talk about this.  
22 We do include information from other agencies as it's  
23 appropriate. But for the most part, I think we are just  
24 identifying studies. And they may have been submitted to  
25 a regulatory agency, but very few agencies actually list

1 chemicals. They may identify chemicals, but they don't  
2 list chemicals in the way we do.

3 COMMITTEE MEMBER WOODRUFF: Just a point of  
4 clarification. U.S. EPA doesn't list reproductive and  
5 developmental toxicants. They do risk assessments and  
6 hazard assessments. But they don't create a list. So I  
7 think that -- right? So that doesn't make -- they can't  
8 use them -- you can't use them as an authoritative body  
9 because they don't have a list.

10 DR. DONALD: There are three criteria for what  
11 constitutes formal identification by an authoritative  
12 body. One is that the authoritative body maintains a list  
13 of chemicals that are known to cause reproductive  
14 toxicity, and none of the authoritative bodies do that.  
15 So we've never actually used this criteria.

16 The second is that the chemical has been formerly  
17 identified in a report -- or actually identified in a  
18 report by the authoritative body that concludes that the  
19 chemical causes reproductive toxicity, which is the most  
20 usual criteria that we have used.

21 The third is that the authoritative body has  
22 otherwise identified the chemical as causing reproductive  
23 toxicity in a report that indicates it's a final action  
24 and we used that criteria on occasion. But it's not  
25 necessary that an authoritative body has its own list of

1 chemicals.

2           The other consideration is that, as you are well  
3 aware, Prop. 65 is very specific. Only considers two  
4 types of toxicity: Cancer and reproductive toxicity. It  
5 doesn't require -- at least for reproductive toxicity, it  
6 doesn't require that the reproductive toxicity be the most  
7 sensitive effect of the chemical. There may be other  
8 forms of toxicity that occurs at lower levels, but a  
9 chemical can still be added to the Proposition 65 list if  
10 the chemical is clearly shown to cause reproductive or  
11 developmental toxicity.

12           So in some instances, authoritative bodies may  
13 have regulated a chemical based on a different end point.  
14 And may also have identified reproductive or developmental  
15 toxicity or have concluded the chemical causes that  
16 effect. And in that case, we have a basis for proceeding  
17 with listing through the authoritative body mechanism.

18           If they identify a different end point as the  
19 most critical effect and don't draw a conclusion or  
20 otherwise identify the chemical as causing reproductive  
21 toxicity, perhaps because it is not a relevant  
22 consideration for them because they've already regulated a  
23 more sensitive end point, in that instance, the chemical  
24 may come before this Committee. And we don't see that as  
25 an inconsistency.

1           We do, in prioritizing chemicals for  
2 consideration by this Committee, we do consider whether  
3 there's been a recent evaluation by an authoritative body  
4 or whether there's likely to be an evaluation in the near  
5 future.

6           For Deltamethrin, I'm afraid we don't have the  
7 date immediately to hand. But we're not aware that  
8 there's been a recent evaluation by U.S. EPA and, for  
9 example, the evaluation by DPR, as you heard, was 13 years  
10 ago.

11           So we are cognizant of the parallel evaluations  
12 that sometimes exist and we try to adapt to them. But in  
13 this case, Deltamethrin came through our most recent  
14 iteration of our prioritization process and was  
15 recommended for consideration by the last iteration of  
16 this Committee. So it came before you on that basis.

17           CHAIRPERSON ALEXEEFF: I wanted to speak to the  
18 general question of how do chemicals come before you. And  
19 so we do have a prioritization process that Dr. Donald is  
20 referring to that we had brought to the previous  
21 Committee. And we have a screening procedure where we  
22 screen a large number of chemicals to see which ones are  
23 most likely to cause reproductive toxicity without going  
24 into great extent of reviewing every chemical, which would  
25 take too much time.

1           So we screened it and we bring to the Committee.  
2 And at that time, we bring a prioritization to the  
3 Committee, that is usually when we would let you know if  
4 there's been any other recent reviews and what they might  
5 have said and that kind of stuff.

6           And like for our Cancer Identification Committee,  
7 that is clearly something that's important to them as  
8 well, has there been a recent review and what have they  
9 found. That's one way a chemical comes to the Committee  
10 is through the prioritization process.

11           Another way is that if a chemical is already on  
12 the list, it was listed, let's say, in 1990, but for some  
13 reason there's new evidence -- and let's say it was listed  
14 by the authoritative body and there is new evidence the  
15 authoritative body has decided to change its mind and no  
16 longer considered, that chemical would come back to this  
17 Committee to look at to see, well, does the Committee want  
18 to keep it on the list. So there's that process as well.

19           And then there is also another process which  
20 states the prioritization that Committee members  
21 themselves can suggest chemicals for us to look at. And  
22 for example, that happened with the environmental tobacco  
23 smoke where it was considered once and then it was not  
24 listed, but then new information came out and it was  
25 suggested we look at it again. And then it was ultimately

1 listed.

2           So there's different ways it comes before you.  
3 But again, whatever information, you know, you'd like to  
4 have at your fingertips when you're looking at a chemical,  
5 we would be happy to provide it.

6           COMMITTEE MEMBER BASKIN: What happens if a  
7 chemical is on one list and not another? For example, on  
8 the carcinogen list, but it also may or allegedly causes  
9 developmental issues?

10           CHAIRPERSON ALEXEEFF: Sorry. What was your  
11 question?

12           COMMITTEE MEMBER BASKIN: The carcinogen list and  
13 the reproductive and developmental lists are two separate  
14 lists.

15           CHAIRPERSON ALEXEEFF: Correct. They're two  
16 separate lists. And I think, you know, may be Cindy could  
17 even summarize the list. There are a number of chemicals  
18 on the list. Or if not today then --

19           COMMITTEE MEMBER BASKIN: I was looking at the  
20 website. I'm assuming that's the list, the one on the  
21 website; right?

22           CHIEF COUNSEL MONAHAN-CUMMINGS: Actually,  
23 there's one list for developmental and reproductive  
24 toxicants. And so what it says on there is what the end  
25 point is. It could be cancer and developmental. It could



1 be just cancer. It could be just developmental. Could be  
2 just male repro, that sort of thing.

3 So the one you usually see on the website is in  
4 alphabetical order. But it will show like the CAS number  
5 and the end point there.

6 So because the chemical is listed as a  
7 carcinogen, for example, doesn't mean we can't bring it to  
8 this Committee or use another process for listing it as a  
9 developmental or reproductive toxicant. Does that make  
10 sense? Does that answer your question?

11 CHIEF COUNSEL MONAHAN-CUMMINGS: I think the  
12 other list that Dr. Alexeeff is thinking of is a  
13 completely separate list that we talked about last time,  
14 last meeting, where it's the chemicals that haven't been  
15 adequately tested. That's a completely separate list.

16 CHAIRPERSON GOLD: So I think it's still you.

17 CHAIRPERSON ALEXEEFF: All right. Well, summary  
18 of the meeting. So today, the Committee considered  
19 Deltamethrin as a -- to determine whether or not it was  
20 clearly shown through scientifically valid testing  
21 according to generally-accepted principles to cause either  
22 developmental toxicity, female reproductive toxicity or  
23 male reproductive toxicity, and the Committee determined  
24 that it does not meet the standard for any of those  
25 toxicities.

1           CHAIRPERSON GOLD:   Okay.   Thank you.

2           And the only closing comments I would make is  
3 we'll be in discussions with agenda items concerning time  
4 limits and submission of web comments, perhaps revising  
5 the guidelines. I think that came up the last time at the  
6 last meeting. I think that was it for right now.  
7 Although voting procedures was also potentially mentioned.  
8 Anyway, so we'll be working together on future agendas  
9 where we might take up those items.

10           CHAIRPERSON ALEXEEFF: We do plan to have the  
11 next meeting but not next month. It would be in the fall  
12 sometime, either early or late fall.

13           CHAIRPERSON GOLD: So with that, I'd like to  
14 thank the staff for their hard work on this and for all  
15 the public comments and for the Committee's attention to  
16 detail and critical comments and thinking. Thank you all.  
17 And adjourn the meeting.

18           (Whereupon the hearing concluded at 3:31 p.m.)  
19  
20  
21  
22  
23  
24  
25

