

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT

---o0o---

SAFE DRINKING WATER AND TOXIC ENFORCEMENT ACT OF 1986
(PROPOSITION 65)

---o0o---

MEETING OF THE SCIENCE ADVISORY BOARD'S
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT (DART)
IDENTIFICATION COMMITTEE

---o0o---

THURSDAY, NOVEMBER 4, 2004

---o0o---

HELD AT:

California Environmental Protection Agency
Headquarters Building
1001 I Street
Sacramento, California

Reported By: PHYLLIS MANK, CSR No. 5093
BALINDA DUNLAP, CSR No. 10710

ORIGINAL

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

APPEARANCES

---o0o---

COMMITTEE MEMBERS:

DOROTHY BURK, Ph.D., Chairperson
KENNETH JONES, M.D.
CARL KEEN, Ph.D.
LINDA ROBERTS, Ph.D.
STEVEN SAMUELS, Ph.D.

OEHHA STAFF:

JOAN DENTON, Ph.D., Director
GEORGE V. ALEXEEFF, Ph.D., D.A.B.T.
JAMES DONALD, Ph.D.
MARLISSA CAMPBELL, Ph.D.
FARLA KAUFMAN, Ph.D.
MARI S. GOLUB, Ph.D., D.A.B.T.
LING HONG LI, Ph.D.
CAROL MONAHAN, ESQ., Chief Counsel
CYNTHIA OSHITA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

INDEX

---o0o---

<u>AGENDA ITEM</u>	<u>PAGE</u>
I Introduction And Committee Election Of Acting Chair	
By Dr. Joan Denton	5
II Consideration Of Chemicals As Known To The State To Cause Reproductive Toxicity	
A. Chloroform	
Staff presentation	
By Dr. Marlissa Campbell	10
By Dr. Farla Kaufman	20
Committee discussion	24
Public Comments	
By Mr. John Ulrich	25
By Dr. Jay Murray	27
By Mr. George Verbryck	53
By Dr. Susan Rice	57
Committee discussion and decision	74
B. Progesterone	
Staff presentation	
By Dr. Mari Golub	92
Committee discussion	N/A

1 INDEX (Continued)

2 ---o0o---

3			
4	<u>AGENDA ITEM</u>		<u>PAGE</u>
5		Public Comments	
6		By Mr. Brad Buchanan	99
7		Committee discussion and decision	103
8			
9	III	Consideration Of Revised Prioritization Process	
10		Staff presentation	
11		By Dr. Lauren Zeise	121
12		Committee discussion	126
13		Public Comments	
14		By Dr. James Coughlin	130
15		By Mr. Michael Schmitz	143
16		Committee discussion and decision	145
17			
18	IV	Updates	
19		Status of chemical listings via the administrative listing mechanisms	
20		By Ms. Cynthia Oshita	155
21		Status of perchlorate	
22		By Dr. George Alexeeff	157
23			
24	V	Summary of Committee Actions	
25		By Dr. Joan Denton	159

1 SACRAMENTO, CALIFORNIA, NOVEMBER 4, 2004

2 ---o0o---

3 DR. DENTON: Good morning. I think we will go ahead
4 and get started. My name is Joan Denton, and I am the
5 Director of the Office of Environmental Health Hazard
6 Assessment, and I would like to welcome the members of the
7 Committee as well as the members of the audience and the
8 staff of OEHHA to the meeting.

9 Hopefully everyone has a copy of the agenda. And if
10 you would like to make comments on the agenda items, our
11 usual, our standard procedure is for you to fill out the blue
12 cards and to give them to Cindy, who is in the back there,
13 who will bring them up to the acting Chair.

14 I would like to introduce the members of the
15 Committee. To my far left is Dr. Steve Samuels. And then
16 next to Dr. Samuels is Dr. Linda Roberts. And sitting
17 immediately to my left is Dr. Dorothy Burk. And to my
18 immediate right is Dr. Carl Keen. And then Dr. Ken Jones
19 rounds off the group there at the end.

20 So thank you very much to the Committee for their
21 attendance today.

22 I think it is worth making a comment about the size
23 and the individuals who are not here who were here last year.
24 And I just want to briefly explain that during the last hours
25 of the Davis administration, there were some changes made to

1 the makeup, not only of this Committee, but of our other Prop
2 65 Committee, the Carcinogen Identification Committee.

3 That was done, as I said, in the last hours of the
4 Davis administration.

5 And then with the arrival of the new Schwarzenegger
6 administration, very shortly after they arrived, additional
7 changes were made in the Committee.

8 So, in essence, when all the dust settled, then the
9 current makeup of the Committee are these individuals who are
10 represented here today, and the other members are not -- are
11 no longer on the Committee.

12 We have been working very diligently to get
13 additional members for the Committee, for this Committee and
14 the CIC Committee, and to bring back some of the expertise
15 that has been lost.

16 And we understand that, you know, it is momentarily
17 that there will be more appointments made. But at this point
18 in time, those appointments have not yet been made, and we
19 have been, as I said, working diligently to bring back some
20 of the members, other members that we have lost as well as
21 confirm the membership of the current Committee.

22 At any rate, let's see. I think the next thing is
23 that we -- because we do not -- because we no longer have a
24 Chair of this Committee, the -- this Committee needs to
25 appoint -- appoint, I guess, recommend or -- an acting Chair.

1 Steve.

2 COMMITTEE MEMBER SAMUELS: I move that we appoint
3 Dr. Burk as the acting Chair for this meeting.

4 DR. DENTON: The other members concur? Well, it's
5 unanimous, unanimous concurrence. So Dr. Burk will be the
6 acting Chair for this meeting.

7 Since we kind of did a concurrence thing, I would
8 like to turn this over to Carol, the chief counsel for OEHHA,
9 who has some comments to make on the voting, which will
10 happen later on on these agenda items. So, Carol.

11 MS. MONAHAN: Good morning. I just wanted to point
12 out since we are in a somewhat unusual situation of only
13 having five members on the Committee today, that under
14 OEHHA's regulations, which is Section 12302 of Title 22, the
15 makeup of the Committee is supposed to be seven -- a minimum
16 of seven and a maximum of 11 members.

17 When this situation came up in the past, there were
18 about six members of one of the Committees, and the question
19 was posed whether or not six members could take action that
20 would be valid.

21 And the Attorney General's Office had issued an
22 opinion in that regard that discusses State law on the
23 question. And it's also my opinion that the Committee can
24 act with less than seven members, but what we had to have was
25 a vote of at least four -- an affirmative vote of at least

1 four of the members in order for a motion to carry.

2 So that means that the five of you today, of the
3 five, four need to vote in favor of something in order for,
4 for example, for the chemical to be recommended to be listed.

5 In the event that there is a vote that's maybe, like,
6 three/two in favor, what I would -- will probably ask you is
7 whether or not you want to defer that question to a
8 meeting -- a later meeting of the Committee, since there
9 would be a majority at least of the sitting members that
10 thought that the chemical should be listed. So if that
11 situation comes up, I'll ask you at that time.

12 Also, given the fact that some of the expertise
13 that's needed for this Committee is no longer here, since
14 some of the members are missing, I wanted also to mention to
15 you that if you feel that you don't have the necessary
16 expertise to make a decision today on either one of the two
17 chemicals that will be presented to you, you do certainly
18 have the option to request that that chemical be deferred to
19 another meeting when that expertise is available.

20 Anybody have a question on that?

21 DR. DENTON: Okay. The last thing that I want to do
22 before I turn it over to Dr. Burk is to just basically affirm
23 the agenda. Because we did not have an appointed Chair,
24 essentially if -- we need to do that now, to basically affirm
25 the agenda.

1 So if the Committee has no additions to the agenda,
2 then the agenda stands. And at this point, Dr. Burk, I'll
3 turn it over to you.

4 CHAIRPERSON BURK: All right. First up, we'll start
5 with our consideration of chemicals as known to the State to
6 cause reproductive toxicity. And she's here, so Marlissa
7 will begin with chloroform and our staff presentation.

8 DR. DONALD: Actually, if I might just make a couple
9 introductory remarks. Jim Donald, chief of the reproductive
10 toxicology and epidemiology unit.

11 Chloroform and progesterone are both chemicals which
12 were selected through our prioritization process that we
13 adopted in 1996 for consideration by this Committee.

14 Just for clarification, these chemicals were not
15 randomly selected, as has been the case for chemicals
16 considered by the Carcinogen Identification Committee.

17 Prior to 1996, we had a different prioritization
18 process in place for chemicals that potentially caused
19 reproductive or developmental toxicity. Chloroform and
20 progesterone had been selected as potential candidates
21 through that process.

22 So when we started the new process in 1996, we
23 re-entered those chemicals into that process, and they were
24 reselected as being of high concern.

25 The first chemical that we are going to consider is

1 chloroform. I should also probably clarify at this point
2 that when progesterone's considered, it is only progesterone
3 and not other progestational drugs that are being considered
4 for listing today.

5 Dr. Marlissa Campbell will briefly summarize the
6 evidence on reproductive and developmental toxicity in
7 animals for chloroform, and then Dr. Farla Kaufman will
8 present a brief summary of evidence in humans.

9 It will take just a moment for the projectors to warm
10 up. I think the Committee members can see the slides on
11 their screens? Copies of the slides are available in handout
12 form if we are not able to project them.

13 DR. DENTON: Jim, it doesn't look like those
14 projectors are on.

15 DR. DONALD: Yeah, they were working a few minutes
16 ago. They seem to have turned themselves off, some sort of
17 an energy-saving thing.

18 DR. DENTON: We can see them with the copies. If the
19 public has copies, then I think we can go ahead and proceed.
20 There we go.

21 DR. CAMPBELL: Chloroform, or trichloromethane, is a
22 high-production volume chemical with over 500 million pounds
23 produced annually in the U.S.

24 Chloroform is usually the most prevalent by-product
25 formed when drinking water is disinfected by chlorine.

1 Exposure to chloroform may occur in the workplace or through
2 exposure to chlorine-treated water.

3 Chloroform is readily absorbed by the oral and
4 inhalation routes. Dermal absorption requires contact with
5 chloroform in liquid rather than vapor form. It distributes
6 widely throughout the body, and there's evidence that
7 chloroform crosses the placenta and can be expected to appear
8 in human colostrum and mature breast milk.

9 Chloroform is metabolized by cytochrome
10 P450-dependent pathways, and any non-metabolized chloroform
11 is excreted primarily through exhalation.

12 Chloroform was one of the earliest known surgical
13 anesthetics, so there's quite a lot of acute human exposure.
14 The use of it tapered off when it became clear that it had a
15 higher fatality rate than newer alternatives that were coming
16 in about the 1930s.

17 Chronic exposures to chloroform in the workplace have
18 been reported to result in neurological effects, as well as
19 affects on the liver.

20 Chronic exposures in animals have given evidence of
21 cytotoxicity in liver, kidney and nasal epithelium. And
22 chloroform is included on the Proposition 65 list of
23 carcinogens.

24 In this study, the Schwetz study, in the high
25 concentration group, the pregnancy rate was reduced. Live

1 litter size was decreased. Resorption frequency was
2 increased. Fetal weights and crown rump length were
3 decreased, and the sex ratio was altered. At a concentration
4 of 100 ppm, the frequency of gross anomalies was increased,
5 consisting of acaudia, or short tail, and imperforate anus.
6 At 30 ppm, the frequency of skeletal anomalies was increased,
7 and the crown rump length was decreased.

8 No maternal deaths occurred during this study.
9 Maternal body weight on gestation day 13 was significantly
10 reduced at all concentrations of chloroform. At the high
11 concentration of 300 ppm, maternal weight was still reduced
12 on gestation day 21, whereas the other treated groups had
13 returned to control levels.

14 Feed consumption on the first day of treatment was
15 significantly reduced at all three test concentrations. For
16 the 100 and 300 ppm groups, feed consumption remained low
17 during treatment, but subsequently increased above control
18 levels for the 100 ppm group by gestation day 18 to 19.

19 In order to try to understand the contribution of
20 maternal anorexia to the fetal effects observed with
21 chloroform, the authors of the Schwetz study included a feed-
22 restricted control group, which was given only 3.7 grams of
23 food per day on gestation day six through 15.

24 This level of feed consumption can be compared to the
25 20-plus grams per day consumed by the untreated controls, and

1 approximately one gram per day consumed by the 300 ppm
2 chloroform group over the days of treatment.

3 Feed-restricted control showed statistically
4 significant decrements in maternal weight as well as
5 reductions in fetal weights and lengths, but no malformations
6 and no affect on the percent of successfully bread dams that
7 were found to be pregnant at term.

8 Fetal weights in the restricted group were reduced to
9 approximately 90 percent of ad-lib controls, whereas weights
10 in the 300 ppm chloroform group were reduced to approximately
11 60 percent of ad-lib controls.

12 The pregnancy rate for these feed-restricted controls
13 was 100 percent, in contrast to the 15-percent pregnancy rate
14 found for animals in the 300 ppm group.

15 In another pair of studies done by the same authors,
16 decreased weight and/or fetal length were reported for rat
17 fetuses exposed to chloroform concentrations of 30, 100 and
18 300 ppm.

19 The number of live litters decreased with increasing
20 concentration of chloroform, reaching a significant
21 difference from controls at 300 ppm.

22 Increased skeletal variations were observed at three,
23 ten and 30 ppm. There were no maternal deaths in either of
24 these studies.

25 In the 1988 study, maternal body weight was

1 significantly reduced at all three test concentrations on
2 gestation day 17, and remained reduced on gestation day 21 at
3 100 and 300 ppm.

4 Feed consumption was reduced at all three
5 concentrations during treatment, but subsequently rebounded
6 to a level significantly above controls.

7 In the 1991 study, the data on maternal body weight
8 were not statistically analyzed, but appeared to show
9 decreases for the three, ten and 30 ppm groups. Feed
10 consumption was significantly reduced for all groups during
11 the first week of the treatment period.

12 In the Thompson study, fetal weights were
13 significantly decreased at 126 milligrams per kilogram per
14 day. This is an oral study we are looking at now. Maternal
15 weight gain during the treatment period was significantly
16 reduced at 50 and 126 milligrams per kilogram per day.

17 There were no maternal deaths during the course of
18 this study. Maternal feed consumption was significantly
19 reduced during treatment only at the 126 milligram per
20 kilogram per day level.

21 In the Ruddick study, mean fetal weight was
22 significantly decreased in the 400 milligram per kilogram per
23 day group. No maternal deaths were reported with chloroform
24 exposure. And maternal weight gain was significantly
25 decreased in all three groups, but feed consumption data were

1 not reported.

2 Now we have inhalation in the mouse. And this Murray
3 study, pregnancy rate was lower for treated animals from
4 gestation days -- it was a single dose, and they varied the
5 days of treatment. So pregnancy rate was lower for animals
6 that were treated on gestation days one through seven or six
7 through 15.

8 Litter size was not affected, though resorption
9 frequency was significantly increased with treatment on days
10 one through seven. Fetal weights and crown rump lengths were
11 significantly reduced with exposure on gestation days one
12 through seven or eight through 15. One of the treated dams
13 died in this study.

14 Maternal body weight gain was significantly reduced
15 for animals treated on gestation days one through seven or
16 eight through 15. It was not clear if this was total
17 gestational weight gain, or weight gain over the treatment
18 period.

19 Oral treatment in rabbits. In the Thompson study,
20 mean weights of fetal rabbits were significantly reduced at
21 20 and 50 milligrams per kilogram per day, but not at 35
22 milligrams per kilogram per day. Loss of complete litters
23 was seen in all groups, including the controls, and without a
24 clear concentration response.

25 Four out of 15, or 27 percent, of maternal animals

1 died following exposure to 50 milligrams per kilogram per day
2 chloroform. Two out of 15 controls, and one out of 15 dams
3 in the 20 milligram per kilogram per day group also died
4 during the study.

5 Body weight gain was said to have been significantly
6 decreased among survivors of the 50 milligram per kilogram
7 per day group, but data and statistics were not presented.

8 This is an oral developmental neurotoxicity study
9 conducted in mice. Mean litter size and body weights did not
10 differ between treated and control groups, but weight gain
11 over postnatal days seven to 21 was significantly lower in
12 treated pups.

13 The chloroform-exposed group had reduced scores for
14 forelimb placement on each of days five through eight, with
15 statistically significant differences on days five and seven.
16 These are postnatal days, obviously.

17 However, reflex and response test revealed no overall
18 retardation of neurobehavioral development in these mouse
19 pups. And tests of motor performance and learning did not
20 show differences between treated and control groups.

21 Turning to male reproductive toxicity, in an
22 inhalation study of male mice which focused only on sperm
23 parameters, significant increases were found in the
24 percentage of abnormal sperm for both exposed groups.

25 In a continuous breeding study conducted by gavage in

1 mice, five animals died over the course of the study.
2 However, treatment had no significant affects on mortality,
3 body weight, fertility or the sperm parameters evaluated in
4 that study.

5 Both absolute and relative rat epididymal weights
6 were significantly increased for animals in the F1 generation
7 in the 41.2 milligrams per kilogram per day dose group.

8 Just for comparative purposes, OEHHA converted the
9 Land concentrations to approximate doses on a milligram per
10 kilogram per day basis. Though absorption is fairly complete
11 from both inhalation and oral exposures, this is only a rough
12 approximation and does not account for likely differences in
13 pharmacokinetics by the different routes.

14 Turning to female reproductive toxicity, in the
15 continuous breeding study, which we just discussed with the
16 previous slide, there were no treatment-related changes in
17 any of the evaluated end points of female reproductive
18 function.

19 In a 90-day drinking water toxicity study conducted
20 in rats, no changes were observed in female reproductive
21 organs at necropsy.

22 In a seven-and-a-half-year study of chloroform that
23 was given in the form of capsules to group of Beagle dogs,
24 eight Beagle dogs of each sex, no treatment-related changes
25 were noted in female reproductive organs at necropsy.

1 Now, a number of the developmental toxicity studies
2 that I already described has information that's pertinent to
3 female reproductive toxicity. So I'll just go through that
4 really quickly.

5 In rats by inhalation, effects were seen on pregnancy
6 rate and/or resorption frequency at a concentration of 300
7 ppm. Maternal deaths were not seen in these studies, but
8 there were affects on maternal weight, body weight and feed
9 consumption.

10 Mice by inhalation. Pregnancy rate and resorption
11 frequency were affected at various times during gestation.
12 One maternal death occurred during the study, and maternal
13 body weight gain was affected at the certain time points.

14 Oral studies in rats did not provide effects -- did
15 not provide evidence for affects on pregnancy rate or litter
16 size.

17 Complete loss of litters was seen in groups of
18 rabbits exposed by the oral route with no clear concentration
19 response relationship. Excess maternal death was seen at the
20 highest concentration.

21 And just to summarize the findings for the animal
22 data, rats exposed to chloroform by inhalation during
23 gestation, observations included affects on pregnancy rate,
24 resorption frequency, fetal weight, crown rump length, as
25 well as some evidence for increases in the frequency of

1 skeletal anomalies and variations.

2 Similar results were found for rats exposed to
3 chloroform by the oral route, as well as for mice exposed by
4 inhalation.

5 Rabbits exposed by the oral route showed affects on
6 fetal viability. All of these studies also reported some
7 degree of maternal toxicity, ranging from minimal decreases
8 in feed consumption to excess maternal mortality.

9 Male mice exposed to chloroform by inhalation showed
10 abnormalities in sperm morphology, while a gavage study did
11 not detect treatment-related changes in fertility or sperm
12 parameters.

13 While a study of fertility and also necropsy
14 evaluations of female reproductive organs did not find
15 evidence for female reproductive toxicity, several
16 developmental toxicity studies included relevant findings.
17 These effects included decreased pregnancy rate, decreased
18 litter size and/or increased resorptions and whole litter
19 abortions.

20 All of these effects were observed at doses or
21 concentrations that were also associated with some degree of
22 systemic toxicity to the maternal animals.

23 That's all I have for my part.

24 DR. DONALD: We can either take any questions you
25 have now for Dr. Campbell, or wait until Dr. Kaufman has made

1 her presentation.

2 CHAIRPERSON BURK: Are there any questions from the
3 Committee at this time?

4 DR. KAUFMAN: In this part of the presentation
5 concerning developmental and reproductive toxicity in humans,
6 I have included only studies that specifically determined
7 chloroform exposure.

8 Studies that examined affects of trihalomethanes, of
9 which chloroform is the major constituent, were included in
10 the hazard identification document. However, I will not
11 present that material here.

12 Concerning developmental toxicity in humans, eight
13 studies were identified which examined exposure to
14 chloroform, one occupational cohort study of chloroform
15 exposure in laboratory workers, and seven studies of
16 chloroform in drinking water.

17 The occupational study was conducted by Wennborg, et
18 al., in Swedish laboratory workers with the reference group
19 being female non-laboratory workers with the same
20 socioeconomic background.

21 In the study, questionnaire data was used to assess
22 exposure to chloroform. An increased risk of spontaneous
23 abortion was observed for woman working with chloroform
24 during the time before conception, with an odds ratio of 2.3,
25 although the lower 95-percent confidence interval was just

1 under one. This may be due to the smaller number of exposed
2 women. The odds ratio for previous spontaneous abortions was
3 2.2.

4 Four of the seven studies which examined exposure to
5 chloroform in drinking water are shown on this slide. As you
6 can see, there are -- three of these four studies found
7 statistically significant developmental effects, including
8 increased risk of intrauterine growth retardation, stillbirth
9 and chromosomal abnormalities.

10 Two of the remaining three developmental studies, as
11 shown in this slide, found statistically significant
12 developmental effects, including, once again, an increased
13 risk of stillbirth, as well as lower birth weight and small
14 for gestational age, which is essentially equivalent to
15 intrauterine growth retardation.

16 One study was located that reported on male
17 reproductive toxicity of chloroform in the very well-
18 conducted occupational case report. One male worker, a
19 laboratory worker, exposed to very high levels of chloroform
20 showed lowered percentages of total motile sperm, down to 26
21 percent from previously measured levels.

22 The lab worker was also exposed to isooctane and
23 tetrahydrofuran. However, no animal or human studies were
24 found which examined male reproductive toxicity of these two
25 compounds.

1 In addressing female reproductive toxicity, an
2 occupational study conducted by Dahl, et al., using
3 questionnaire data found no evidence of an affect of
4 chloroform exposure on fertility in female dental surgeons.
5 However, the study had a low response rate, as well as a very
6 long recall period for exposures and pregnancies up to 40
7 years.

8 The second study by Wennborg, et al., was described
9 in the developmental section, and reported associations with
10 spontaneous abortions.

11 To summarize the effects of chloroform exposure, the
12 developmental effects included decreased birth weight,
13 intrauterine growth retardation, and increased stillbirths.
14 Developmental effects were seen in six of these eight
15 studies.

16 For male reproductive effects, one case report found
17 lower percentage of total motile sperm. This finding is in
18 agreement with a study by Land, et al., in rats by the same
19 route of exposure, inhalation. Decreased percent of motile
20 sperm seen in humans is comparable to the increase in
21 abnormal sperm morphology seen in mice.

22 Female reproductive toxicity included two studies.
23 One study found no effects on fertility, while the other
24 study reported an increased odds ratio for spontaneous
25 abortion.

1 Careful attention should be given to specific
2 strengths and limitations of these studies. These include
3 the level of chloroform to which the subjects were exposed.
4 In each of these studies, this varied considerably.

5 Evidence of a monotonic increase in risk or dose
6 response was shown in one study for the association between
7 chloroform exposure and small for gestational age, but only
8 at levels greater than 20 micrograms per liter.

9 In certain other studies presented here, mean
10 exposure levels to chloroform were only 11 micrograms per
11 liter.

12 In addition, the ability or power of the study to
13 detect an effect, if one is really present, is determined by
14 the sample size. The sample sizes varied considerably
15 between studies, as well as between the exposure levels
16 within a study. The study that reported a dose response had
17 the highest chloroform exposure levels, and the largest study
18 population, and, thus, the greatest power.

19 This slide presents a summary of the concordance of
20 human and animal data by outcome and route of exposure. For
21 developmental outcomes by the oral route in humans and
22 animals, results showed significant decreases in fetal weight
23 and increased intrauterine growth retardation.

24 By inhalation route, there was increased spontaneous
25 abortions and stillbirths in humans with increased

1 resorptions in animals.

2 For male reproductive toxicity by the inhalation
3 route of exposure, the human case report found decreased
4 sperm motility with the related outcome in animals of
5 increased abnormal sperm morphology.

6 Female reproductive toxicity by the inhalation route
7 again showed increases in spontaneous abortions in humans
8 with an increase in resorptions and in whole litter abortions
9 in animals.

10 That concludes my presentation. Thank you for your
11 attention. Do you have any questions?

12 CHAIRPERSON BURK: Thank you very much, Farla. Are
13 there any questions from the Committee?

14 I just want to say, though, while I got both of you,
15 thank you so much for a very nice document. I always want to
16 recognize all the effort that goes into preparing that.

17 Another chance, did anybody have a question now, or
18 shall we proceed to the public comments?

19 COMMITTEE MEMBER ROBERTS: I have one question for
20 you. On the slide at the bottom of page 2 of the handout,
21 list of developmental toxicity of chloroform exposure in
22 drinking water in humans, the Kramer, Waller, King and Dodd
23 and King studies, those effects listed, didn't some of those
24 also look for other affects? In other words, the one that is
25 positive for stillbirth, did it look for small for

1 gestational age?

2 DR. KAUFMAN: In many studies they did look for other
3 outcomes. In that study, I don't believe they did. That was
4 strictly stillbirths.

5 COMMITTEE MEMBER ROBERTS: Okay.

6 CHAIRPERSON BURK: Are there any other questions?

7 COMMITTEE MEMBER JONES: Can we come back?

8 CHAIRPERSON BURK: Absolutely, we'll discuss it. It
9 is just a matter of if you have a burning question now,
10 otherwise we'll go on with the public comments. And I'll go
11 in the order that I received the cards.

12 So the first one is John Ulrich, Jay Murray, Chemical
13 Industry Council of California, Chlorine Chemistry Council.
14 Is it both, one? Yep, both of them are coming forward.

15 MR. ULRICH: Dr. Burk, Director Denton, distinguished
16 members of the Committee, my name is John Ulrich. I am a
17 senior consultant to the Chemical Industry Council of
18 California, a State trade association of chemical
19 manufacturers, distributors and allied industries.

20 On behalf of our association and our partner,
21 Washington D.C.-based Chlorine Chemistry Council, I would
22 like this morning to offer some brief introductory remarks
23 regarding chloroform and the subject matter before you.

24 First let me thank you, the members of the DART
25 Committee, for your careful review on the data concerning

1 whether or not chloroform is a reproductive toxicant.

2 Chloroform is a by-product of chlorine disinfection.
3 And chlorine disinfection is an important public health tool
4 in preventing disease.

5 In fact, last month the Centers For Disease Control
6 and Prevention noted that a number of waterborne disease
7 outbreaks were attributable to inadequate chlorination of
8 swimming pools.

9 Your evaluation today will determine whether or not
10 California identifies chloroform as known to cause
11 reproductive toxicity. Your evaluation will determine
12 whether a warning of known to cause reproductive toxicity
13 will be required for exposures to chloroform in excess of one
14 one-thousandth of the no observed affect level.

15 Because the public health stakes are relatively high
16 relating to the use of chlorine as a public health tool for
17 disinfection, we appreciate your careful attention to this
18 listing evaluation.

19 The Chemical Industry Council of California and the
20 Chlorine Chemistry Council have jointly asked Dr. Jay Murray
21 to review the relevant data and provide his observations
22 today in an effort to assist your evaluation.

23 I understand that Dr. Murray is well-known to you.
24 And for this reason, I will turn the floor over to him
25 without further introduction. Thank you very much.

1 DR. MURRAY: Good morning. Well, first, thank you
2 for reviewing the written comments that were submitted. And
3 those comments were prepared by me and Dr. Robert Tardiff,
4 who is here today. And this is Dr. Tardiff sitting in the
5 front row.

6 Dr. Tardiff, for those of you who may not know him,
7 headed up U.S. EPA's toxicology drinking water laboratory
8 where some of the major risk assessments of chlorine
9 disinfectant by-products were conducted, including
10 chloroform.

11 He also ran the first Environmental Health and
12 Toxicology Department at the National Academy of Sciences.
13 And for those who are familiar with that nine-volume drinking
14 water and health series, that was Dr. Tardiff's
15 responsibility. And today he's the founder and principal of
16 the Sapphire Group in Bethesda, Maryland.

17 I have two sets of handouts. I wonder if I can
18 figure out how to get up there, if I can bring those to you.
19 Thank you, Cindy. Next slide.

20 Well, we have all been here before. These are the
21 three issues before you. I am going to cover them in this
22 order, and I am going to focus mostly on developmental
23 toxicity, because that's where most of the data are. Next
24 slide.

25 One of the first things I did is I looked at what

1 summaries were available on the epidemiological data. And
2 what you see on this slide is a quote from U.S. EPA's
3 toxicological review of chloroform that was done in 2001.
4 Says, "Although epidemiological studies of this type are
5 useful in evaluating whether chlorinated drinking water can
6 increase the risk of adverse reproductive effects in exposed
7 populations, the studies are not adequate to establish a
8 causal link between ingestion of chloroform and the
9 occurrence of adverse reproductive effects in humans, because
10 chlorinated drinking water contains many different
11 potentially toxic disinfection by-products."

12 And I emphasized the section in the middle, and that
13 was my emphasis, not EPA's. Because as you know, the
14 standard for Proposition 65 is causation. It has to be
15 clearly shown through scientifically-valid testing, according
16 to generally accepted principles, to cause reproductive
17 toxicity. So an association isn't enough. Association
18 doesn't meet the listing criteria, in part because an
19 association can be due to other factors. Next slide.

20 So on the epidemiology developmental tox studies,
21 there is no causal link established with respect to
22 chloroform. As you heard in OEHHA's presentation -- by the
23 way, OEHHA did a very nice job of reviewing these studies,
24 both in the hazard identification document as well as in the
25 summary presentations this morning.

1 So in some cases I'll be able to breeze through these
2 slides, because it's already been said, and this is one of
3 those things.

4 You heard correctly that all but one of these are
5 drinking water and THM studies. They are not chloroform
6 alone studies. Some of the studies included an evaluation of
7 chloroform. But where there's chloroform, there are other
8 disinfectant by-products.

9 There was also the one occupational study of
10 laboratory workers, the Wennborg study that you heard about.
11 One of the difficulties there is you have all worked in
12 laboratories. You know that chloroform is not the only thing
13 you're exposed to in the laboratory.

14 The association that was sometimes seen for THMs is
15 interesting because many of those -- not many, but in a
16 subsection of those studies, also looked at chloroform.

17 And in the summary slides that you saw in the
18 epidemiology this morning, the good part is what you saw were
19 the data specifically for chloroform. But it's also
20 important to keep in mind that for a lot of those studies,
21 the primary focus was total THMs. And they would see an
22 association for total THMs in some of those studies, but then
23 when they looked at chloroform, either there was no
24 significant association for chloroform, or the association
25 was weaker than it was for total THMs, suggesting that

1 chloroform is not the culprit.

2 The limitations of the epidemiologic studies, you
3 heard some of that this morning, and it was also in the
4 hazard identification document, no dose response problems
5 with exposure misclassification. So I am not going to go
6 into any detail on that. Next slide.

7 Animal studies. First there have been no
8 developmental effects seen in the absence of significant
9 maternal toxicity in those studies. The HID said that, and
10 Dr. Campbell, I believe, also said that this morning.

11 When maternal toxicity -- and probably also important
12 to point out that these studies did not demonstrate any
13 teratogenic activity of chloroform. So the issue is
14 embryotoxicity and fetotoxicity.

15 And what the study showed is that when maternal
16 toxicity was excessive and then -- by "excessive," what I am
17 talking about in these studies, we had studies where there
18 was a very high rate of maternal deaths, around 30 percent.
19 There was another study where there was -- the rats virtually
20 starved themselves throughout the exposure period, and lost a
21 good bit of their body weight. And I'll talk a little bit
22 more about that.

23 Under those circumstances, what was seen was a
24 decrease in fetal weight and/or a decrease in viability,
25 mostly early resorptions or showed up as animals that weren't

1 pregnant because the resorptions occurred very early during
2 pregnancy.

3 And that's not surprising, given that excessive
4 degree of maternal toxicity. That's maternal toxicity that
5 regulatory agencies would not like to see people use, that
6 would not like to see at the high dose in any developmental
7 toxicity study. That's beyond what today would be considered
8 scientifically-valid testing.

9 Now, when maternal toxicity was not excessive, the
10 developmental -- but still significant, still significant
11 statistically and biologically, what we had seen were
12 developmental effects that were not seen consistently among
13 studies. And the effects that were seen can easily be
14 explained by the degree of maternal toxicity that was
15 present. And I'll show you a slide in a couple of minutes
16 that supports that.

17 Also, in some cases, some of the studies that were in
18 the HID, but were not presented this morning, are not
19 scientifically-valid testing, because they are abstracts.
20 They are pilot studies, range finding studies, studies of
21 that nature. Next slide.

22 This is a quote from the same U.S. EPA toxicological
23 review of chloroform. And this is a summary of the animal
24 studies that EPA provided. And it says, "Developmental
25 effects occur only at the same or higher doses as those which

1 cause affects on the dam, suggesting that most of the effects
2 are secondary to maternal toxicity. No studies were located
3 that demonstrate that the fetus is more sensitive to
4 chloroform toxicity than the mother. This is supported by
5 findings that the enzyme responsible for chloroform
6 metabolism is low or absent in the fetus."

7 Now, I was reassured by that quote. But one thing
8 that gave me a little pause was the phrase "suggesting that
9 most of the effects are secondary to maternal toxicity."

10 And I wasn't sure why they said it that way. I
11 didn't know if they were just being especially cautious or if
12 they had seen something which I hadn't seen. Because all the
13 studies that I had seen, effects were always associated with
14 maternal toxicity.

15 So I contacted the author of that EPA document, who
16 is Dr. Julie Dew, and asked her about that. And she
17 confirmed that at the present time, there is no indication
18 that any of the developmental effects occur in the absence of
19 maternal toxicity. And she said that it would be correct to
20 drop the "most" and simply say "suggesting the effects are
21 secondary to maternal toxicity."

22 So the important thing is that they are not studies
23 that we don't know about that EPA had that suggested there
24 are effects in the absence of maternal toxicity. Next slide.

25 Now, one of the handouts you have are a couple of

1 tables. And what this slide is -- and the first one is a
2 summary table of the developmental tox studies by the
3 inhalation route.

4 What the slide is that you are looking at right now
5 is really a summary of the summary. And the reason I had to
6 do this is I couldn't fit everything on here. So this slide
7 is missing some of the information that's on the more
8 detailed table.

9 But I color coded this so that you could at least
10 see, differentiate the studies. The green -- the three green
11 rows is the Schwetz study. The light blue rows are the two
12 Baeder and Hoffman studies, and the last column is the mouse
13 inhalation study by the illustrious Dr. Murray.

14 And what I want to point out here, the reason this is
15 a little different from the other tables that you have seen
16 on this is what I was trying to do is match up maternal
17 toxicity with developmental toxicity. Because it was harder
18 to do on some of the other summary tables which I had.

19 And, again, all of these are rat studies except for
20 the very last row.

21 First thing I want to point out is that there's
22 maternal toxicity at every single concentration that's been
23 tested. If you look at the top value in the Schwetz study,
24 the 300 part per million, I labeled that as excessive
25 maternal toxicity because the animals lost nearly 100 grams

1 of body weight during the first week of exposure in that
2 study. So between gestation day six through 13, they lost
3 nearly 100 grams. These are rats that weigh less than 300
4 grams. We are talking about a third of their body weight in
5 one week. We are not talking about decreased maternal weight
6 gain. We are talking about a third of their body weight lost
7 in a week.

8 If you look at the food consumption data in that
9 study, it's not surprising. They didn't eat.

10 But that is truly excessive maternal toxicity. And
11 what we have seen is a decrease in viability and a decrease
12 in fetal body weight, and no wonder.

13 There was consistency between the Schwetz and the
14 Baeder and Hoffman studies at 300 parts per million. Also
15 saw a lot of maternal toxicity in Baeder and Hoffman, but not
16 quite as dramatic as the weight loss, still decreased
17 viability and fetal body weight.

18 Those were the consistent effects in rats. If you
19 look at Schwetz at 130, you'll see an increase in fetal
20 anomalies at one dose, and at 30 an increase in delayed
21 ossification that was not seen in higher doses in that study.

22 But then look at the same concentrations in the
23 Baeder and Hoffman study where they saw no effects. And
24 these were -- the Baeder and Hoffman was essentially a repeat
25 of the Schwetz, et al., study. Same concentrations, same

1 days of exposure. So there should have been more consistency
2 there.

3 You'll also see that in the second Baeder and Hoffman
4 study they saw a decrease in fetal body weight, but it was
5 not seen in the first study at 30 or even at a high
6 concentration in that study.

7 So there are some inconsistent effects seen at levels
8 less than 300, but they are inconsistent and always seen in
9 association with maternal toxicity, and enough maternal
10 toxicity to explain the effect.

11 In the Murray study there was a decrease in viability
12 and decreased fetal body weight, again, associated with
13 significant maternal toxicity.

14 So as noted by EPA, no study demonstrates that the
15 fetus is more sensitive than the mother. Next slide.

16 This morning you saw a slide on the Schwetz, et al.,
17 study dealing with maternal toxicity. What's unique about
18 this study is that this was the one study that attempted to
19 take a look at one part of the maternal toxicity issue.

20 And Schwetz, et al., had a -- what's been described
21 as a starved control group, which was designed to look at
22 what effect food deprivation would have in that study. And
23 that starved control group showed an affect on fetal body
24 weight, but did not show an affect on viability.

25 Now, the slide that you saw earlier made that point

1 and made the second bullet as well, and that the starved
2 control group in the Schwetz study was not really a pair fed
3 control group. They were given 3.7 grams a day of feed.

4 If you look at the high-dose group in the Schwetz
5 study, which is in the HID, I think, on page 31, there's a
6 big difference in the so-called starved control animals,
7 actually ate four times more food than the high-dose
8 chloroform group. So it is not truly a pair fed control
9 group. It is not a great comparison.

10 Because if you are really trying to do that, they
11 would have gotten about a gram a day of feed. And that
12 fourfold difference alone can make a big difference.

13 Also, Schwetz did not measure water consumption. And
14 one of the things we know from other inhalation studies of
15 chloroform is when the animals stop eating, they also stop
16 drinking. So that study did not deprive the animals of
17 drinking water, the same way they were affected in the
18 high-dose -- in the chloroform study.

19 And then, obviously, in a study like this, you're
20 really looking at one aspect of maternal toxicity, food
21 consumption. You can't really control for all the other
22 aspects of maternal toxicity. You can't control for liver
23 effects, kidney effects, central nervous system effects,
24 nausea associated with exposure to chloroform.

25 So, you know, you can't draw conclusions from the

1 Schwetz study that maternal toxicity is not the most
2 plausible explanation for the effects that we're seeing.

3 Next slide.

4 This is similar to the slide that you saw, and this
5 corresponds to the second table that I gave you. Again, it's
6 a summary of a summary. And the three studies you see
7 depicted here in green is the Thompson rabbit study. Light
8 blue in the middle is the Thompson rat study. And the one on
9 the bottom is the Ruddick rat study.

10 And what you see in all of these is -- let me start
11 with the 50 milligram per kilogram per day on the top row in
12 the Thompson study. That study had excessive maternal
13 toxicity, and that's the study that four out of 15 dams died
14 during the course of treatment with liver effects.

15 They also had anorexia, diarrhea, but four out of 15
16 dams dying tells you that that's a very high dose. Anorexia
17 and diarrhea are some of the acute symptoms of overexposure
18 to chloroform.

19 At that high dose there was a decrease in fetal body
20 weight, which is what you'd expect with that.

21 And as you look at the high dose in all three of the
22 studies, what is consistent is a decrease in fetal body
23 weight. The one at the bottom, it was associated with an
24 increase in variations of the sternbrae having to do with
25 rate of ossification. But in every case, it was also

1 associated with significant maternal toxicity.

2 The only -- and it was only at the high dose where an
3 effect was seen, with the exception of the low dose in the
4 Thompson rabbit study. That's the 20 dose up in the third
5 row. The authors reported a decreased fetal body weight.
6 But you also have to remember that that study was published
7 in 1974.

8 Thompson was still using the fetus as a statistical
9 unit, not the litter. So it is questionable whether that is
10 truly an effect. It obviously wasn't seen at a higher dose,
11 and there's no dose response.

12 So the pattern is at the high dose in these studies,
13 significant maternal toxicity, some evidence of
14 embryotoxicity.

15 At the next lowest dose, there is typically still
16 significant maternal toxicity, but less than at the high dose
17 and no developmental toxicity. So that in these studies, you
18 can see even when you have some maternal toxicity, there's
19 still no effect on the fetus. It is not until you're having
20 a more severe affect on the mother that an effect shows up.
21 Next slide.

22 There were some other studies, and these were
23 mentioned, the neurobehavioral study. And the HID concluded
24 chloroform showed no overall tendency to retard
25 neurobehavioral development of mouse pups. There were the

1 two in vitro studies, which are really of limited value for
2 your purposes. Next slide.

3 Shifting gears, male and female reproductive
4 toxicity. Epidemiologic studies provide no evidence of male
5 or -- no consistent evidence, I should say, of male or female
6 reproductive toxicity.

7 And by the way, I meant to mention earlier, and let
8 me do it now while I'm thinking about it. There is yet
9 another epidemiology study that just came out. It's a study
10 by Tolidano, et al., which was published on October 21st of
11 this year. It is on-line in "Environmental Health
12 Perspectives."

13 This was one that looked at THMs in drinking water
14 and low birth weight and stillbirths. And my understanding
15 is that there were no significant findings in this study.
16 Dr. Tardiff, who is here, has reviewed that study. And if
17 you would like additional details on that study, he's
18 prepared to review that with you.

19 But back to male and female reproductive toxicity, no
20 consistent effects in the epi studies. We have a negative
21 NTP continuous breeding study, and this is important. That's
22 the study where if you're going to see affects on the male
23 and female reproduction, it generally shows up in NTP
24 continuous breeding studies. This was a study done by
25 Dr. Bob Chapin at NTP. This is a guy who knows what he's

1 doing in the field of male and female reproductive
2 toxicology. Saw no effect attributable to chloroform.

3 We also have negative 90-day rat and seven-and-a-half
4 year dog studies. They looked at the pathology of
5 reproductive organs. Saw no effect due to chloroform.

6 So what it really comes down to is we have the
7 developmental toxicity studies in animals where there was
8 decrease in viability, which sometimes manifests itself as a
9 lower pregnancy rate. And we have already been through all
10 of the issues having to do with maternal toxicity and those
11 studies.

12 And when you think about the fact that you have all
13 these negative studies that were really designed to look
14 specifically at male and female reproductive toxicity, it is
15 not really convincing.

16 And then for males we have the mouse sperm morphology
17 study by Land, et al., which, in my opinion, is truly
18 inadequate. And I will go into detail on the next slide.

19 You heard that Land was actually published in '79 and
20 then again in '81.

21 In '79 it was an abstract only. So it is hard to get
22 the details of that study. But one of the things that leaps
23 out is that the mortality rate in that study was greater than
24 20 percent, which is not something you want to have in a
25 study assessing semen.

1 And the authors did not specifically report what the
2 mortality rates was, but from the materials and methods
3 section by their choice of dose levels, you can figure out
4 that the mortality rate had to be more than 20 percent,
5 otherwise they would not have chosen the second dose level
6 that they chose. There was no dose response for abnormal
7 morphology, no details of the statistical analysis.

8 Then in 1981 they republished the results of the
9 study with a key difference. What they did between '79 and
10 '81 is added five mice to the two chloroform-exposed groups,
11 the low and the high groups. But they did not add any mice
12 to the control group.

13 So if you look at the two papers and compare them
14 side by side, the controls are exactly the same for the two
15 studies, but the exposure group gets bumped up by five mice.
16 So there's really no concurrent control. The results are
17 very different between the first and the second study, even
18 though this was just an add-on to the original study.

19 And probably most important is remember the NTP
20 continuous breeding study, Chapin's group did a full semen
21 evaluation in that study. They did sperm count, motility,
22 morphology, saw no effects attributable to chloroform in
23 their semen evaluation.

24 So it would be very surprising if chloroform had an
25 affect on morphology of semen, and it doesn't show up in the

1 NTP continuous breeding study. So last slide.

2 So in conclusion, the epi data, at most, suggests an
3 inconsistent -- I'd say at most they suggest an association.
4 And that association is not consistent. It certainly does
5 not indicate a causal link.

6 The association is generally stronger for THMs than
7 it is for chloroform, and that's important to take into
8 consideration. In the animal studies, the effects seen in
9 animals studies are easily explained by maternal toxicity.
10 There were never any effects seen in any animal study that
11 were not associated with significant maternal toxicity.

12 And you also saw a slide earlier dealing with the
13 concordance of the evidence between the animal studies and
14 the human studies.

15 And I want to make just a couple of points on that.
16 One is to remind you that the concordance is only as good as
17 the underlying studies that form the basis there.

18 And in the case of the animal studies, saying that
19 these are effects without taking into account the degree of
20 maternal toxicity is inappropriate. And a table like that
21 doesn't really look at all of the evidence. It looks at what
22 effects were seen. It doesn't weigh what studies didn't see
23 an effect.

24 For example, I'm looking at the last slide in OEHHA's
25 presentation. If you look at the column for "male

1 reproductive effects," in the animal there was an increase in
2 sperm morphology. That's the Land study that we just
3 reviewed on the previous slide. So that's the basis for
4 that. Doesn't say, "Oh, by the way, the NTP study that
5 looked at semen and looked at semen morphology more recently
6 was negative."

7 And then the decrease in motile sperm that you see in
8 the "human" column, that was the Chang study, one person.
9 One person in a case study. So the concordance is only as
10 good as the underlying data.

11 But even if there were consistent suggestions, and I
12 don't think we do have consistent suggestions, that doesn't
13 translate to causation. So is this an issue we ought to keep
14 an eye on? Yes, I think it is. Is it clearly shown to
15 cause? I don't think so.

16 So in conclusion, chloroform has not been clearly
17 shown to cause reproductive toxicity, not developmental, not
18 male repro, not female repro. Thank you.

19 CHAIRPERSON BURK: Thank you, Jay. Does anyone have
20 any questions? Carl.

21 COMMITTEE MEMBER KEEN: Okay. Jay, as always, I kind
22 of enjoy your presentations. There is one thing I would like
23 to get clarified in my own mind, and perhaps also for the
24 record, though. As you were indicating, you made the
25 statement that in all the cases there was evidence of

1 maternal toxicity, rather severe maternal toxicity.

2 There seems to be one paper that stands out from
3 that, and it is by the illustrious Dr. Murray. As I read
4 your paper carefully, it seems as though there was only very
5 modest reductions in the maternal food intake and modest
6 reductions in body weight.

7 And the reason I have some pause here is, again, I
8 think in contrast to what you stated, in that paper there was
9 a significant increase in the incident of cleft palate. So
10 there is one paper where malformations are observed. Happens
11 to be your own paper.

12 If you could, perhaps, elaborate a little bit on
13 that, because it is the only one where I can see where there
14 is malformations outside of reductions in birth weight and
15 skeletal ossification abnormalities, which are consistent
16 with maternal toxicity. Cleft palate is not as consistent
17 with maternal toxicity, particularly when it seems to be kind
18 of mild. So I am a little confused on your statement.

19 DR. MURRAY: I'd be happy to address it, and I have
20 read the study. First, on the issue of maternal toxicity, I
21 have gone back and read it a couple of times recently. And
22 one of the difficulties in that study is that it doesn't read
23 -- it summarizes the food consumption data, but it doesn't
24 present that data.

25 And my suspicion, I know back in that era, we always

1 recorded food consumption and had tables on food consumption.
2 And my suspicion is that we submitted that as part of the
3 publication, and back in that era, that was -- if a paper was
4 too long, that was usually the first table that goes.

5 So all we really have is a description that I had on
6 food consumption. And I wish I had the numbers. I don't
7 have the numbers, and I sure don't remember the numbers to
8 this day.

9 One of the other limitations of my study is that
10 there was only one exposure level. So it is hard to evaluate
11 dose response and know what's going on.

12 But in the case of cleft palate, I have gone back and
13 looked at that. And the one thing that really leaped out at
14 me is remember there were three different groups exposed to
15 100 part per million in that study. And cleft palate was
16 increased in one of those three groups.

17 Now -- and it was the eight through -- gestation day
18 eight through 15 group. Now, the one group was gestation day
19 one through seven. Not surprising that you don't see an
20 increase in cleft palate on those days, because cleft palate
21 happens later.

22 But the other group that didn't see an effect was the
23 six through 15 group. So if chloroform exposure was causing
24 cleft palate, it should show up to the same degree in the six
25 through 15 group that it does in the eight through 15.

1 I have a theory, and it is just a hypothesis, is that
2 from now having had the benefit of reviewing lots of
3 chloroform studies that have been done after my study was
4 done, is the pattern seems to be one of the typical affects
5 of chloroform on the mother is this decrease in food
6 consumption. And it's particularly prominent on the first
7 few days of exposure.

8 If you give really high dose levels like Burn Schwetz
9 did in the rat study, they just stop eating. They never eat
10 through that exposure period, or they virtually stop eating.

11 From my description in the mouse study, I don't think
12 they -- I don't think they stopped eating through that whole
13 period, but I think it's likely that they stopped eating,
14 particularly on the first few days of exposure.

15 Okay. If you're starting exposure on gestation day
16 eight, guess what time that is? Think about how that is in
17 relationship to the time when you can induce cleft palate in
18 a mouse.

19 So it is the perfect time, if you are going to take
20 the food away, and we know food deprivation causes cleft
21 palate in mice.

22 On the other hand, the six through 15 group, my guess
23 what was going on is they stopped eating on day six through
24 seven, but by day eight and nine, they were getting pretty
25 hungry, and the food consumption started to pick up. That's

1 a guess. It is a hypothesis, but I think it is the most
2 likely explanation based on everything I know today.

3 COMMITTEE MEMBER JONES: Jay, I know you discounted
4 the epidemiological studies, and to a certain extent I think
5 that's probably appropriate, given the fact that there really
6 aren't studies that look specifically at chloroform
7 ingestion. I would just like to get one thing straight, and
8 it is for my edification.

9 DR. MURRAY: Dr. Jones, your microphone may not be
10 on.

11 COMMITTEE MEMBER JONES: Well, it is, but maybe I am
12 not close enough to it. You say on your fourth slide that
13 the enzyme responsible for chloroform metabolism is low or
14 absent in the fetus. And yet in this study by -- I am not
15 going to pronounce it right -- Infante-Rivard in 2004 that
16 was reported by OEDA, is stated that the growth deficiency in
17 newborns was effected significantly by the CYP2E1 variant.

18 This was a study that was looking at polymorphisms
19 and their affect on growth in human babies who were -- whose
20 mothers use -- drank various amounts of water that were
21 allegedly contaminated with chloroform.

22 Can you comment on what seems to me to be a disparity
23 between what you have said and what was stated in this paper?

24 DR. MURRAY: Rather than me take a stab at that, can
25 I pass to Dr. Tardiff, who is even more familiar with the

1 epidemiologic studies than I am?

2 DR. TARDIFF: Dr. Jones, we think that the -- we
3 don't have any empirical evidence for the following, but it
4 seems very reasonable to believe that the maternal metabolism
5 is really what is happening here. The maternal individuals
6 which have the deficiency in the CYP2E1 are the ones who also
7 produce lesser amounts of oxidated metabolites in the
8 process.

9 The others who are -- who have full complement of the
10 enzyme on the other hand do have it. The active agent, while
11 it's relatively short-lived, does get through the placenta.

12 So the fact that the developing fetus doesn't have an
13 active metabolic enzyme dealing with the conversion of
14 chloroform is overwhelmed by the fact that the mother is
15 generating those metabolites and could be having an
16 influence.

17 Now, that still remains to be demonstrated in more
18 sophisticated experiments that I understand are being
19 considered now at places like NIEHS.

20 COMMITTEE MEMBER JONES: So what you are suggesting
21 is that the fetus does not have this, despite the fact what
22 was stated in this paper, and that it is the maternal
23 polymorphism that's important?

24 DR. TARDIFF: That seems to be the case.

25 COMMITTEE MEMBER JONES: Okay. Thank you.

1 CHAIRPERSON BURK: Question from Linda.

2 COMMITTEE MEMBER ROBERTS: One comment first about
3 cleft palate. Mice, I don't know if this was an anaesthetic
4 level, 100 ppm, that was used in the studies or not, but
5 mice, when they go down, apparently it causes a lot of
6 stress, and they release a lot of corticosterone. And that's
7 been known to cause cleft palate, and that may be the
8 mechanism in this particular case for the cleft palate that
9 was from eight to 15.

10 Because you'd also assume it could be a feed issue,
11 but you also assume that after a few days of going through
12 this, they're getting used to the routine. They are less
13 stressed out by it.

14 And, actually, I don't put a whole lot of weight in
15 cleft palate in mice anyway. If you see it in another
16 species I do. And I say that having done my graduate
17 research in cleft palate in mice.

18 But I did have a question about the rabbits. And the
19 table is on page 46, the rabbits treated orally. Significant
20 effects were noted at 20 and 50, but not 35 milligrams per
21 kilogram per day.

22 But I also noticed that that had the smallest number
23 of live fetuses as well. And I wondered if that might not
24 have been a factor in why there isn't a finding on fetal
25 weight, given that they had two less pups in the litter.

1 DR. MURRAY: Dr. Roberts, you are talking about page
2 41, in the HID?

3 COMMITTEE MEMBER ROBERTS: It is my page 46, bottom
4 of the page, table 22. If I misspoke and said rats, I'm
5 sorry. I meant rabbits.

6 DR. MURRAY: You talking about at 50 or at 20? I see
7 it now.

8 COMMITTEE MEMBER ROBERTS: 50 and 20 both have
9 statistically significant differences, but the number of live
10 fetuses in the 35 group, which is negative for effects on
11 fetal weight, also has the smallest litter size, which can
12 have an impact on fetal weight.

13 DR. MURRAY: No, that's right. I see it, the highest
14 dose had a higher litter size. I don't know what role, if
15 any, litter size is playing in that study. But what I do
16 know is that when Dan Thompson did that study, it was before
17 that lab was doing statistics on the litter.

18 This was an analysis of fetuses, and it looks like
19 there might have been 12 litters in total there. So this
20 could be a -- could well be a false positive. I don't know
21 what these results would look like if this were a mean of
22 litter means.

23 But clearly what this is is a mean of individual
24 fetuses. So that's why I questioned whether that is a real
25 statistically significant effect.

1 COMMITTEE MEMBER SAMUELS: May I ask Dr. Campbell if
2 you can confirm that? Because in some cases I know you have
3 reanalyzed data, if it were available.

4 DR. CAMPBELL: No, we didn't reanalyze this. It is
5 hard to say how big of an affect litter size would have. You
6 would think it would have some. But without really doing a
7 specific analysis, can't partition it out.

8 DR. MURRAY: And part of the difficulty is we don't
9 have all the data that you would need to really reanalyze it.

10 DR. CAMPBELL: To do that.

11 COMMITTEE MEMBER SAMUELS: I ask because the title in
12 the document states it was a litter mean instead of an animal
13 mean.

14 DR. CAMPBELL: I did say that, didn't I?

15 COMMITTEE MEMBER SAMUELS: So I just want to clarify.

16 DR. MURRAY: Also, Dr. Jones, Dr. Tardiff just
17 informed me he had another comment in regard to
18 Infante-Rivard, if you are so inclined.

19 DR. TARDIFF: Sorry for the disconnect in that
20 regard. There was one other element to the analysis that you
21 need to keep in mind, and that is all of the trihalomethanes,
22 or at least three out of the four are metabolized through the
23 same pathway, the same oxidated pathway. The epidemiological
24 association was for total trihalomethanes and not for the
25 chloroform. And bromodichloromethane is known to be a

1 reproductive toxicant in some experiments.

2 So the expectation is that the same statement that's
3 made about the enzyme is correct, but it is probably another
4 surrogate of that group of compounds that may be responsible
5 if, in fact, we can show that the same association can be
6 repeated.

7 COMMITTEE MEMBER JONES: Okay. I appreciate that.
8 Again, just for my edification, are you still suggesting,
9 then, that the enzyme is not in the fetus?

10 DR. TARDIFF: That's my understanding, is that the
11 evidence indicates that there's little or no metabolic
12 specific activity for that particular enzyme.

13 COMMITTEE MEMBER JONES: Okay.

14 DR. TARDIFF: It is not unusual for many of the
15 enzymes --

16 COMMITTEE MEMBER JONES: I'm well aware of that.

17 DR. TARDIFF: -- that are dominant in the liver to
18 develop after birth.

19 DR. KAUFMAN: Dr. Jones, may I make a clarification
20 on the paper? For the maternal, the risk estimate in the
21 mothers with that specific polymorphism was elevated. When
22 looking at the newborns with that polymorphism, the estimate
23 was doubled that of the mother's, suggesting that there is,
24 indeed, an additional affect of -- in fetuses.

25 DR. DONALD: Sorry to jump around between topics so

1 much, but with regard to the Thompson, et al., rabbit study,
2 just to clarify for the record, the mean number of live
3 fetuses in the high-dose group of 50 milligrams per kilogram
4 per day group is 7.4 fetuses, and the mean fetal weight,
5 whether on an individual or litter basis, was 30.3 grams to
6 35 milligrams per kilogram per day.

7 The number of live fetuses was 4.5 on average
8 compared to 7.4 in the high group, and the fetal weight was
9 32.4 grams. So bearing in mind the well-established inverse
10 correlation between litter size and fetal weight, it may well
11 be that there's at least a possible explanation there for the
12 lack of significant affect on fetal weight at that dose
13 group.

14 COMMITTEE MEMBER ROBERTS: That was my concern, that
15 this might be a false negative in that particular case.
16 Although, I guess we have statistical questions about that,
17 too, that may linger.

18 CHAIRPERSON BURK: Any more questions of Dr. Murray?
19 Okay. Thank you. And we'll go to the next speaker, which it
20 looks like GG Ferbrick, Burbrick. And I'll let you say what
21 organization you're representing, because I can't read it.

22 MR. VERBRYCK: Hi, my name's George Verbryck, and I
23 am representing the Spa and Pool Chemical Manufacturers
24 Association.

25 We have a Dr. Susan Rice here who is going to make

1 our presentation. I am going to make a few introductory
2 remarks.

3 The Spa and Pool Chemical Manufacturers Association
4 is a trade association, and it is composed of manufacturers,
5 distributors of chemicals which you use in spas, pool, hot
6 tubs and what we call recreational water. I explain that as
7 being waterparks, waterslides, that sort of thing. Some
8 people don't know what that is.

9 Many of our companies also provide inorganic
10 hypochlorite solutions for water, wastewater and general
11 disinfection purposes.

12 Chlorine and chlorine-containing chemicals are the
13 water disinfectants of choice throughout the world as well as
14 in California. Most of our products are based on
15 chlorine-containing chemicals, the chlorinated isocyanurates,
16 inorganic hypochlorites, and brominated and chlorinated
17 hydantoins.

18 In California in the year 2002, according to the most
19 recent report for the Department of Pesticide Regulation, 147
20 million pounds of these products were sold which were
21 registered as pesticide products.

22 If we include chlorine gas as a chlorine-containing
23 compounds, the total number of pounds sold is well over 250
24 million.

25 While we have no firm data, we believe at least

1 another 250 million pounds of chlorine-containing chemicals
2 are sold for non-registered uses, such as bleaching and
3 cleaning.

4 Under use conditions, miniscule amounts of
5 trihalomethanes, including chloroform, may be generated as
6 by-products. Listing chloroform under Proposition 65 for
7 developmental and reproductive toxicity has tremendous
8 implications for public health and our industry.

9 Undermining public confidence in chlorine
10 disinfection is not in the public interest, unless, of
11 course, it can be demonstrated that chloroform causes
12 reproductive and developmental harm in humans.

13 Dr. Rice received her Ph.D. in 1976 with the
14 University of California, Davis, in comparative pharmacology
15 and toxicology. She was a professor at the Department of
16 Anesthesia, Stanford University School of Medicine with a
17 joint appointment for the Palo Alto VA Medical Center for a
18 total of 14 years, 11 as assistant and associate professor.
19 She's performed research related to the toxicity of the
20 anesthetic agents, primarily the volatile inhaled
21 halogenating anesthetics.

22 She received NIH and VA grants related to the
23 neurotoxicity effects of anesthetics. For 14 years she's
24 been involved in a large program investigating the
25 reproductive and developmental effects of these agents. She

1 has personally performed and overseen reproductive
2 developmental and non-developmental testing. She was
3 coauthor of several chapters on reproductive and
4 developmental toxicity of anesthetic agents, and several on
5 overall anesthetic toxicity, which included reproduction
6 development and metabolism.

7 She's been consulting since 1990 and been an
8 independent consultant since September of 1993. She assists
9 pharmaceutical companies with toxicology training, testing,
10 including design and interpretation of reproductive and
11 developmental studies. She's met with and presented to FDA
12 on behalf her clients.

13 Last month she gave a presentation titled
14 "Introduction to Developmental Neurotoxicity" at a combined
15 meeting of the Northern and Southern California chapters of
16 the Society of Toxicology.

17 Gary Shaw, the author of one of the papers included
18 in this draft HID, was also a presenter. She's a member of
19 the Teratology Society, and a member and past president of
20 the Neurobehavioral Teratology Society, and a member of
21 several other associations. She has a DABT. Without further
22 adieu, Dr. Rice.

23 DR. DENTON: Before you begin, Dr. Rice, I wanted to
24 tell the Committee that we did receive written comments from
25 Dr. Rice several days ago, and we did not have time to supply

1 them to you. So they are in your binder behind the last --
2 they are at the back of the binder.

3 DR. RICE: Good morning. I wanted to thank the
4 Committee very much for considering our comments. And at a
5 later date, I presume you'll have a chance to read my
6 complete report. I know several of the people on the
7 Committee, so it is wonderful to have a chance to talk to
8 you.

9 I planned for a longer presentation, but the
10 presentation that Dr. Murray has given and that from OEHHA
11 has obviated the need for me to go through all of the studies
12 that I had initially planned to talk about.

13 So what I would like to do is to hit some high
14 points, comment on a few specific studies, and give you an
15 idea of the summary for the comments that are provided in the
16 report. If I could have the first slide, please.

17 Just to remind everyone, although I know all of you
18 are very well aware of this, the criteria for evaluation of
19 causal association with epidemiological studies is related to
20 the strength of association dose response, specificity of
21 association, appropriate temporal association, consistency
22 across multiple species, biologic plausibility and coherence
23 of the evidence. Next slide, please.

24 As far as epidemiological studies that were presented
25 in the draft HID, I would say the strength and direction of

1 associations of chloroform in DART outcomes are not
2 consistent across studies. A dose response for chloroform is
3 not present in the majority of studies. Where it is, it is
4 very weak. Misclassification of subjects in exposure
5 categories is a major weakness of the majority of the
6 studies. Next slide, please.

7 And the types of misclassification could result from
8 chloroform concentrations in the home drinking water that
9 were not measured directly in the majority of studies, and
10 that exposure categories were used, such as the water source,
11 differentiating ground and -- ground and water -- ground and
12 surface water. Other exposure categories were concentration
13 at the distribution source and not necessarily for the area
14 in which the woman resided.

15 And at best, the metric was created that measured
16 concentration times reported home tap water consumption.

17 We do not have contributions from other sources
18 evaluated in the majority of these studies. Next slide,
19 please.

20 Additionally, there is great possibility for
21 misclassification of gestational weight, which would
22 significantly affect weight-related outcomes, and I'll have a
23 specific comment in regard to one of the studies later on.

24 Confounding factors were not consistently controlled
25 across the studies. And we have already talked about the

1 total trihalomethanes as not being an appropriate surrogate
2 for chloroform.

3 And then finally, there's no causal link stated by
4 the U.S. EPA, which Jay presented earlier. Next slide,
5 please.

6 Actually, if you could go back one. I think this
7 time it would be a good idea for me to make comments on the
8 few studies that came into question or weren't covered well.

9 And the first one, I'm on page 2 of the OEHHA summary
10 of human studies, for the Kramer study, there was an
11 inconsistency in evaluation of the intrauterine growth
12 retardation, in that when the authors looked at the
13 associations with water source, that is surface water or
14 groundwater, but they did not find an association for surface
15 water, but they did for the groundwater, and this would be
16 wells of varying depths.

17 With a surface water, in general, we would expect
18 much higher levels of chlorination than we would at the
19 groundwater sources.

20 So this in my mind is an inconsistency, and there
21 were no measurements of the two sources to give us any
22 direction.

23 For the King study, a number of other trihalomethanes
24 were examined, and BDCM was shown by the authors to be a
25 stronger, independent predictor of risk than was chloroform.

1 In the Dodd study, which is on the next page, 2004,
2 the mid-dose group was not statistically significant.
3 Although, we did see associations with the lower-dose group
4 and the higher-dose group.

5 And with the Wright study, the authors themselves
6 commented that the order of magnitude for lower birth weight
7 and small for gestational age was less by an order of
8 magnitude than one would expect to see with confounding by
9 smoking.

10 They also said that there was a great likelihood of
11 errors in the estimation of gestational age, which would
12 obviate a number of their findings.

13 So the authors themselves did not think that their
14 findings were very strong. Now, if I could go on to the next
15 slide.

16 As far as the animal studies, and, again, these were
17 very well summarized, the majority showed no affects of
18 chloroform on development or reproduction. When there was a
19 dose-dependent relationship, the results were not consistent.
20 I need to back up here.

21 When statistically significant effects were seen in
22 these studies, in general, there was not a dose response.
23 There was also a lack of consistency among the outcomes
24 between the studies. Maternal toxicity, as Dr. Murray
25 explained, can take care of most of these toxic effects. May

1 I have the next slide, please.

2 I think we need to keep in mind, of course, that the
3 pilot and range finding studies are inappropriate for our
4 evaluation. Abstracts, as Dr. Murray mentioned, are
5 inappropriate also. And as OEHHA said, the in vitro studies
6 are essentially irrelevant. And I'd like to point out that
7 those studies were conducted at chloroform concentrations
8 that would be lethal to humans. And the next slide, please.

9 What I would like to concentrate on is the effects on
10 sperm. When I was reviewing material last night, I was
11 trying to think of what sorts of things might stand out to
12 the Committee and what sorts of things I identified myself
13 when I was first reviewing the comments.

14 And so I'd like to start with the occupational case
15 report study by Chang. First of all, this was a laboratory
16 worker who was exposed in the laboratory to concentrations of
17 chloroform as high as 450 parts per million. And this was
18 due to a lack of ventilation system.

19 And this concentration may have been this high for as
20 long as two hours a day, 5.5 days per week for up to about
21 eight months. He appeared in the clinic with the problem
22 that his wife was unable to conceive.

23 When they explored his background, they found that a
24 year prior he had had some reproductive tests, and they were
25 deemed to be normal. No data was given in this particular

1 study for that.

2 When they did present the data, they showed that
3 there was reduced sperm motility. Morphology was not
4 evaluated, and that as his time away from the exposure
5 increased, the motility also increased.

6 Now, the problem with this -- one of the problems
7 with this study is that this worker was also exposed to
8 multiple other solvents. None of them were identified as
9 having other developmental effects, but I don't believe they
10 have been studied either. If I could have the next slide.

11 Now, I would like to compare this to the effects on
12 sperm that were seen in the Land study. And one of the
13 reasons it stood out for me is the potential highest exposure
14 level seen in the Chang study was approximately the same as
15 seen or used by Land.

16 Now, in 1979 Land first presented this information at
17 a meeting of the Society of Anesthesiology. I was one of the
18 members of the audience at that time. And the reason that
19 there are differences between this study and the 1979 study,
20 at least the abstract, are because a number of us in the
21 audience objected to the study design, including the number
22 of animals, the high mortality and such.

23 Now, when it was published in 1981, since I was not
24 one of the reviewers of the article, I have no idea if he
25 just added additional animals to the groups, which it looks

1 like very much, as Dr. Murray talked about, or if he did
2 completely different studies. From reading the methodology,
3 it does not appear as if he conducted a completely new study.
4 So I would say that these are add-ons.

5 With the add-on animals, he had a 10-percent
6 mortality in each of the exposure groups, and they were
7 exposed for four hours per day, five days per week in early
8 spermatogenesis.

9 Now, these concentrations are very high for the mice,
10 and you would expect some toxicity as he saw.

11 Now, when we come to the results, he saw in his
12 control population about 1.4 percent abnormal sperm. This is
13 a number that has been seen by a number of other authors
14 working in the area for mice: At 400 parts per million, the
15 percentage increased, and it increased again at 3. -- at 800
16 parts per million to about two and a half times what we saw
17 in the control group.

18 Well, my question to myself, and I'm sure you're
19 asking the same thing, is: What is the relevance to
20 fertility for these findings in mice? The next slide,
21 please.

22 Well, if we review again the male reproduction
23 studies, the NTP continuous breeding protocol study saw
24 essentially no effects, normal sperm motility, normal sperm
25 density, and percent abnormal sperm were essentially normal.

1 Next slide, please.

2 And in the U.S. EPA study of rats, the 90-day
3 subacute toxicity study, essentially there were no affects,
4 also. Next slide.

5 Heywood is one more study, and this was in the Beagle
6 dogs for 7.5 years, and essentially there was no affect on
7 the testes or prostate. May I have the next slide.

8 So, now, if we get back to humans, this is something
9 I looked up myself last night, because I was -- I was
10 concerned, well, what does an increase of 1 or 2 percent
11 abnormal sperm in mice mean to humans?

12 Well, generally for humans, if we look at morphology,
13 greater than 12 percent normal sperm morphology could be
14 predictive of fertility. And this is far, far below what we
15 saw in the animal study.

16 So a normal -- a normal sperm morphology could be as
17 -- as much as 50 percent abnormal or 50 percent decreased in
18 motility, and you would still be able to conceive. Could I
19 have the next slide, please.

20 In conclusion, I'd like to say that there is no
21 evidence for developmental effects, either male or female
22 reproductive effects. The epidemiological studies show no
23 causal association. The case report is uncontrolled. And
24 for the animal studies, the developmental and reproductive
25 toxicity is only seen in the presence of chloroform that

1 causes significant maternal and paternal toxicity.

2 Excuse me. I had a note here written to myself I
3 can't find. Just a comment on the Schwetz study. Dr. Murray
4 covered this quite well, but just to point out again, this
5 was not -- this was on page 3 of the OEHHA summary. It is
6 not a pair fed. The starved control was not pair fed
7 controlled. So it cannot be considered to really control for
8 the stress effects that were -- that were seen.

9 As far as Murray's study goes, I think it needs to be
10 taken into consideration, as Dr. Roberts pointed out, that
11 these halogenated agents do cause significant stress to the
12 animals, especially at the beginning of studies.

13 Myself conducting many studies of the anesthetics
14 agents, the halogenated anesthetic agents, we would see
15 significant stress, which I would relate as the animals being
16 extremely active, running around the cage for extended
17 periods of time during the first several days of exposure.

18 And we do know that maternal stress can significantly
19 increase the incidence of cleft palate, especially in mice.
20 And mice is the one tricky species here, because they have
21 cleft palates at a very high rate anyway.

22 And the next. I don't know if I have another one.
23 Okay. In conclusion, I believe that there is insufficient
24 evidence to classify chloroform as a developmental or
25 reproductive toxicant.

1 And I will leave it there and ask if the Committee
2 has any questions.

3 CHAIRPERSON BURK: Anybody have any questions for
4 Dr. Rice? No.

5 COMMITTEE MEMBER SAMUELS: I'll ask.

6 CHAIRPERSON BURK: Okay. Steve.

7 COMMITTEE MEMBER SAMUELS: Dr. Rice, the difference
8 between the Thompson -- one important difference between the
9 Thompson and Land studies is that in the Land study the route
10 of administration was by inhalation, and in the Thompson
11 study by oral administration.

12 And I think as it turns out, it was for the single
13 man who was exposed in the laboratory. The evidence seems to
14 show, by and large, that inhalation is a more sensitive
15 route, given all the other problems that are involved. Do
16 you think that might be a reason for the difference between
17 the findings?

18 DR. RICE: I don't believe so in this particular
19 case. When I first looked at the Land study, and I haven't
20 looked at it in 20 years, I was thinking, well, you know,
21 this looks very suspicious, if you can put it that way.

22 But as I reviewed the paper more carefully and went
23 through the methods and remembered this present -- the
24 initial presentation anyway. And I did know Dr. Land. I
25 haven't had contact with him for years, but it was definitely

1 my feeling that this was not a very good study overall. And
2 the main -- even if this were to be a valid effect, a 1- or
3 2-percent increase is an extremely small increase. And you
4 would really have to push it much higher, I would believe, to
5 get anything more.

6 Now, remember, even at this increase of 1 or 2
7 percent, they had a lot of deaths, or at least 10 percent,
8 one per group of ten animals in both studies.

9 So I don't know how much toxicity is playing a role
10 here. Poor study design certainly is a role in that the
11 controls weren't necessarily concurrent and the exposures
12 were done at different times.

13 So I personally do not have any confidence in the
14 study. Does that answer your question?

15 COMMITTEE MEMBER SAMUELS: It does. I have one more
16 comment. Again, the study may not be well conducted and the
17 circumstances of the publication and the addition of one
18 group are certainly of concern, but my impression is that
19 animal sperm, rat and mouse sperm, show very little variation
20 compared to human sperm. So that the doubling at least of
21 the percent abnormal might be of concern, simply because they
22 are not as variable as you have shown in the presentation of
23 the human figures. So I am not --

24 DR. RICE: I understand where you're going. I had
25 misunderstood. Yes, the mice and rats are not all that

1 variable, especially when you're looking at these F1 breeds.

2 So from there I agree with you, that if there is an
3 increase, there is one. But I am not 100 percent sure that
4 it is valid in this study.

5 COMMITTEE MEMBER SAMUELS: Thank you.

6 COMMITTEE MEMBER ROBERTS: I have a question, if you
7 don't mind. I was just going back trying to compare the
8 Chapin NTP study and the Land study in terms of how long they
9 were exposed. And in the Chapin it was 98 days, and in the
10 Land it was five days with 28-day postexposure, if I am
11 reading correctly. Would they be getting spermatozoa in that
12 study?

13 It would not be exposing the whole period of the
14 spermatogenic cycle in that study. I do wish we had Marian
15 Miller.

16 The last one was that I wish we had Marian Miller at
17 this point. But I was noting that there was a difference in
18 the duration of the exposure period in the Chapin and Land
19 study. One was for 98 days, and the other was for five days
20 with a 28-day period, and that didn't happen the entire
21 spermatogenic cycle.

22 DR. RICE: I don't think anyone could hear the last
23 part of your comment. Would you like to repeat it?

24 COMMITTEE MEMBER ROBERTS: Not really, but I will. I
25 was noting that there was a difference in the exposure

1 duration for the two studies. Chapin looked like it was 98
2 days, or perhaps 98 plus seven pre-pairing. Land's was five
3 days with a 28-day post dosing period before they took the
4 samples. Marlissa; is that correct?

5 DR. GOLUB: Yeah, I think so.

6 DR. RICE: Yeah, it is correct.

7 DR. ALEXEEFF: Dr. Roberts, we have with us Dr. Ling
8 Hong, who is on our staff, and his expertise is specifically
9 in this area of male fertility. So I think he can add a
10 little bit of light on this maybe.

11 DR. LI: I am Ling Hong Li, staff toxicologist at
12 RCHAS, and Marlissa review the study I helped her on this
13 study of the male repro issues.

14 Regarding the Land, et al., study, the animals were
15 exposed for five days, and waited for 28 days. If you put
16 those duration and compare that to the spermatogenicity in
17 mice, I think what Dr. Rice is pointing out, that the
18 exposure had been in the earliest spermatogenicity, which
19 means the early packaging genes from spermatocides are
20 neither packaging spermatocides.

21 So in theory, in theory, it could cause abnormal
22 sperm in the epididymus, but probably not by genetically
23 effect. Because if you think about the spermatocides, they
24 going through spermatogenicity, shedding all the cytoplasm
25 and the only maintenance they had. And the Land, et al.,

1 study only observed the abnormal sperm in the head, not in
2 the tail, not in the middle piece of the sperm.

3 I mean, it is a bizarre study to not observe the
4 motility, to not attempt to evaluate the motility.

5 If that study had looked at the motility, then you
6 can have the direct comparison of that study to the human
7 study.

8 I also want to point out in the human, the
9 morphology, the sperm morphology has a lot, a lot of
10 variation. And Dr. Rice pointing out lower than 10 percent,
11 15 percent. Most of the time it depends on which criteria
12 you use.

13 If you go to the WHO manual, it will say 50 percent,
14 and higher and lower. Some people will use straight
15 criteria, like, say, 10 percent of the human sperm are normal
16 in a normal healthy man.

17 So a variation in human might be biologically
18 significant as largely as we see in here, but the difference
19 in sperm motility in mice or rats, most the time, I think it
20 is generally established that it is a biologically
21 significant. That's all I have.

22 COMMITTEE MEMBER ROBERTS: Thank you.

23 CHAIRPERSON BURK: Farla, did you want to make a
24 comment?

25 DR. KAUFMAN: Yes, I just wanted to address one of

1 the comments that Dr. Rice made, and also was one of the
2 comments in the comments submitted by Dr. Murray. So I
3 wanted to clarify that. It pertains to the Kramer, et al.,
4 study and the issue of surface water and not seeing an effect
5 when they looked at surface water.

6 Well, the authors looked at -- stratified the wells
7 or the source of water because they wanted to look at the
8 issues of pesticides or herbicides, other contaminants, to
9 see if that was a confounding factor.

10 And so when they did that, they had to -- what
11 happened was they didn't find any non-detectable levels for
12 the sources in surface water. So as you can see, there's
13 zeros there.

14 So in the comparison, they had to make a comparison
15 between the greater or equal than to ten micrograms per liter
16 versus the one to nine micrograms per liter. And the numbers
17 are very, very small.

18 They did see an increase in risk of 1.5, but as you
19 can see, the confidence intervals are very wide. The
20 estimate is unstable. But it wasn't because they were
21 looking at chlorination. It was more to look at the
22 pesticide issue. And they saw that there was no difference
23 between the shallow and deep wells, and so they were pretty
24 confident there was no confounding by contaminants.

25 DR. RICE: If I may make a comment. I did misspeak

1 when I had mentioned and said that the groundwater was
2 significant, significantly different.

3 I think the comment I did make still stands from this
4 -- from this point, that one would expect the level of
5 chloroform in the surface sources to be much greater. We
6 just have greater than or equal to ten, and it is across all
7 of them. But we would expect the surface sources to be much
8 higher than we would expect for the shallow wells and the
9 deep wells.

10 Again, I don't have any information. The numbers are
11 small, but I don't think the conclusions in this study are
12 really supported.

13 CHAIRPERSON BURK: Carl has a question.

14 COMMITTEE MEMBER KEEN: Excuse me. It is more of an
15 observation or a comment. Clearly our role here is to assess
16 whether or not different agents pose reproductive risks.
17 Part of that we evaluate the quality of papers as they're
18 reported. We try to infer whether or not maternal toxicity
19 could be complicated in the data, etcetera.

20 I just feel that, perhaps, and I am sure it was
21 unintentional, but we should not be in the position of
22 impugning a researcher who is not here to defend themselves.
23 A number of kind of statements were made about Dr. Land that,
24 frankly, may be inappropriate or incorrect to make an
25 assumption that an individual did something and then that

1 assumption almost became fact.

2 I personally am comfortable with -- and I am sure
3 that's not what was intended, but I think we should stick to
4 an evaluation of the data which is actually presented in the
5 papers and not begin to draw other information that would
6 really cast doubt on it.

7 If it turns out that either we revisit this
8 particular agent again in the past, it would be useful,
9 certainly to me, if OEHHA could follow up with Dr. Land, if
10 Dr. Land is still available to ask questions to, and solve
11 the issue.

12 I am not disturbed by the data which were presented,
13 but we spent an awful lot of time talking about how it was
14 received at a meeting 20-plus years ago, and also making
15 certain assumptions about how subsequent experiments were
16 done. I think that probably is not appropriate or fair to
17 that individual.

18 DR. RICE: If I may say, there was no intention to
19 disregard Dr. Land's work or to impugn his integrity. There
20 are just questions that I have about the experimental design.

21 CHAIRPERSON BURK: Are there any other questions of
22 Dr. Rice? I have one more card. Thank you. Thank you very
23 much. Donald C. Burns, do you still wish to speak? No.
24 Okay. You were part of the last group.

25 All right. So that is it for the public comments. I

1 guess we'll begin our discussion. Does anyone have a
2 preference for how they'd like to begin or how to organize
3 our discussion? Steve.

4 COMMITTEE MEMBER SAMUELS: I'd like to begin. The
5 epidemiology studies came first in the Committee document. I
6 would like to ask in the future that the staff presentations,
7 as far as they can, follow the order in the document.
8 Because we have made notes to ourselves, and it is hard to
9 track our notes.

10 First of all, I have -- I think the epidemiological
11 evidence is stronger than some of our commenters have
12 indicated, and perhaps even than the investigators themselves
13 concluded. And I reviewed the Wennborg documents, in which
14 the laboratory workers were asked retrospectively about their
15 exposures to specific agents.

16 And the assumption is, though, there was no
17 validation, that they would know what they had worked with,
18 and chloroform was a specific agent named.

19 And the odds ratio in that study for the relation
20 between spontaneous abortion with chloroform was 2.3 with a
21 confidence interval 0.9 to 2.5, not significant at a
22 two-sided level. I didn't do the calculation to see whether
23 a one-sided test would be significant, but it would be close.

24 And this -- I have two comments on this study. First
25 of all, I believe that the investigators improperly included

1 prior miscarriage as a risk factor in the model. And there
2 were only a few miscarriages, prior miscarriages, but the
3 effect of including an outcome, prior outcome in the model,
4 is that if that outcome were itself affected by the
5 occupation, that you're asking, then, whether there is
6 additional association of occupation in the current pregnancy
7 after an outcome is in the model which in part may have been
8 caused by that occupation.

9 So it is an over control, and I would judge that
10 omitting prior miscarriages as a covariant in the model might
11 have increased the odds ratio to the point of clear
12 statistical significance. And, of course, that's
13 speculation.

14 The second thing I want to point out is if you read
15 the paper carefully, in their assessment of the association,
16 though their table says that they adjusted for maternal age
17 and prior miscarriages, in fact, they apparently included all
18 exposures simultaneously in their multiple logistic
19 regression model.

20 So, again, given potential for misclassification,
21 nonetheless, this is, the association, the odds ratio of 2.3,
22 was in the present and adjusting for the other exposures
23 which were ascertained and present in the model.

24 So my conclusion is that that is certainly a stronger
25 finding and might have been even stronger if analyzed

1 differently.

2 To balance that out, there were multiple pregnancies
3 per woman in the analysis, and the author stated that if they
4 had presented the standard errors appropriate to the analysis
5 of possible correlation between pregnancies, the confidence
6 intervals would have been slightly wider.

7 So it is, in a way, a frustrating paper to read
8 because it is so tantalizing. And I wish they had come to us
9 first before they published the data.

10 The second paper I'd like to discuss is the paper by
11 Jay Michael Wright. That is a paper that examined mean birth
12 weight as a continuous variable and small for gestational
13 age.

14 And the figures in that paper were reproduced in the
15 draft document provided to the Committee, and they certainly
16 do provide with -- I think, five or six groups, I don't
17 recall. They certainly do provide evidence of a clear dose
18 response for both outcomes, both mean birth weight and small
19 for gestational age. And these were among term births.

20 Now, Dr. Murray in his comment stated that, yes,
21 there was a statistically significant response in mean birth
22 weight, but the difference in average birth weights between
23 the lowest and highest groups was about 18 grams. And that
24 is a very, very small difference, and probably due, in my
25 opinion, to the very large sample size.

1 So we are left with a kind of dilemma that this may
2 well be a real difference, but it may, in fact, be a trivial
3 difference.

4 The odds ratio -- the maximum odds ratio for small
5 for gestational age in these term infants was 1.1, and
6 Dr. Murray commented that this is a small and transient
7 effect, and certainly it is an effect that is not as
8 persistent as would be small for gestational age in pre-term
9 infants, but I do believe there is literature which supports
10 that there is, indeed, a detriment to being very small in a
11 term birth.

12 So I disagree with Dr. Murray, but it is certainly
13 not as severe a predictor of any kind of morbidity. And that
14 was also a clear dose response, and, again, a fairly strong
15 one.

16 The third study that I'd like to comment on is the
17 study by Infante-Rivard in Montreal, and that is a study in
18 which the gene polymorphisms were studied. And the comment
19 was made that the odds ratio for trihalomethanes, which was
20 the only statistically significant finding in the presence of
21 the gene polymorphism, was 13, which was higher than the odds
22 ratio for chloroform, which was only 5.62 and did not include
23 the -- did not include one, I believe, in the confidence
24 interval. Somebody can correct me, because I didn't have
25 that figure.

1 First of all, I believe, in fact, that the chloroform
2 finding is stronger than the trihalomethane finding. The
3 confidence intervals width for the trihalomethanes, the total
4 trihalomethanes is, in fact, so wide that it's -- the
5 confidence interval for chloroform is much narrower if we
6 take this on a log scale or a ratio scale.

7 So I actually think it is more precise in that sense.
8 If you notice, the upper limit for the trihalomethane finding
9 is very, very high. Just a very wide and imprecise estimate.

10 I think, however, that a more serious problem, and
11 one which leads me to believe that this is a stronger
12 finding, again, than published, is that there were only 45
13 cases and 37 controls with the CYP2E1 variant. And
14 Dr. Infante-Rivard used the 90th percentile for chloroform as
15 her definition of high exposure, and compared these to --
16 compared risk in that upper 10 percent to the bottom 90
17 percent.

18 This was a very conservative approach in general.
19 Many times you see in epidemiology people comparing upper
20 quintiles to lower quintiles. And clearly there's
21 misclassification when you have a cut point exactly at the
22 same point. But more seriously, this effectively meant that
23 only 10 percent of her subjects could be considered exposed.

24 And when she defined -- tried to use the 95th
25 percentile, her estimates broke down and were clearly non-

1 significant.

2 But if you consider the subgroup of subjects with a
3 variant of CYP2E1, that means that, perhaps, only four or
4 five would have been considered exposed in -- among the cases
5 in the controls, so that sample size became a serious
6 problem, in my estimation.

7 And, again, the finding near significance with that
8 small a subgroup to me is evidence of an actual clear affect.
9 That if she had loosened her definition of exposure, again,
10 this is speculation, might have become clearly significant.
11 So I actually judge this as a positive effect.

12 So my summation is that while there are certainly
13 weaknesses in the epidemiology studies, and I think that they
14 have been pointed out, that these three studies at least do
15 present reasons for thinking that there are effects in
16 humans, including one with a dose response, but they are, of
17 course -- and it is going to be up to us to judge whether
18 they are persuasive in light of all the other evidence.

19 It is rare that we have so many human studies to
20 investigate. So I was -- and I was kind of happy to see that
21 not all of them were at least bad or irrelevant.

22 I have one other question, but I am going to leave it
23 to my other Committee members to comment, which is that we
24 have been repeatedly -- it has been repeatedly told to us
25 that in the presence of maternal toxicity, and I have had a

1 conversation with Dr. Burk about this, that we can't find any
2 evidence for reproductive harm.

3 But I am wondering if Dr. Jones may have a comment.
4 But I can certainly think of drugs, alcohol, and there may
5 some other teratogens which may have known toxicity in the
6 primary recipient, but certainly the additional harm in the
7 fetus would not be considered due to maternal toxicity. So I
8 don't really buy the complete requirement that there has to
9 be an absence of maternal toxicity to find reproductive harm.

10 COMMITTEE MEMBER JONES: I was just going to say
11 certainly not in humans, there's no question it's true. I
12 would agree with you.

13 CHAIRPERSON BURK: You would agree that --

14 COMMITTEE MEMBER JONES: You don't have to have
15 maternal toxicity in human in order to show.

16 CHAIRPERSON BURK: But I think you were kind of going
17 the other direction.

18 COMMITTEE MEMBER SAMUELS: You don't have to have the
19 absence of maternal toxicity, is my point.

20 COMMITTEE MEMBER JONES: No, I agree.

21 CHAIRPERSON BURK: Do any Committee members have any
22 more comments about the epidemiology? I think Steve did a
23 lovely job giving us his perspective. Shall we move -- what
24 about you, Ken.

25 We can move on to the animal discussion.

1 COMMITTEE MEMBER JONES: Maybe I would like to. I
2 don't understand quite the significance of these disinfection
3 by-products studies. Can somebody comment? That's what the
4 Wright study apparently was, it was a disinfection by-product
5 study, and I don't quite know what that means. Can anybody?

6 CHAIRPERSON BURK: Do you want to give the
7 definition, Farla?

8 DR. KAUFMAN: Disinfection by-products encompass all
9 of the products produced by chlorination. The
10 trihalomethanes are a major class of those disinfection
11 by-products, but there are certainly other things.
12 Haloacetic acids are included in numerous -- I think there's
13 a table in the beginning of the HID that shows all of the
14 products.

15 But because of the predominance of the
16 trihalomethanes and because of the predominance of the
17 chloroform within those trihalomethanes, a lot of the studies
18 are now focusing on the chloroform.

19 COMMITTEE MEMBER JONES: Yeah, so aren't you
20 concerned that we are a long way off from the chloroform when
21 we are talking about disinfection by-products?

22 COMMITTEE MEMBER SAMUELS: Well, I am discounting --
23 my comments were only about the findings of chloroform and
24 the fact that there was a THM, or trihalomethane, finding.
25 As I say, I think that unlike some of the studies where

1 certainly chloroform had a weaker affect than other of the
2 chemicals studied in this case, it seemed to me to actually
3 have sort of paradoxically a stronger, at least a more
4 precisely determined effect.

5 So we are not regulating all the other chemicals. So
6 only those studies which specifically studied chloroform, I
7 think, are relevant. It is tempting to say, "Well,
8 chloroform was the majority of the trihalomethanes,
9 therefore, we should use the trihalomethanes." But I don't
10 agree, and I think that was properly -- the distinction was
11 properly made in the document.

12 CHAIRPERSON BURK: I certainly support that we are
13 just looking at chloroform. I am just kind of curious in the
14 database and so forth when this was prioritized, was there
15 ever a thought to look at a group of, you know, look at
16 disinfection by-products? Or what about the other one, like,
17 say, bromodichloromethane, is that in the pile somewhere.

18 DR. DONALD: There was some consideration to looking
19 at trihalomethanes or disinfection by-products in general,
20 but it was decided that since chloroform had been
21 specifically identified in the prioritization process, that
22 it was more appropriate to look at chloroform at this time.
23 That doesn't preclude looking at other trihalomethanes in the
24 future.

25 CHAIRPERSON BURK: Dr. Jay Murray would like to make

1 a comment.

2 DR. MURRAY: Can I just respond to the question that
3 was just asked about kind of definition of disinfection
4 by-products? Probably most of you know this, but just in
5 case, the disinfection by-products are chemicals that are
6 formed as a result of water treatment. In the case of
7 chloroform, as a result of chlorination of water.

8 And it is a combination of two things: It's the
9 method of chlorination, or disinfection, that determines the
10 by-products; and the presence of organic material in water.

11 So it is not as simple as, you know, when you
12 chlorinate the water you get, you know, certain levels of
13 disinfection by-products. It is also a function of what the
14 organic material is, dirt, if you will, in the water.

15 And when you chlorinate and get chloroform as a
16 disinfection by-product, you also get dozens of other
17 disinfection by-products. Some are more toxic than
18 chloroform. Some are less toxic than chloroform, but they go
19 hand in hand.

20 When drinking water has more chloroform than other
21 drinking water, that same drinking water that has more
22 chloroform is likely to have more of the dozens of other
23 chlorination disinfecting by-products.

24 So even if you have a study that is looking at
25 chloroform and looking at the relative risk of chloroform, in

1 the real world, you have all those other disinfection
2 by-products there.

3 So that if you see an association where you see an
4 elevated risk, it could be because chloroform's there, but it
5 could be because any of the other disinfection by-products
6 are there. That when one goes up, the others go up.

7 CHAIRPERSON BURK: Thank you for that clarification.
8 Does that answer your question, Ken?

9 COMMITTEE MEMBER JONES: Yeah, I think so.

10 CHAIRPERSON BURK: Are we clear that we are trying to
11 look specifically at chloroform? So some of the studies, you
12 know, lab workers, that's one way of looking at it. Products
13 of water chlorination's another way, and then we have animal
14 studies where it is a little more controlled as to what the
15 actual exposure is.

16 Are there any more comments about the epidemiological
17 studies? I am not anxious. Shall we move on to the animal
18 studies? Anybody that wants to start, feel free. Okay.
19 Carl.

20 COMMITTEE MEMBER KEEN: I'll start. It is not a
21 question on the epi -- I will point out that I find the
22 epidemiology papers that we reviewed provocative, though I
23 don't find them definitive.

24 When I come to the experimental animal studies, I am
25 impressed by the fact that severe maternal toxicity is a

1 complication of most. And I agree with Steve's point that
2 clearly in some cases you can have an agent that causes
3 maternal toxicity, that independent of that, still has a
4 direct embryo or fetal toxic effect. I think that was your
5 point. There's no question about that.

6 I am impressed with the exception of one paper that
7 we reviewed, and that's the Murray paper, the level of embryo
8 -- level of maternal toxicity in the rat, mouse, rabbit is so
9 severe, that by definition, it will give mills to the effect,
10 in fact, all of the effects that were reported. From my -- I
11 do a lot of work in maternal toxicity. And looking across
12 the board, if anything, I was impressed by how few problems
13 that we saw. I would have anticipated more.

14 So the only paper that I can even remotely pull out
15 of this where I did not find maternal toxicity to be an
16 overriding concern was one, and I -- I find that less than
17 definitive. Because I think that it was done prior to a time
18 point where we have good day-to-day variation on food intake.

19 I concur that if it turns out that there was an
20 abrupt effect on food intake, which seems to be typical of
21 this particular agent, on that day, cleft palate is exactly
22 what you predict that you would get and about that frequency.

23 So my read of the -- of what we were presented is
24 that it seems to be secondary phenomena that we are looking
25 at rather than direct embryo or fetal toxic effects.

1 CHAIRPERSON BURK: Okay. Anybody? Linda, do you
2 have any comments? We are talking, I guess, right at the
3 moment about developmental tox animal studies.

4 COMMITTEE MEMBER ROBERTS: No, I don't have any -- I
5 don't have any disagreement with that. I was flipping back
6 just to take a look at what the rabbit toxicity was in the
7 does.

8 CHAIRPERSON BURK: I am still personally sort of in a
9 quandary, because I do think -- I do agree that the
10 epidemiology studies are provocative, and I would like to see
11 something solid in the animals that we could really, you
12 know, I guess hang our hats on and really sharpen it up.

13 I'd like to talk about the male reproductive
14 toxicity. I still -- speaking for myself now, I still find
15 the Chang case study very -- and I don't want to use the word
16 "suspicious," but actually, I thought it was really
17 effective. However, it is only one case study, and that's
18 not the way to make a decision.

19 So then we come to the issue of whether the Land
20 study supports it. And, I mean, I do see there is some
21 concurrence there in that sense. So this is the one area
22 where I'm really in a quandary.

23 I do, of course -- NTP studies that are done in
24 looking at fertility always get heavier weight with me just
25 because they are designed for regulatory purposes, I think,

1 at least in my opinion. They are the kind of things we want
2 to look at. But I can't lose sight of the sperm effects.

3 I too wish that Marian was here, and I want to know
4 how you all feel. Do you feel comfortable making a decision
5 on the male situation? Would it be something you'd want to
6 defer until the Committee got larger? It is just an option
7 that we have, so I guess I am just asking everyone what they
8 think.

9 COMMITTEE MEMBER JONES: I would just like to comment
10 on the Chang case report for a second, because I must tell
11 you, I was struck by the fact that six months prior he'd had
12 a study to determine why there was a problem with
13 infertility. Or at least most people don't go around getting
14 sperm studies unless there's some problem.

15 So I'm wondering -- and, again, this is speculation.
16 There's nothing in the study that would tell us the answer to
17 this, but I'm wondering if this guy had a problem with
18 fertility to start with and had absolutely nothing to do with
19 his exposure to chloroform.

20 And I don't think we have any way of saying that
21 except to say, "Why in the world is this guy having a study,
22 a sperm count motility study?"

23 COMMITTEE MEMBER SAMUELS: Well, this study -- I
24 think the unfortunate kicker in this study is that there were
25 two or three other chemicals that were prominent, and you

1 cannot -- we did have one study a few years ago where --
2 well, irrelevant. But in this case, while I really do think
3 this was a chemically-caused effect, I couldn't pin it on
4 chloroform, and I don't think we'll ever be able to do that.

5 CHAIRPERSON BURK: I agree. It was very intriguing.
6 But in the absence of some other supporting data, you know,
7 one case study just isn't sufficient.

8 Although, I guess, in our actual criteria, I was sort
9 of reading along, one good study would be sufficient if we
10 were thinking that way, but I don't think this qualifies.

11 COMMITTEE MEMBER JONES: This isn't it.

12 CHAIRPERSON BURK: Okay. Any comments on female
13 reproductive toxicity? Again, I think, you know, most of the
14 studies that were presented are essentially the developmental
15 ones, but here we're looking at systemic toxicity of the
16 mother and the effects, but there is the -- I guess it is the
17 spontaneous abortion in the lab workers would be another
18 potential study that could be used on the female side.
19 What's your thinking there? Would that be strong enough for
20 listing for female repro tox?

21 COMMITTEE MEMBER SAMUELS: This is the Wennborg
22 study, and my judgment is that it is a -- what I look for is
23 whether there was any concurrence in the animal studies, and
24 I am not sure what would be the parallel effect in animals.
25 We did have increased resorptions in some studies, and the

1 argument has been that these were all in the presence of
2 maternal toxicity.

3 So I do find a parallel there on the basis the other
4 two studies that -- however, it is the only study that found
5 a significant association with spontaneous abortion, and one
6 study by myself which has not as many problems as it's been
7 criticized for, very difficult for me to state it should
8 stand alone as indicating an effect on spontaneous abortion.
9 If we reanalyzed, it might well be stronger, but it is not
10 reanalyzed. So I cannot -- I don't want to make a conclusion
11 based on that alone.

12 CHAIRPERSON BURK: Okay. I guess I have been mixing
13 the human and the animal, but any other comments about the
14 animal studies relative to female repro tox?

15 COMMITTEE MEMBER JONES: Steve, what about the
16 Windham study?

17 COMMITTEE MEMBER SAMUELS: This is the one I'm
18 talking about. What I really should have done, though I
19 didn't think about it, was to do a new calculation to do
20 what's called a one-sided statistical test, which I'm
21 guessing probably would have turned out to be significant at
22 the .05 level, which might then elevate it somewhat to our --
23 to important status.

24 And then if my belief is correct that they over
25 controlled, they would have had even more significance.

1 So my personal belief of that is a statistically
2 significant study, if analyzed in the proper way, but, again,
3 it's not --

4 COMMITTEE MEMBER JONES: They needed you, Steve, on
5 all these things.

6 CHAIRPERSON BURK: A good statistician really is
7 important.

8 Well, I am not trying to rush anything. Are there
9 any more comments? I want to make sure we have a chance to
10 say anything that's on our minds before we take a vote. I
11 sense we're ready. Let me find the script.

12 All right. We will vote separately on each end
13 point, as we have always done, and recall that it would
14 require four yes votes to list in any of these circumstances;
15 is that correct?

16 MS. MONAHAN: Uh-huh.

17 CHAIRPERSON BURK: Okay. So first, has chloroform
18 been clearly shown through scientifically-valid testing,
19 according to generally accepted principles, to cause
20 developmental toxicity? A show of hands if you say yes. I
21 see one. So that will not be listed for developmental
22 toxicity. I am supposed to record these, so I am writing
23 them down.

24 All right. Secondly, has chloroform been clearly
25 shown through scientifically-valid testing, according to

1 generally accepted principles, to cause female reproductive
2 toxicity? Again, a show of hands. I see zero yes.

3 I haven't been asking for abstentions. I guess
4 someone should tell me if they want to do that, because I am
5 just assuming the other votes are no.

6 Okay. All right. Was it important that I actually
7 ask separately for the yes and the no? Because if so, I will
8 start over.

9 MS. MONAHAN: I think it would be more helpful. It
10 will make the record more clear.

11 CHAIRPERSON BURK: All right. We will start over.
12 We are back on developmental, and I asked has chloroform been
13 clearly shown through scientifically-valid testing, according
14 to generally accepted principles, to cause developmental
15 toxicity, and we had one yes. All those voting no, could you
16 raise your hand. All right. I see four. Any abstentions?
17 No.

18 Okay. So now I'll do it again the same way. Has
19 chloroform been clearly shown through scientifically-valid
20 testing, according to generally accepted principles, to cause
21 female reproductive toxicity? All those voting yes, please
22 raise your hand. Okay. I see zero. All those voting no,
23 please raise your hand. I see five. And any abstentions?
24 Nobody's left. Okay. So zero.

25 Okay. And third, has chloroform been clearly shown

1 through scientifically-valid testing, according to generally
2 accepted principles, to cause male reproductive toxicity?
3 All those voting yes, please raise your hand. Okay. I see
4 zero. All those voting no, please raise your hand. I see
5 five. All those abstaining? Again, zero.

6 Okay. So do I make a conclusion here, or do I leave
7 that for you later?

8 DR. DENTON: Just move on.

9 CHAIRPERSON BURK: Great. All right.

10 (Whereupon a recess was taken.)

11 CHAIRPERSON BURK: I think we are ready to begin
12 again, and the next item on the agenda is the discussion of
13 progesterone. So first we will hear the staff reports, and
14 is it Mari.

15 DR. DONALD: Yes, Dr. Mari Golub will present a
16 summary of the data both for human and animals. But
17 Dr. Kaufman also worked on the human data, so we will be
18 available to answer any questions.

19 DR. GOLUB: Welcome back, and I am going to just
20 present an overview of the hazard identification document for
21 progesterone. Next slide.

22 Progesterone is an endogenous progestational hormone.
23 When administered exogenously, progesterone has low oral
24 bioavailability, which has limited its use for therapeutics.
25 Bioavailability by the vaginal, nasal and dermal routes is

1 adequate for therapeutic use.

2 Recently a micronized progesterone has become
3 commercially available, which has improved oral
4 bioavailability. The low bioavailability of progesterone is
5 due to its extremely short half-life, which in turn is due to
6 a very rapid and extensive first-pass effect in the liver.

7 In target tissues, progesterone activates specific
8 nuclear and membrane receptors, and it can also act as a
9 modifier of signal transduction.

10 Progesterone exposure is primarily through
11 therapeutic uses, as a female contraceptive, in an IUD
12 preparation, as a luteal support for in vitro fertilization
13 pregnancy, for treatment of a variety of gynecological
14 disorders, and as hormone replacement therapy for
15 postmenopausal women.

16 In addition, progesterone is marketed directly to
17 consumers as a supplement and cosmetic. Some of the
18 suggested benefits from internet advertisements are improved
19 sexual libido, enhanced serenity, prostate support,
20 opposition of estrogen dominance and production of healthy
21 and more intelligent children.

22 Progesterone is one of five hormones that is approved
23 by the FDA as a growth promoter in livestock and anabolic
24 steroid. Progesterone can also be a contaminant of
25 environmental media through animal and human wastes, and also

1 through commercial progesterone production.

2 Early studies evaluated progesterone as a potential
3 male contraceptive. An intrasubject design was used in a
4 small sample of prison volunteers. A dose of 50 milligrams
5 per day administered intramuscularly lead to azoospermia,
6 reduced libido and testicular size and fewer mature sperm in
7 the seminiferus tubules in a testicular biopsy that was done
8 at the end of ten-week study.

9 A more recent study administered progesterone at the
10 same dose to ten young men. The purpose of the study was to
11 look at circulating levels of gonadotropins, testosterone.
12 In addition, a gonadotropin-releasing hormone response test
13 was done, and reduced levels of gluetinizing hormone,
14 follicle-stimulating hormone, testosterone and gonadotropin,
15 and reduced gonadotropin response was seen in the study.

16 A similar suppression of spermatogenesis has been
17 demonstrated in monkeys, rabbits and rats. Those studies are
18 described in the HID.

19 Reproductive track maturation, a sensitive male
20 reproductive toxicity endpoint has also been shown to be
21 influenced by postnatal progesterone administration in animal
22 studies.

23 For female reproductive toxicity, early studies also
24 evaluated progesterone as a female contraceptive. Using a
25 300 milligram oral dose, progesterone was found to suppress

1 ovulation during cycles when it was administered as compared
2 to control cycles in the same women.

3 A later study also evaluated the progesterone IUD
4 inserted shortly after birth. Postpartum menstruation and a
5 greater production of milk with an altered composition was
6 found in this study.

7 A similar suppression of ovulation and reduced
8 fertility have been demonstrated in a number of animal
9 species. Altered sexual development has also been
10 demonstrated in female rats exposed to progesterone in utero
11 and postpartum

12 Finally, parturition and maternal behavior, which
13 depend on endogenous progesterone, can be disrupted by
14 exogenous progesterone in rats.

15 Human developmental toxicity studies. The
16 therapeutic use of progesterone led to concern about adverse
17 affects in the fetus, since many of the applications were for
18 pregnant women.

19 Studies of malformation incidents were generally for
20 drugs classified as progestogens, which includes progesterone
21 as well as other drugs designed to have progestational
22 activity, but better oral bioavailability.

23 We were able to find six studies that contained small
24 numbers of women treated only with progesterone, in which a
25 malformation incidence was studied. There was no association

1 of malformation with the progesterone administration.

2 There were three prospective random studies of
3 progesterone administered for threatened abortion in the
4 literature. No effects on pregnancy outcome were reported in
5 these studies.

6 Because of the androgenic potential of some
7 progestogens primarily the nor-testosterone derivatives,
8 concern was raised about virilization of the female fetus
9 when progesterone is administered during pregnancy.

10 Female virilization was confirmed for several of the
11 progestogens, by case studies and population studies. But
12 only three case reports are available for progesterone
13 itself, and this precludes any estimate of the risk of female
14 virilization for progesterone.

15 A large number of large-scale, case-controlled
16 studies for hypospadias have been conducted for progestogens.
17 Hypospadias are caused by insufficient testosterone during
18 early embryonic development. And as mentioned earlier,
19 progesterone can depress androgen production.

20 The only two studies of progesterone itself, however,
21 contain no control groups, and this is not possible to
22 evaluate the incidence of hypospadias related to progesterone
23 administration.

24 The developmental toxicity studies in animals are
25 also limited, and they extend over a 50-year period. They

1 primarily use injection routes. Four studies reported data
2 on pregnancy outcomes and laboratory animals. Intrauterine
3 death and growth retardation were reported in rat studies,
4 and altered sex ratios were seen in both the rat and rabbit
5 study.

6 Malformations were evaluated by fetal exam in the rat
7 and rabbit study, but there was no increase in malformation
8 related to progesterone administration.

9 Two studies in mice followed in utero-exposed
10 offspring to adulthood and found alterations and sex-
11 differentiated behaviors, mating in the case of males, and
12 postpartum aggression in females.

13 Because of the concern about virilization of infant
14 girls and hypospadias in boys, studies were undertaken in
15 laboratory animals. Primarily they used anogenital distance
16 as a quantitative measure of genital differentiation.

17 The effects of the nor-testosterone progestogens were
18 confirmed in animal studies. Two of ten studies which
19 included administration of progesterone found anogenital
20 distance effects in female fetuses once the progesterone was
21 administered during pregnancy.

22 In the case of male hypospadias, and as reflected in
23 anogenital distance as a measure in fetuses, six studies were
24 available. There were increases, decreases, and no effects
25 reported on anogenital distance. No study reported a

1 statistically significant change in anogenital distance in
2 male fetuses.

3 So to summarize the DART effects reported for
4 progesterone, intrauterine death and growth retardation and
5 altered male and female sexual development have been reported
6 in animals. These are endpoints relevant to developmental
7 toxicity.

8 Suppressed spermatogenesis has been reported in
9 humans and in animals, and also reduced fertility and altered
10 sexual development in animals, endpoints relevant to male
11 reproductive toxicity.

12 And for female reproductive toxicity, suppressed
13 ovulation and reduced fertility have been reported in both
14 humans and animals, and altered sexual development in
15 animals.

16 And that concludes my presentation. I'd be glad to
17 answer questions, or later in discussion to provide any
18 details about the studies that you might be interested in.

19 COMMITTEE MEMBER SAMUELS: Could you -- since animal
20 studies saw altered sex ratio, did the human studies analyze
21 that outcome as well?

22 DR. GOLUB: No, there were no human studies that
23 looked at sex ratio at birth. The animal studies with
24 altered sex ratio, because of the issues of genital
25 differentiation, it is important to confirm the sex. So that

1 could be done to a certain extent in humans, but it isn't
2 routinely available.

3 COMMITTEE MEMBER SAMUELS: Thank you.

4 CHAIRPERSON BURK: Are there any other questions for
5 Mari at this time? No. Thank you very much, again, for
6 another beautiful document, and your coauthors as well.

7 We have one person wishing to speak, make public
8 comment, and that is Brad Buchanan from Ementa.

9 MR. BUCHANAN: Emerita.

10 CHAIRPERSON BURK: Emerita. Sorry. Couldn't read
11 that.

12 MR. BUCHANAN: My comments are brief and without the
13 aid of Power Point. I'd like to thank the Committee members
14 and OEHHA for the opportunity to provide comments and
15 opposition to the listing of progesterone as a reproduction
16 toxicant.

17 Understanding that our previous comments have been
18 considered as part of the process, I would like to add
19 comments from health care practitioners that counsel patients
20 on their hormone options without debating the science.

21 I quote, and with permission, from Dr. Sue Stone,
22 Please accept this protest from me regarding adding
23 progesterone to the list of reproductive toxicants.
24 Progesterone is a natural biogenical hormone with many
25 therapeutic uses and absolutely no harmful activity, end

1 quote, Fresno, California.

2 The second and last quote that I want to read is from
3 Gloria St. John, the Executive Director of the California
4 Naturopathic Doctors Association. The California
5 Naturopathic Doctors Association is opposed to the
6 classification of progesterone as a reproductive toxin.
7 Progesterone is a hormone integral to the reproductive
8 process, with beneficial affects in sperm motility,
9 fertilization, implantation, luteal support and much more,
10 hence its use as a treatment to support fertility and
11 maintain pregnancy, end quote.

12 Those are my comments. Our company also submitted
13 comments during the review period, and they should be in the
14 documents you have. Thank you.

15 CHAIRPERSON BURK: Will you take questions? Does
16 anyone have any? My only question, what does your company
17 make.

18 MR. BUCHANAN: It's Emerita. Emerita is the female
19 of emeritus of honor and respect. Is that all?

20 CHAIRPERSON BURK: I understand the Latin. I just
21 wondered what your company made.

22 MR. BUCHANAN: We -- Dr. Golub had listed supplements
23 and cosmetics in one of the alternatives available, and
24 that's what our company does. We are based out of Portland,
25 Oregon, and distribute into California.

1 CHAIRPERSON BURK: Do those require prescriptions
2 or --

3 MR., BUCHANAN: No, it is supplemental status.

4 CHAIRPERSON BURK: -- are these available over the
5 counter?

6 MR. BUCHANAN: Any other questions from the
7 Committee?

8 DR. DENTON: Okay. I should mention that we did
9 receive two very late comments that are in the -- again, in
10 the back of the binders of the Committee members. One from
11 Judith Turgian, and another from Jeffery Kaufman.

12 MR. BUCHANAN: Thank you.

13 CHAIRPERSON BURK: Thank you. I'll give the
14 Committee a quick chance to look at the comments, but
15 unfortunately it is hard when it comes in late. But we did
16 get some previously that I am sure we all looked at.

17 Are we ready to begin discussion of progesterone?
18 Again, could I ask Jim again, just to clarify, this was
19 already prioritized as progesterone. Are any of these
20 synthetic progestogens in the database somewhere, too, or is
21 this specifically because it does have an environmental as
22 opposed to just therapeutic?

23 DR. DONALD: Well, the previous prioritization
24 process that I mentioned was based on nominations from
25 experts. So progesterone was nominated to us. Other

1 progestogens weren't at that time. So progesterone is what's
2 progressed through the subsequent process.

3 I have to admit, I don't know off the top of my head
4 if we have other progestogens in the database at this point.

5 CHAIRPERSON BURK: Well, it is open to anyone on the
6 Committee who wants to start us off. Do we want to start
7 with any particular endpoint? Are any of them clear to
8 anyone? Do we want to take our changeover now? Your
9 replacement is here, and we don't want to lose anything.
10 Maybe we should just pause for a minute and think.

11 (Whereupon a recess was taken.)

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 CHAIRPERSON BURK: I guess we're back. We'll
2 continue.

3 In the meantime, is anyone ready to begin the
4 discussion?

5 DR. JONES: I think that the only, in any way,
6 controversial issue would be -- would relate to
7 hypospadias, and I -- I don't think the data is good to
8 suggest that hypospadias is associated with progesterone
9 exposure either, so I see no evidence of concerns
10 relative to developmental toxicity.

11 CHAIRPERSON BURK: I think I agree.

12 On the other hand, I think male and female, I
13 think there's something we could talk about. I mean, I
14 think it's only just logical in some ways that a
15 contraceptive is likely going to have some effect on
16 male and female fertility. But we should look
17 specifically, I guess, at the studies that were given.

18 Any comments from the epidemiological point of
19 view?

20 DR. SAMUELS: I have a question. The gentleman
21 who just spoke mentioned -- in one of the comments
22 mentioned the benefits of progesterone.

23 In reading the articles, is there really any
24 protective effect of progesterone in threatened
25 pregnancies or threatened abortion or IVF support?

1 DR. GOLUB: Well, certainly progesterone
2 administration is necessary to simulate the luteal phase
3 in preparation for in vitro fertilization, and -- so
4 this is definitely a beneficial effect, and it also
5 makes it impossible to evaluate the possible adverse
6 effects because you can't have a control group -- you
7 can't have the pregnancy if you don't have the
8 progesterone.

9 In terms of threatened abortion, I think
10 that's -- that's a therapeutic use that has not been
11 supported for many years by professional organizations.

12 DR. SAMUELS: Thank you.

13 DR. JONES: But there's no question that
14 progesterone is being used or at least there's studies
15 looking at the use of progesterone in premature
16 delivery -- to suppress premature delivery --

17 CHAIRPERSON BURK: Yes.

18 DR. JONES: -- at this point now.

19 DR. GOLUB: I'd just like to comment that that
20 is not progesterone. It's 17-hydroxy progesterone that
21 was in the studies that were reported recently in, I
22 think it was, the New England Journal of Medicine.

23 DR. JONES: I don't think so, but --

24 DR. GOLUB: We tried not to report any studies
25 in the HID that weren't directly on progesterone even to

1 discuss the fact that they weren't on progesterone, but
2 we did look very carefully at that article and the
3 commentaries around it, and it was 17-hydroxy
4 progesterone.

5 CHAIRPERSON BURK: Is that something that you
6 would need to see to make a decision?

7 DR. JONES: No, absolutely not.

8 CHAIRPERSON BURK: Because I know I just read
9 something in the popular press about pre-term delivery
10 and the use of progesterone, but you're not sure when
11 you read it there what it actually is. That's just sort
12 of a generic term. So we'll take your word for it
13 there.

14 Any other comments? I sense almost that this
15 is going a little quicker than the other, but I was
16 accused of rushing before, so I'm going to go real
17 slow. If anyone wants to say something -- are we ready
18 to --

19 DR. SAMUELS: Dr. Burk, you mentioned there's
20 more evidence for female reproductive toxicity? Or
21 maybe I misunderstood you.

22 CHAIRPERSON BURK: No. Tell me there isn't.
23 I --

24 DR. SAMUELS: I mean, I didn't see strong --

25 CHAIRPERSON BURK: I don't see it -- I don't

1 see it strongly. I mean, we've had a few cases, but let
2 me review.

3 DR. SAMUELS: No, I just -- I just may have
4 misunderstood your comment.

5 CHAIRPERSON BURK: Well, I tend to get into
6 mechanisms more than I should, I suppose, and certain
7 things just seem sort of logical, but we're basing it on
8 the studies that we have. We're basing our decision on
9 what we have to look at.

10 So let me find my -- pardon? Is there any
11 other discussion of male or female? We have a male
12 expert still if there's anything that we don't feel
13 comfortable with.

14 DR. GOLUB: I might comment that Dr. Li did
15 read these studies and contribute to the HID in the male
16 reproductive section.

17 CHAIRPERSON BURK: All right. I will go
18 through unless -- last chance. Okay.

19 And this time we'll do it by the book and vote
20 separately on each endpoint for progesterone.

21 Go ahead.

22 DR. SAMUELS: May I interrupt?

23 I know this has happened before. I mean, the
24 only evidence -- strong evidence is for male
25 reproductive toxicity. On the other hand, progesterone

1 is marketed for -- to women, and I'm sure these creams
2 are -- for example, are intended to women.

3 And the listing process, you know, doesn't make
4 a distinction, and so there's concern by the physicians
5 that women will be discouraged from using progesterone
6 if -- if there's a warning.

7 And I know at least one time in the past the
8 committee used its discretion to ask that the warning be
9 specific to the susceptible subgroup. I don't know
10 whether we have any options there, but I'm asking.

11 DR. DENTON: Why don't you give us just a
12 minute. Do you remember what chemical that was?

13 DR. SAMUELS: I think it was aspirin, which
14 was --

15 CHAIRPERSON BURK: I remember that one well,
16 and it was just third trimester exposure, I believe.
17 And we put something on Vitamin A, I think, or something
18 like that at the time, you know, that -- I think it
19 depends how the thousand-fold safety factor would be
20 applied. Would it result in --

21 DR. SAMUELS: That might affect the listing,
22 but it could -- might -- it would not affect production.
23 Again, that I don't know.

24 CHAIRPERSON BURK: Yeah, and I don't know. And
25 part of the problem is I know historically we do the

1 hazard identification phase, and then it goes beyond
2 that if it's listed.

3 But if we know something ahead of time and you
4 want to add some kind of a footnote to it -- I think
5 it's been done.

6 MS. MONAHAN: If you want an example, in the
7 context of aspirin, there's a note along with the
8 listing that says:

9 "It's especially important not to use aspirin
10 during the lasts three months of pregnancy unless
11 specifically directed to do so by a physician because it
12 may cause problems in the unborn child or complications
13 during delivery."

14 So that was a fairly long comment. But, of
15 course, when -- if you did the listing for male, then it
16 would -- I mean, that would be the notation in the list,
17 is that it was male, not female or developmental. So it
18 would also be limited in that regard.

19 DR. GOLUB: Could I say a word in connection
20 with sensitive populations? While it's true that the
21 HID presents evidence that progesterone suppresses
22 testosterone and sperm production, it also presents
23 evidence that testosterone suppresses ovulation and
24 fertility in women.

25 So it's a different effect. Certainly the

1 same -- you know, the same endpoint wouldn't apply
2 across, but women as a sensitive population should also
3 be considered.

4 DR. SAMUELS: So it sounds like, even though
5 that's the recommended use, our problem is that
6 progesterone is present in products not intended for the
7 medical use for which it's indicated and -- and those --
8 and so its use conceivably could have an influence on
9 women.

10 And, of course, we haven't talked about tests
11 on children at all, so that -- that's also open as to
12 what -- as to effects on both male and female children.

13 So you're saying that there's also evidence for
14 female reproductive toxicity as well as male?

15 DR. GOLUB: Well, for effectiveness as a
16 contraceptive.

17 DR. JONES: Well, it seems to me this is an
18 important issue that we need to discuss here before we
19 vote.

20 So there's absolutely no question that
21 progesterone affects ovulation and a variety of issues
22 like that and it's used in that way and -- are we then
23 suggesting that that's a female reproductive
24 toxicological effect? I mean, that would be a --

25 CHAIRPERSON BURK: Well, I think --

1 DR. JONES: -- fairly --

2 CHAIRPERSON BURK: I think it is.

3 DR. JONES: -- thing to say.

4 CHAIRPERSON BURK: I don't know how you could
5 say that that isn't an effect.

6 And I can sort of read to you from our criteria
7 that -- this was a number of years ago that we put
8 together what we considered possible adverse female
9 endpoints.

10 And for female reproductive toxicity, you know,
11 there's one section, genetic damage, but then a second,
12 alterations in ovulation, menstrual cycle, so forth. A
13 third one, impaired or altered endocrine function and
14 complication of pregnancy. But I mean, it is -- it is
15 on the list as an endpoint.

16 Now, I know we tend to think more specifically
17 toward fertility, but even then that could certainly
18 have an effect on fertility, so that's why I'm kind
19 of -- I see it a little differently.

20 But I understand the concern that we don't want
21 to list something with a lot of therapeutic use and
22 benefits that would confuse people --

23 DR. JONES: Right.

24 CHAIRPERSON BURK: -- as to what the hazard is
25 for them.

1 DR. JONES: Right.

2 CHAIRPERSON BURK: So that's why -- I mean,
3 it's possible that we could put some little statement
4 after it if we did choose to list it.

5 DR. ROBERTS: If I recall, also, Vitamin A,
6 which, like progesterone, you need some of it at least
7 for successful reproduction and too much of it is -- is
8 harmful, it looks like progesterone is in sort of the
9 same boat.

10 MS. MONAHAN: Do you want to know what --
11 what's on that listing?

12 DR. ROBERTS: Yes. I'm wondering if that could
13 be a precedent that could be followed.

14 MS. MONAHAN: The listing actually says
15 retinol -- retinyl esters when in daily doses --
16 dosages in excess of 10,000 Iu or 3,000 retinol
17 equivalents. So it's -- that's the limitation.

18 And then there's a note that says:
19 "Retinol" -- "Retinyl esters are required and essential
20 for maintenance of normal reproductive function. The
21 recommended daily level during pregnancy is 8,000 Iu."

22 DR. ROBERTS: Do you know if that latter part
23 was specifying the level at which it is considered to be
24 harmful was something done by the committee or something
25 done by OEHHA.

1 MS. MONAHAN: I'm told that it was by the
2 committee. I wasn't present at that meeting.

3 CHAIRPERSON BURK: I actually remember
4 that, and the concern was, of course, that if you
5 applied a thousand-fold safety factor to the NOEL you'd
6 be in a nutritional deficiency state or whatever you
7 want to call it. In other words, you would be
8 deficient.

9 MS. MONAHAN: The other thing that I could
10 point out, also, is that under our regulations, the
11 warning requirement wouldn't even be triggered for
12 any -- for this chemical if it was used as a
13 prescription since we exclude those and say that the
14 warnings that are already required with the prescription
15 are sufficient under our regulation. So we'd really
16 only be dealing only with over-the-counter products.

17 DR. DENTON: Carol, if we can have just a
18 little chat here. Now, the listings, the examples of
19 Vitamin A and aspirin, the parentheticals behind those
20 listings were more dose related or the trimester.

21 Now, the committee to make a recommendation on
22 the appropriateness or inappropriateness of a warning,
23 that gets into another whole new -- that's a different
24 ball of wax.

25 That's not a scientific limitation on a

1 warning. That's more of a getting into the regulatory
2 enforcement, you know, implementation portion of the --
3 of Prop 65, which I'm reticent to think that's a good
4 idea.

5 MS. MONAHAN: Really, our own regulations on
6 warnings are only recommendations. They aren't
7 mandatory. The individuals, when they decide whether or
8 not to put a warning on, still have the option not to
9 use the warnings that we recommend.

10 So I'm not sure that -- I mean, I think that it
11 would be much better for you to stay more focused on
12 whether or not this has been clearly shown to cause and
13 put whatever caveats you believe would be appropriate to
14 that and not worry about whether or not a warning is
15 actually going to be required because it would be so
16 specific to, you know, is it a prescription, is it not,
17 what level's present, you know, those kinds of things
18 that aren't really within the authority of this group
19 and, to some extent, even the enforcement under the law
20 isn't even within our control so --

21 DR. DENTON: I agree.

22 CHAIRPERSON BURK: So what I'm hearing is we
23 should focus on the science and the hazard
24 identification and not -- not worry about what's going
25 to happen.

1 DR. DENTON: Again, the charge of the committee
2 is to -- is to make a judgment on the scientific
3 evidence whether or not -- as Carol says, whether or not
4 something's been clearly shown or not. In your
5 scientific evaluation, has this chemical been clearly
6 shown or has it not been clearly shown.

7 If it has been clearly shown in one instance
8 rather than in another, which is the parentheses
9 associated with aspirin and Vitamin A, then that -- that
10 is appropriately added into the -- into the listing.

11 But it's -- you know, this -- I think this
12 implication sort of discussion goes beyond the actual
13 scientific basis of the listing.

14 DR. KEEN: Just a quick observation because
15 we've kind of treaded in this water once before besides
16 for Vitamin A when we were discussing, well, for some of
17 the essential minerals or, for that matter, any
18 essential nutrient, we're effectively saying, well,
19 almost by definition that they all are reproductive
20 toxicants at high enough levels, they're all
21 reproductive insults if they're at low levels, and
22 really -- this is exactly the same category.

23 And when we last had the discussion, in part
24 what we agreed to is to try to steer away from it so we
25 didn't hopelessly confuse the individual out in society,

1 which I have great fears of.

2 Because of using this logic, particularly if
3 there is a huge area of -- apparent window of safety,
4 then you could have individuals wind up -- now, if we go
5 back to nutrients, say, well, I should stay away from
6 all these things, or I should label them if they're full
7 of the very same nutrients that another branch of the
8 government, such as the FDA, is saying we should
9 consume. And it's a real problem of mixed messages, so
10 I'm uncomfortable.

11 I mean, on one hand, by definition, with
12 progesterone, I don't think there's any real debate
13 that, if you have high enough concentrations, does it
14 affect the reproductive process? The answer is yes, and
15 it is unequivocal.

16 But it's a message which I think is very
17 confused if people -- we start telling people and they
18 perceive it as a risk suddenly.

19 CHAIRPERSON BURK: I agree, but it's hard for
20 me because I'm still in this mode that we look at the
21 data and we make our decision, and then things happen
22 after that that hopefully give the right warnings, the
23 right -- I know. Maybe -- maybe we feel we need to have
24 more control over that, and I remember many years back
25 talking about that all the time. What's going to happen

1 if we list this?

2 But I would hope that somehow some reason would
3 prevail in the way that it was -- maybe George can
4 comment on that since he's waving his hand.

5 DR. ALEXEEFF: Well, I have -- George
6 Alexeeff -- two comments.

7 One is, progesterone is listed as a carcinogen
8 since 1988, so that's one point.

9 The other thing is if -- as we note in the
10 other parentheticals, they're fairly specific. We have
11 dosages, trimesters and things like that.

12 One possibility could be that you could ask
13 staff if they could come back at a future meeting with
14 some specificity for a proposed parenthetical.

15 If that -- I'm not sure if we could, you know,
16 come up with something as clear as this; but if that was
17 something you felt that somehow there's this dividing
18 line you wanted to clarify, that could be something we
19 could investigate to see if that would be helpful.

20 CHAIRPERSON BURK: So would we like that idea?
21 I'm seeing some nodding heads.

22 DR. KEEN: I think that's an excellent idea.
23 It will probably be a lot more difficult than it was
24 with Vitamin A because there, there was actually a
25 pretty rich body of literature that was developing at

1 around the same time that this committee was asked to
2 look at it, and some pretty clear-cut papers that one
3 could argue, well, it was five percent or ten percent,
4 around that number. I'm not -- I don't know if that's
5 quite as clear here.

6 On the other hand, that was not the information
7 that was provided for us to review, for good reason. I
8 don't think it's something that normally we would have
9 expected to see. So if that information would be
10 provided, I'd feel a lot more comfortable at that
11 point.

12 CHAIRPERSON BURK: All right. It looks like
13 that may be unanimous. I'm seeing everyone in
14 agreement. So does that mean you want to defer the
15 entire -- the entire vote, am I correct, for each
16 endpoint?

17 Okay. Well, that's what I'm saying. If we
18 would like to defer it for the male and the female repro
19 tox because that's what we're saying is our main
20 concern, and then we'll vote on the developmental. Are
21 you okay with that, Steve?

22 All right. Let's do that. At least we'll have
23 accomplished something.

24 All right. So here's the question. Has
25 progesterone been clearly shown through scientifically

1 valid testing, according to generally-accepted
2 principles, to cause developmental toxicity?

3 All those voting yes please raise your hand.

4 Okay. I count zero.

5 All those voting no please raise your hand.

6 Okay. I count five. And so I'm assuming zero
7 abstaining.

8 So we will -- progesterone will not be listed
9 as a developmental toxicant, and we will defer our
10 decision on male and female reproductive toxicity
11 pending further elaboration of perhaps some caveat that
12 we could put onto it or some clarification.

13 All right. Next on the agenda we have
14 consideration of --

15 DR. KEEN: Just a quick comment since we're
16 moving into a completely different area now.

17 Two or three times I've heard people up here
18 refer to the fact that, I think, the way these two staff
19 reports were prepared really were exceptionally good.

20 I'd just like to go one step farther and
21 encourage OEHHA, if they have the opportunity, to try to
22 get these into the public domain.

23 This last discussion was an excellent example
24 of it because I could see a very good discussion of
25 that; and if it was in the published literature, it

1 would be something we could deal with in a slightly
2 different format then. So I would encourage, if at all
3 possible, attention be given to that.

4 CHAIRPERSON BURK: All right. Next on the
5 agenda, item III, consideration of the revised
6 prioritization process, and the staff presentation will
7 be by Dr. Lauren Zeise of OEHHA.

8 DR. DENTON: Before you start, Lauren, I
9 just -- just want to give the -- a little -- and then
10 I'm going to turn it over to George -- just a little
11 background on this item for the committee's
12 consideration.

13 We've been working on revising our 1997
14 prioritization process for the last several years, and
15 we've had several lead persons from this committee, that
16 is, Dr. Roberts and Dr. Jones, participate on -- in an
17 ad hoc committee with OEHHA along with several members
18 of the Carcinogen Identification Committee to try to
19 devise a -- I guess a more efficient way of prioritizing
20 chemicals.

21 This committee has not seen any chemicals that
22 had been prioritized by using the 1997 process, but will
23 be seeing chemicals prioritized in some form.

24 So we've had a -- there has been a process
25 involved, and so I'm going to turn that over to George

1 who will just go over a little bit of the details of
2 the -- of what's happened in the development of this
3 document which you see before you today.

4 MS. MONAHAN: Actually, I think that's going to
5 be me first. Sorry.

6 I just wanted to say very quickly that there
7 was a question raised about the reference to the group
8 that developed the proposed prioritization process as a
9 subcommittee of the committees, the two, the CIC and
10 DART, and I just wanted to clarify that that reference
11 did not mean to imply that there was a formal
12 subcommittee formed by either the CIC or the DART.

13 There were -- this was a group that was formed
14 by OEHHA and we, at Joan's request, had asked the chairs
15 of the DART and the CIC to identify a couple of people
16 that would be willing to work with us on that and that's
17 what they did.

18 So it was an informal group and -- so I just
19 wanted to clarify that for the record since some of our
20 references said subcommittee.

21 DR. ALEXEEFF: This is George Alexeeff.

22 As Dr. Denton mentioned, about two years ago at
23 the CIC meeting it was raised that we look at the
24 prioritization process again and try to address some of
25 the concerns that were being raised.

1 And subsequent to that, we -- they suggested
2 that a member of the committee or two, you know, also be
3 serving as leads. We spoke with Dr. Miller at the time,
4 and she also suggested a couple members from the DART
5 Committee.

6 So last year I made a brief report that we had
7 been preparing -- been looking at the previous process
8 and preparing some slight adjustments to that process in
9 hopes of making it more useful in terms of bringing the
10 most important chemicals to the committee.

11 And in May of this -- of early this year, we
12 released a draft document for public comment. We had a
13 public workshop in June. Then we looked at the public
14 comments, and then we've released them again and then
15 given another opportunity for the public to comment on
16 it prior to this committee and also for them to give you
17 comments as well.

18 So I just want to mention that we've had this
19 process ongoing, and Dr. Zeise will briefly go over
20 what -- what our proposal is.

21 DR. ZEISE: Hi. What I'll do is I'll go
22 through the flow chart that you see on your computer
23 screens and that basically lays out the prioritization
24 process.

25 So the process starts with a tracking database.

1 That's the first box on the flow chart. And OEHHA has
2 maintained for some time a tracking database of
3 chemicals suggested for carcinogenicity review and
4 chemicals suggested for DART review.

5 These suggestions come to OEHHA either through
6 its own literature searches, the committees might make
7 suggestions, other state organizations may suggest
8 chemicals for review, the scientific community and the
9 general public.

10 So the next step in the process is to look at
11 the chemicals in the tracking database and screen them
12 to see whether or not those chemicals have a potential
13 for exposure in California.

14 Some time ago we didn't put the chemicals
15 through this screening process and resulted in moving
16 ahead some laboratory curiosities and things that really
17 just aren't worth our while to review in terms of public
18 health.

19 In addition to the exposure screen, we also
20 looked to see whether or not there are data, in this
21 case for reproductive toxicity, in order to initiate
22 some kind of a review to see if they can be
23 prioritized.

24 So the chemicals passing that very preliminary
25 toxicity review and the exposure screen go into the next

1 box, the candidate chemicals.

2 So we have this large group of candidate
3 chemicals, and the next kind of review we do is
4 different from what we have done in the previous process
5 in terms of -- in terms of the way it will work.

6 In this case, what we're going to do is -- the
7 proposal is to do a very focused literature review, one
8 that is suggested by this work group, and that focus
9 screen will change over time.

10 Now, the initial screen that the work group has
11 suggested is that we review the chemicals for
12 epidemiology, so for the presence of epidemiological
13 data. And, of course, in the review, analytic
14 epidemiological studies, individual level
15 epidemiological studies will carry much more weight, of
16 course, than case reports and so forth.

17 So the initial screen would be to see whether
18 or not there are epidemiological data available. Then
19 if it passes through that screen, we will then look at
20 other toxicity data, such as data from animal studies,
21 on those same chemicals for which there are
22 epidemiological data.

23 So in addition to the epi data, we will look at
24 animal data and also other relevant data like
25 mechanistic data and pharmacokinetics.

1 On the basis of that review, we will then
2 propose chemicals for committee consideration and
3 provide some rationale in writing for the proposal and
4 release that list of chemicals with the rationale to the
5 public and also to the committee. The public can then
6 provide written comments on those chemicals.

7 The next stage of the review will then be to
8 consult with the committee in an open public meeting of
9 the committee on that list of chemicals.

10 At that meeting, the public can also provide
11 oral comment and the committee will also have the
12 written comments provided earlier on the proposal -- the
13 public comments provided earlier.

14 So the committee will discuss the chemicals we
15 are proposing and advise us on which ones to initiate
16 hazard identification materials. OEHHA will then
17 consider the committee's advice and make a selection of
18 chemicals for hazard identification.

19 So that all is above that dotted line, and
20 everything that I've just talked about is really part of
21 the prioritization process.

22 Just to quickly go over the following steps,
23 and those are the steps that we're following now, we'll
24 go to the next slide.

25 So once we have this selection of chemicals for

1 review, as we do now, we will then initiate a data
2 call-in. This is a request for information from the
3 public occurring over a 60-day period. The public
4 sometimes sends us data submissions. We actually would
5 like to encourage more of that.

6 Then we would begin the hazard -- development
7 of hazard identification materials. Then when the
8 hazard identification materials are prepared, we then
9 release them to the public for comment for 60 days.

10 And we then take the hazard identification
11 materials and the public comments to the committee for
12 their review and their listing decision. And at a
13 meeting like this, of course, there is also the
14 opportunity for oral public comment.

15 So that's the completion of the hazard
16 identification phase of the process.

17 CHAIRPERSON BURK: Does anyone have any
18 questions of this? I have one. Oh, before you take it
19 away -- it doesn't matter.

20 At the part where it comes to the committee for
21 advice, you know, before the dotted line there, how
22 much -- I know you'll have the screens. Will it just be
23 sort of a brief abstract of what you've found?

24 DR. ZEISE: Yes, it will --

25 CHAIRPERSON BURK: How are you going to present

1 that --

2 DR. ZEISE: -- be a very short --

3 CHAIRPERSON BURK: -- is what I'm asking.

4 DR. ZEISE: -- a very short discussion of the
5 rationale, yes.

6 CHAIRPERSON BURK: Okay.

7 DR. ZEISE: In some cases, it may make sense to
8 also provide some material, some publications, and I
9 think we're going to have to work with this work group
10 to really see what -- what makes the most sense to
11 present to the committee and the public.

12 CHAIRPERSON BURK: Yeah, I would say -- I'd be
13 afraid it would get carried away and it would wind up
14 being, you know, a whole thing and then you'd discuss it
15 then and there instead of just saying is this something
16 that would --

17 DR. ZEISE: Yes.

18 CHAIRPERSON BURK: I know you'd have to work
19 that out.

20 Does anybody else have any questions? Well, I
21 know Ken and Linda were part of this, and I thank them
22 for representing us.

23 We have public comment, and then we can discuss
24 it again, so do you want to say anything now or do you
25 want to wait?

1 DR. ROBERTS: I was just -- very briefly just
2 say that I think the intent is to bring forward
3 chemicals that are worth the time for us to review that
4 have human exposure so there's some relevancy and have
5 sufficient data that we can actually make a decision on
6 it without feeling that we have inadequate information
7 about it.

8 And that with this first screen -- looking --
9 it's hard to argue that if there are positive
10 epidemiology studies or even positive human studies of
11 some sort that -- since that kind of indicates that you
12 do have human exposure, that that isn't a very relevant
13 point.

14 I have some concerns that we need to also put
15 in after that step looking for the better quality animal
16 studies, and I think that that will come with the later
17 screens or filters as it goes.

18 And I guess I'd also say, at least from my
19 part, when you all come forward with information about
20 the list of chemicals that are being prioritized, I
21 still figure that you probably are all in the -- it's
22 almost an FYI and a chance for us to comment as the
23 public does as opposed to us making the decision for
24 you.

25 CHAIRPERSON BURK: I think I heard loud and

1 clear that OEHHA would make the decision. The committee
2 would be advice.

3 DR. ZEISE: Yes.

4 CHAIRPERSON BURK: I'm still -- I agree with
5 it, and I think it's -- I think it's a very promising
6 way to get us some of the chemicals that we really want
7 to deal with.

8 I'm sort of concerned that we would -- if it
9 didn't have epidemiological data, it would just be out
10 of the picture, is that what you foresee, or that would
11 get a priority?

12 But, you know, there's some good animal studies
13 that are very suggestive, I would say, and could be
14 extrapolated to humans. So I wouldn't want to eliminate
15 that as a possibility.

16 DR. ALEXEEFF: The whole purpose was to simply
17 prioritize this group of somewhat close to 400
18 chemicals. So what -- what we thought was, well, if
19 there's positive human data, let's start there.

20 We have a little better handle on the cancer
21 issue than we do the repro issue. So we're not really
22 sure how many chemicals will be pulled out of this
23 screen for the reproductive. It could be a large number
24 or it could be a small number. We're not sure.

25 But once we have gone through those

1 chemicals -- and our intent is, again, to bring the
2 highest priority ones, not one with, you know, one
3 epidemiologic study that, you know, doesn't appear to be
4 very convincing to anyone. That's not the intent. The
5 intent is to bring the higher priority ones.

6 So once we've finished looking at -- through
7 the human studies, the next screen would be the animal
8 studies. We'd have to devise how -- how we would do a
9 cut-off for the animal studies so we're not reviewing
10 every animal study of every chemical.

11 DR. ROBERTS: This is just one of my
12 suggestions during meetings -- and I don't know if it
13 would work or not, and there are probably other
14 possibilities as well -- but after you get to the --
15 once you get to the animal studies -- it says it's on.
16 Is that better? This button, okay.

17 Once you get to the animal studies, if you can
18 search by particular words, that might help give you
19 some quality of the data.

20 And one of my suggestions was maternal no
21 effect level because usually it's a -- it's a guideline
22 type of study or a larger study that will identify that
23 kind of endpoint as opposed to some of the very small
24 studies.

25 And that might help us aim our -- their time

1 and then our time towards the studies that are done in a
2 manner that we can -- we can feel confident reviewing.

3 That was just one possibility, and I'm sure
4 there are lots of other ones.

5 CHAIRPERSON BURK: We have one public comment,
6 Dr. James Coughlin, consultant for CHPA, GMA and NFPA.
7 He can explain that.

8 DR. COUGHLIN: Thank you, Dr. Burk.

9 I'm Dr. Jim Coughlin. I'm a consultant. And I
10 want to thank the committee and OEHHA for this
11 opportunity to address you today on this issue.

12 I'll be speaking on behalf of the three
13 organizations that you mentioned. I want to tell you a
14 bit about each. They're keenly involved in and
15 interested in Prop 65 activities and the proper
16 implementation of Prop 65.

17 The Consumer Healthcare Products Association,
18 CHPA, represents the leading manufacturers and
19 distributors of nonprescription OTC drugs and
20 nutritional supplements.

21 The Grocery Manufacturers of America, GMA, and
22 its member food and beverage and consumer product
23 companies employ more than 2.5 million workers in all 50
24 states and make just about everything you see in a
25 grocery store.

1 The National Food Processors Association, NFPA,
2 is the voice of the food processing industry on
3 scientific and public policy issues that involve food
4 safety, food security, nutrition on technical and
5 regulatory kinds of matters.

6 Combined, these three trade organizations
7 represent companies that account for probably more than
8 \$500 billion sales a year in -- just in the U.S.

9 Next slide, please, Jim.

10 I want to touch on four issues today listed
11 here. Comments I'd like to make on the revised and
12 proposed prioritization procedure are summarized here.

13 We think the new process closes the door to new
14 information, it does not evaluate key issues, it reduces
15 important communication and information transfer, and
16 also we think it treats authoritative bodies
17 inconsistently.

18 I'll go through each one of these slide by
19 slide. Jim.

20 What you'll see on the next series of slides is
21 a comparison, left and right, of the current procedure
22 and the proposed revisions.

23 In the aspect that I first mentioned there, the
24 no review of new information, in our current procedure,
25 and this is a quote, assigned priorities may change as

1 new scientifically valid tox information becomes
2 available.

3 And what we see with the proposed revisions is
4 that, once the prioritization decision is made, it's
5 kind of closed to new information and there can't be a
6 change in the prioritization evaluation.

7 This makes -- we think this kind of makes no
8 sense because it makes no allowance for changing
9 prioritization evaluation based upon new information
10 that becomes available. Again, the prioritization
11 decision would be final, and we don't believe this is a
12 very scientific process.

13 As you saw in Lauren's chart, a decision will
14 come to you -- not a decision -- but it will come to you
15 in a committee to see how you feel about it, but then we
16 have these meetings once a year, and it will be a year
17 later we'll be developing the HID and making comments.
18 So things could change, but this doesn't give the
19 opportunity for a change in the prioritization with new
20 information.

21 Next slide, please.

22 Second issue is that we don't believe key
23 issues will be examined as closely as they have been in
24 the past during the prioritization process.

25 In the current procedure, the level of analysis

1 employed during the course of assigning final priorities
2 varies according to the complexity -- and that's the
3 important word I want to point out there -- varies
4 according to the complexity of the toxicological issues
5 to be addressed.

6 While we agree that, you know, the easy
7 chemicals -- many of you have, from these very
8 microphones, said the easy ones have been done before.
9 It's the complicated ones that we're going to be
10 struggling with.

11 The -- but in the proposed revisions, the
12 complicated -- that's a quote from page three -- the
13 complicated scientific issues concerning chemicals to be
14 considered are not addressed in the prioritization
15 process. The complicated stuff waits until the HID and
16 your committee decisions.

17 So we think that with this procedure -- the
18 revised procedure, OEHHA looks like it kind of intends
19 to close their eyes to some really tough kind of
20 scientific issues that we used to be able to address
21 earlier in the process and do a quicker run through and
22 bring something to you only looking at the periphery of
23 what's happening in the chemical database.

24 I want to thank Dr. Keen for mentioning
25 something near and dear to my heart, I'm basically a

1 nutritional toxicologist, and Carl mentioned, you know,
2 the nutrients and other beneficial things perhaps like
3 polyphenyls and other things that you work on, I know,
4 for sure.

5 The idea that -- that's getting complicated;
6 and if we wait until final decision making time to even
7 bring up those issues -- in the past we brought up
8 complicated issues during the course of the
9 prioritization procedure, and we see this opportunity
10 kind of being lost.

11 Next slide, please.

12 Continuing on the key issues not examined
13 arena, in the current procedure, it very much specifies
14 that maternal toxicity, which you discussed this
15 morning, will be discussed in detail.

16 It appears in the proposed revisions that these
17 kinds of issues like maternal toxicity, systemic
18 toxicity that are more complicated look like they may be
19 ignored.

20 Currently during the process of prioritization
21 interspecies differences and toxicokinetics,
22 pharmacokinetics, toxicity get discussed and we get to
23 comment on them, and it appears in the new revised
24 proposal that some of these more difficult issues will
25 probably -- and more complicated issues will probably be

1 ignored.

2 On the next slide, in the arena of less
3 communication and information, the current procedure
4 requires a preliminary assessment of all the key
5 scientific issues that appear in the data that has been
6 gathered, and it looks to us, however, in the proposed
7 revisions like, again, these complicated issues are
8 going to be sidetracked and be put off for a later
9 time.

10 We also, with the current procedures, have the
11 opportunity for a public workshop to discuss and define
12 and refine and talk more about some of the more
13 complicated issues.

14 If things aren't complicated, there haven't
15 been workshops that have been set up, but we've had a
16 lot of good interchange of ideas between the interested
17 parties and the agency in these public workshops, and we
18 see no opportunity here or not much opportunity for a
19 public workshop to occur in the proposed revisions.

20 There's also a discussion of -- with these two
21 limitations, we believe that if we don't do it in this
22 stage, that the quality of the hazard identification
23 documents, the ones -- you've reviewed two of them in
24 getting ready for this meeting -- we think the quality
25 would probably decline if you don't start struggling

1 with some of these issues earlier on in the process.

2 Finally, in the -- no, I'm sorry, Jim, right
3 back -- I've got a last point to be made on our
4 authoritative body.

5 The current procedure requires consideration of
6 authoritative body analyses. You've got several
7 authoritative bodies in the DART arena; and in the
8 proposed revisions, it appears to us that the
9 authoritative body analyses will be treated
10 inconsistently.

11 Going a little further -- on the next slide --
12 on the authoritative body treatment, the two procedures,
13 the current and the proposed, are pretty consistent when
14 it comes to an authoritative body decision where a
15 causal link has been shown and it's considered
16 authoritative.

17 But -- on the bottom lines there -- we don't
18 feel that we do have in the current procedure, if
19 there's a pretty firm determination by an authoritative
20 body, that there is no evidence for causality, it
21 doesn't appear to us that such findings would carry much
22 weight in this prioritization process that's being
23 proposed.

24 On the next slide, a new area. I -- we've
25 re-used the same less communication and information

1 exchange in describing the whole process.

2 This is the chart that Lauren showed you.
3 Anything in -- on -- in black in the boxes and on the
4 right side Lauren has already described to you. I'll
5 briefly go through some of the key problems we're having
6 with the revised procedure.

7 This whole issue of the epi screen as the first
8 screen, which you've just talked about, it's very
9 undefined. It looks like to us kind of a -- a
10 mysterious black box approach.

11 In the current procedures, it goes on for a
12 very, very long paragraph about what a good epi study
13 should have in it, and they were listed in somebody's
14 slides earlier today, the postulates of epidemiology.

15 But we -- it's not very clear to us what is
16 really going to be going on in this epidemiological
17 screen. It seems a little mysterious to us to just be
18 focusing on epi results as the gate keeper to what winds
19 up going through.

20 I made the point earlier that the complicated
21 issues will be -- will be left -- the complicated
22 scientific discussions will be left to later in the
23 process. There seems like there'll be only a partial
24 preliminary tox review rather than the more full one we
25 get in the process that began in 1997.

1 Another point -- and you can see that dotted
2 line there is the one that Lauren told us about where
3 the prioritization process ends and hazard ID process
4 begins. There have been chemicals that have moved
5 forward to both the DART and CIC committees. They got
6 there because there was -- they did not meet the
7 criteria for listing by the authoritative body, or an
8 authoritative body, and we've got some -- some issues
9 around that.

10 The two final comments in red there are the
11 complicated scientific issues and in-depth tox review.
12 I've talked about them, but we put them there to show
13 you how much later in the process after prioritization
14 is over that these things appear to be -- begin to be
15 struggled with.

16 We would really like to see, like we have
17 currently, the opportunity to look at the complicated
18 scientific issues earlier on, the example being -- a
19 nutrient would be a very good example of that.

20 We think this is really late in the process to
21 be doing the important stuff. The stuff will wind up in
22 the HID document, but we're going to miss opportunities
23 that we had in the past early on to really come forward
24 to the agency in workshops or in more detailed comments.

25 If we don't know what the agency is struggling

1 with in the early going, under the new procedure, we
2 won't be making comments about it, and then it's all
3 going to be left until the -- until the end.

4 And in the last slide I'm just summarizing the
5 four points, and our three associations urge the
6 committee members not to adopt the revised -- revisions
7 of the prioritization process as they're currently
8 constituted.

9 Instead, we believe that OEHHA should maintain
10 the 1997 process that has been in place. It took three
11 years, from '94 to '97, and a lot of open public comment
12 to get those in place, and we think they've been
13 working, so we urge, you know, no action at this point
14 on adopting the current -- or changing the current and
15 adopting the revised.

16 Thanks much.

17 CHAIRPERSON BURK: Thank you.

18 Would any of the staff like to make a comment?

19 George.

20 DR. ALEXEEFF: First of all, I want to really
21 thank Dr. Coughlin for being here and making his
22 comments.

23 And I would like to say that, you know, we've
24 looked over the comments submitted by the associations
25 he's representing, and our -- our general feeling is

1 that we feel that -- well, we disagree with his
2 understanding of the current prioritization document,
3 and we feel that we can clarify that in a number of
4 different points.

5 It was not our intent to do a number of the
6 things that -- that are being suggested, so I could just
7 go through a few of the points, for example, the concern
8 about not being open to new information. So our concern
9 is that at each point new information could be
10 provided.

11 I think one of the confusions is previously --
12 you know, in the previous system, which was -- which
13 we've been doing a lot of the carcinogens, the lottery
14 system where we randomly select chemicals regardless of
15 whether they have a level of toxicity or not.

16 So in this case -- and we have previously by
17 lottery picked some chemicals and then we try to bin
18 them, high, medium, low. So we'd have these priorities;
19 and then if something was high, they might say, no,
20 here's some evidence saying it's lower than high. So we
21 had to reorganize them over time.

22 So in this case, if -- as we look at the
23 chemicals along the very specific screen, epi data, if a
24 substance has no epi data during the first screen, we
25 won't consider it anymore. We're not -- I mean, not

1 during this round.

2 At a subsequent round we'll consider it, but
3 during the first round we will not look into its
4 mechanistic data or anything else if it doesn't have the
5 the epi data.

6 So that's a little bit -- that streamlines our
7 resources not to look at the chemicals that we're not
8 going to proceed on at that time.

9 So I think that's -- that's part of the -- so I
10 think we can change some of the wording just to clarify
11 that we are being open to new information. It's just
12 that the way process is working, it's not similarly.

13 In terms of the complicated issues, that's also
14 something we're, also open to. I think, as Dr. Zeise
15 mentioned, that we're -- we're going to consider
16 essentially the same information that we've considered
17 in the previous procedure; but, again, with the caveat
18 that if we're doing an epi screen and there's no epi
19 data, that chemical will not be considered at that
20 time.

21 Then on the third point, we think also that
22 this -- this -- there is -- there -- as far as we can
23 tell, there's the same opportunities for public input as
24 in the current version, the current procedure, and we
25 think that having a very specific screen -- in other

1 words, right now we're saying we plan to go and look at
2 the chemicals in the grouping to see if any of them may
3 have epidemiologic data.

4 That just is a lot more transparent than to
5 say, well, there's the 400 chemicals, next week we're
6 having the lottery and we're going to pick randomly some
7 chemicals. So we think in the end it will be
8 transparent, and we think -- if we just add some
9 additional language just to clarify.

10 For example, there reference was made to a
11 larger discussion of the epidemiologic evidence that we
12 would consider. Well, we'll put that paragraph back
13 in. That was just an oversight. We left it out. We
14 were thinking along the same lines we had previously.
15 So we can just add that kind of information back in.

16 The last point was the inconsistent use of
17 authoritative bodies, and our impression is that, again,
18 we haven't changed any opinion of the authoritative
19 bodies or how we would use the authoritative body
20 information. We looked at -- and we thought we were
21 using exactly verbatim the same language as before.

22 So what we will propose to do, we will meet
23 with the representatives just to come up with language
24 to make sure that it's clear what it is, that we're not
25 really changing those aspects of the procedure that we

1 had in the previous procedure.

2 CHAIRPERSON BURK: Okay. Thank you.

3 Are there any further questions from the
4 committee? I'm waiting for Joan. Oh, Sam -- oh, Steve,
5 sorry.

6 DR. SAMUELS: Well, just --

7 CHAIRPERSON BURK: About the prioritization
8 process.

9 Oh, another speaker? Okay. Another speaker
10 will be Michael Schmitz of CLEEN, C-L-E-E-N.

11 MR. SCHMITZ: I'll make it very brief. Thank
12 you for your -- giving me the opportunity to speak.

13 My name is Michael Schmitz. I'm the executive
14 director of CLEEN. It's a statewide environmental and
15 public health alliance.

16 We've submitted comments jointly with the
17 Natural Resources Defense Council in support of the
18 prioritization process proposed.

19 I just want to make two brief comments. First
20 is that we think the proposal is a great improvement
21 over the existing system. We think it's rational. We
22 think it's based on the concept of looking for those
23 chemicals which potentially cause the most -- the
24 greatest concern to -- to California. We think that
25 makes a lot of sense and, frankly, we strongly support

1 it for that.

2 The -- most of the particular issues we are
3 actually pleased with the initial responses we're
4 getting from the agency, and we look forward to
5 continuing to work with the agency in this.

6 And we just wanted to emphasize in particular
7 the issue of being able to pull -- petition to pull a
8 high priority chemical like perchlorate if there is --
9 if the need arises out of the process even within this,
10 and that something be -- a mechanism be put in place to
11 do that.

12 With that, I won't take any more of your time
13 except to say that we do think that this would be a
14 significant step forward.

15 CHAIRPERSON BURK: George.

16 DR. ALEXEEFF: Along similar lines, we were not
17 planning of changing that aspect of the guidance, so we
18 can talk to Mr. Schmitz and make sure that, you know, we
19 have -- or use the previous language or some -- some
20 version so that it's clear that for a certain concern a
21 chemical could be taken out of order.

22 MR. SCHMITZ: Thank you.

23 CHAIRPERSON BURK: Is there any further
24 committee discussion on this? I see here it says
25 discussion and decision. Are we expecting a vote or

1 can --

2 DR. DENTON: No, I think we would just like
3 your advice, and -- that's what we need.

4 CHAIRPERSON BURK: Well, I know we had two
5 members participate, and I just want to say, from my
6 perspective, I think it's excellent. And any way that
7 we can expedite and get the more serious chemicals up
8 here ahead of -- you know, right at the beginning of the
9 pack, we would appreciate.

10 Steve.

11 DR. SAMUELS: Can I change the topic?

12 CHAIRPERSON BURK: Absolutely.

13 DR. SAMUELS: Since -- since we made our
14 decision this morning on chloroform, I recalculated the
15 one-sided p-values for the two studies I considered
16 strong, which were the Wennborg lab study and the
17 Infante-Rivard study of SGA in Montreal, both of which I
18 said had -- were biased against finding an effect, and
19 both the one-sided p-values were about .04.

20 So I would ask any of my other fellow committee
21 members if they would like to reconsider their vote.
22 It's clear we have made a decision.

23 CHAIRPERSON BURK: I'd ask the same. Would
24 anyone like to reconsider their votes?

25 DR. KEEN: I would prefer not reconsidering

1 mine. I -- again, part of my concern is, if we are
2 going to re-analyze data, my sense is we should look --
3 we should be a little more uniform as to when we analyze
4 it and make sure that we have in some cases original
5 data sets.

6 Oftentimes, as we all know because we all
7 publish a lot, what is ultimately in a paper, there's a
8 lot of missing information, and difficulty sometimes
9 with doing post hoc analysis is we don't even know the
10 data which is missing that some other reviewer
11 previously had them take out.

12 So I'm a little bit concerned of doing that in
13 just highly-selective cases. I think, if we're going to
14 do it, we should do it with some level of regularity.

15 CHAIRPERSON BURK: This is difficult because I
16 know that, you know, after the vote a couple of us were
17 talking to Steve again, you know, about his analysis and
18 how strongly he felt about it and so forth.

19 The main thing that came to my mind is if we
20 had had a split vote, then we might have wanted to defer
21 the decision until we had a larger committee constituted
22 to look at it.

23 Whether that would make any difference in the
24 decision, I don't know. It would -- it would
25 essentially just defer it and have more people look at

1 it, but I don't think the data would change, so your
2 comment is well-taken.

3 The more I think about it, I'm -- I'm still
4 comfortable with the way I voted so -- even though I
5 respect what you've done with your calculations, I do
6 think there's something there. It just wasn't strong
7 enough for me. I don't know.

8 Linda, do you want to make a comment, too?

9 DR. ROBERTS: I was one of the ones who --
10 actually, I had asked -- is this on? Okay. I had asked
11 Steve if he could do that, and it turns out he can do it
12 pretty easily on his machine.

13 Carl's point is well-taken. I hadn't been
14 thinking about that when I asked him to -- if he could
15 look at a one-tailed and see if there was a difference
16 there that -- that clarified the data some.

17 But having -- now that he has done it, and
18 thinking at least ahead to the future where we have
19 these epidemiology studies, which are not my forte, I
20 guess I would like to see if that's something that could
21 be brought up in advance of a meeting so that we have
22 that information both for the OEHHA staff and the
23 presentations and the commenters as well as the
24 committee to take a look at when there are questions
25 about the potential different methodologies that could

1 be used.

2 DR. SAMUELS: Well, I would suggest that -- I
3 mean, if the committee were to defer this decision --
4 that OEHHA contact the authors, these are two recent
5 papers, and ask for either unpublished -- you know, data
6 that didn't -- you know, that either was cut out of the
7 reviews or even, in fact, for a re-analysis based along
8 the lines we've talked about because, as I say, I think
9 it turns out both analyses were biased against finding
10 an effect and, nonetheless, there appears to be one
11 there.

12 And in the case of genetic polymorphism, it --
13 there couldn't be a more specific prediction which was
14 borne out by the data that is in the single subgroup and
15 which, in effect, might be found. The effect was,
16 indeed, found, and in this case it was specific to the
17 infant and not to the mother, and there's a question
18 whether the --

19 So that, as a matter of, you know, public
20 health, I would prefer to see not a -- you know, a -- I
21 would prefer to see this data in more detail and a
22 clarified analysis, for example, of what would have
23 happened under analyses that she chose -- that they
24 chose not to publish.

25 There is certainly precedent in the past for

1 the committee considering unpublished data, and clearly
2 the OEHHA and we have re-analyzed data based on the
3 published papers, which is all, in fact, what I did.

4 I think that it's quite true, however, that --
5 you know, that -- I didn't -- I don't want to make any
6 assumptions about what a re-analysis would show, only I
7 suggest that it would be called for, and the other
8 committee members -- you can decide whether .04 really
9 meets your criterion, you know, in a one-sided level for
10 effect.

11 But in this case, it's -- it appears these were
12 well-conducted studies with the flaws that have been --
13 all the flaws that have been pointed out, and that's
14 why -- whereas, some of the other studies, including the
15 animal studies, I don't think I would ask for data
16 simply to try to stretch the evidence further than it
17 goes.

18 I can accept any decision the committee makes
19 clearly, but thought I would bring it up.

20 CHAIRPERSON BURK: Well, does anybody else have
21 any thoughts on this?

22 DR. KEEN: Well, just a clarification for my
23 mind. There's nothing to prevent, as I understand it,
24 OEHHA to ask for that information, get it done and then
25 re -- bring it back up to the committee.

1 That's -- that's -- that seems to me perhaps a
2 safer one than for us to put everything into abeyance
3 and just kind of wait to see what happens.

4 Because it's not like it's over at this point.
5 New evidence can be brought forth that would change the
6 evaluation, correct? Am I off in my understanding?

7 CHAIRPERSON BURK: Well, I know I -- I asked
8 that earlier today to Joan Denton, but she said it's
9 very unusual for a chemical to come up again after it's
10 been voted on, so it seems like --

11 DR. KEEN: That's because, oftentimes, we have
12 minimalistic information. If the -- if the information
13 significantly advances, then I would -- I would find it
14 highly appropriate if we would suddenly re-evaluate it.

15 And what we're talking about is two sets of
16 breaking data that almost, by definition, is probably
17 going to cry out for additional -- not just analysis,
18 but other people will try to replicate it.

19 So I would assume, if the tide clearly is going
20 in a different direction because of new issues, and
21 certainly in the genomic area and looking at SIP sort of
22 isoforms, I'd be very surprised if there's not fairly
23 fast replication or -- of the basic study design.
24 Whether the results are replicated is up in the air.

25 But I -- to me, that's seems a highly

1 appropriate way to do it. I'm just a little concerned
2 that we -- that we put too many things in the corner
3 saying that we will return to them.

4 With the data that we have in front of us, it
5 seems like it was a fairly straightforward finding to
6 me. But show me three, four new papers --

7 And this reminds me when we looked at
8 environmental tobacco -- or sidestream tobacco smoke.
9 The data were almost overwhelming that there was nothing
10 there, and yet I recall with some -- not chagrin, but
11 frustration that it was a few weeks after we met a
12 relatively definitive paper came out that would have
13 completely -- I think would have altered the thinking of
14 many people. But that's --

15 DR. DENTON: I guess the committee has a couple
16 of options.

17 You could leave your vote as stands and move
18 on.

19 You could re -- you could leave your vote as
20 stands on the developmental endpoint but request us
21 to -- to review those studies and to contact the -- I
22 mean, and revisit -- revisit the developmental endpoint,
23 you know, at a future meeting after we've had more time
24 to get into more of the details and statistics of those
25 particular studies.

1 You could leave your decision as stands today
2 and wait not only for that analyses but additional new
3 studies, as Dr. Keen has mentioned.

4 And the fourth -- which, I don't know, it just
5 seems to me -- I don't know that I would necessarily
6 recommend that -- would be just to obviate your vote
7 today and just wait for better times.

8 So I think it's -- just depends upon how
9 strongly the committee feels about the importance of
10 revisiting these epi studies, re-looking at the data or
11 waiting for additional data, and we can go either way --
12 we will go either way.

13 DR. JONES: I think we should leave our vote as
14 stands today and revisit this issue again in the
15 not-too-distant future and at the same time revisit the
16 issue of environmental tobacco smoke, both of them,
17 which we have not done and which is clearly something
18 that we should be doing, and at the same time revisit
19 this issue based on what Steve has come up with. But I
20 think we should leave our vote as stands today.

21 CHAIRPERSON BURK: Go ahead, Linda.

22 DR. ROBERTS: I was just going to say I'd agree
23 with that approach and second the thought about the
24 sidestream tobacco smoke.

25 DR. KEEN: I would -- I would echo that. In

1 fact, I specifically brought up the tobacco smoke issue
2 because I've been a wee bit surprised that we haven't
3 been asked to re-visit it given the fact the terrain
4 seems to have changed considerably since we did ours.

5 And if it turns out that there are structural
6 problems, then suddenly -- now, I'm going to do one of
7 these numbers. I start falling in Steve's camp because
8 for structural reasons it's another, you know, decade
9 before we could revisit it, even if the data comes in.

10 My opinion and position changes rather
11 abruptly. My assumption is there is no real structural
12 reason. It just hasn't happened yet for the tobacco
13 smoke.

14 CHAIRPERSON BURK: George.

15 DR. ALEXEEFF: Couple of comments. We'd be
16 happy to try to obtain any additional information from
17 the authors to see if there is this information and to
18 re-analyze those two studies and provide it at the next
19 meeting.

20 Second of all, we'd be happy to bring
21 environmental tobacco smoke as well. You may not be
22 aware, but we are in the -- we have just -- we are just
23 completing a study on sidestream smoke, and it's
24 actually undergoing review by a different committee, not
25 the CIC, but our Scientific Review Panel for Toxic Air

1 Contaminants, and that meeting is actually occurring at
2 the end of this month. So we will have our -- we will
3 get comments from them. We expect two meetings to do
4 that.

5 So I think we'll have -- probably have that
6 information, and we could provide you with the report.
7 It summarizes all the studies by the same staff that are
8 here, and you can just focus on the reproductive side.

9 We have a lot of things about asthma and other
10 things that don't pertain to this committee, but -- all
11 right.

12 CHAIRPERSON BURK: Excellent. Is that
13 satisfactory with you, Steve?

14 DR. SAMUELS: Of course.

15 CHAIRPERSON BURK: Okay. So I'm hearing
16 exactly what you just said, which is you would like to
17 get more information about these epidemiological
18 studies. We'd also like to read the -- and discuss the
19 environmental tobacco smoke at a future meeting,
20 preferably sooner than later.

21 MS. MONAHAN: Are you -- you saying that you're
22 going to leave your vote as it stood, right?

23 CHAIRPERSON BURK: That's what I'm hearing.

24 Okay. Next agenda item, IV, updates, who's
25 going to handle that? Oh, Cynthia is coming forward.

1 MS. OSHITA: Good afternoon. I'll just change
2 speeds here a little bit.

3 I've been asked to report to you on the status
4 of the chemical listings by the administrative list
5 mechanisms under Proposition 65.

6 And since the last DART committee meeting in
7 October of last year, OEHHA has administratively added
8 two chemicals, di-ethylhexylphthalate, DEHP, and
9 1,3-butadiene to the Proposition 65 list as known to
10 cause reproductive toxicity. We've also listed nine
11 chemicals for -- as known to cause cancer.

12 The complete current list is included in your
13 meeting materials behind the "Staff Updates" tab of your
14 binders.

15 Additionally, three public notices were
16 published in the California Regulatory Notice Register
17 and posted on the OEHHA website announcing, in the first
18 case, the proposed reproductive toxicity listing of five
19 chemicals, which include four phthalates, butyl benzyl
20 phthalate, di-n-butyl phthalate, di-n-hexyl phthalate
21 and di-isodecyl phthalate, and also 1-bromopropane.

22 In the second case, it is the proposed cancer
23 listing of one chemical, 2,4-hexadienal, and in the
24 third case is the proposed cancer listing of
25 riddelliine, spelled r-i-d-d-e-l-l-i-i-n-e, which is a

1 plant derivative used in herbal remedies and would --
2 should not be confused with Ritalin, which is spelled
3 R-i-t-a-l-i-n, a pediatric drug used to treat attention
4 deficit disorder.

5 Comments were received on the four phthalates
6 and also on 2,4-hexadienal, and those are currently
7 under review. The comment period remains open for
8 riddelliine until November 22nd.

9 And for 1-bromopropane we received no comment
10 during the data call-in phase; therefore, it moved
11 forward to the notice of intent to list phase and that
12 comment period will close next week on November 8th.

13 Another area in which OEHHA has been busy is in
14 developing the safe harbor levels for chemicals that are
15 already listed on the Prop 65 list, and these levels are
16 adopted in regulation and assist interested parties in
17 determining whether warnings are required for the
18 exposures to those listed chemicals and whether
19 discharges of those chemicals to sources of drinking
20 water are prohibited.

21 Since the last DART meeting, OEHHA has adopted
22 11 safe harbor levels, all of which have been no
23 significant risk levels, and a copy of the June 2004
24 Proposition 65 status report on safe harbor levels is
25 also included in your meeting materials with the newly

1 adopted levels noted in boldface for you.

2 Thank you.

3 CHAIRPERSON BURK: Thank you.

4 And then are we going to hear about the status
5 of perchlorate? George Alexeeff.

6 DR. ALEXEEFF: George Alexeeff. Well,
7 actually, first of all, Ms. Oshita made me remember
8 something.

9 Two years ago in December there were several
10 petitions that were discussed at the meeting, and two of
11 them were 1-bromopropane and 2-bromopropane. And as
12 you -- I will -- as you heard from Cynthia Oshita,
13 1-bromopropane has been listed.

14 So at that time the committee -- the DART
15 Committee had suggested that we wait until the CERHR
16 group and NTP complete their evaluation because, I
17 guess, it was -- we were all aware that it was
18 happening, and we waited for that, and we were able to
19 list it administratively.

20 We're still evaluating the information for
21 2-bromopropane, but we're likely to make a decision in
22 the near future on that one.

23 The third chemical which was discussed at that
24 meeting was perchlorate, and at that time the -- the
25 committee indicated that based upon the resources --

1 well, first of all, we indicated to you that we were in
2 the process of developing a public health goal under our
3 drinking water program and that we needed to complete
4 that process first until we began and initiated a DART
5 process on the chemical.

6 And the committee suggested, that after we
7 completed that process, we should go ahead and proceed
8 and look at it as under a DART circumstance.

9 So in March of 2004, so March earlier this
10 year, we did complete that public health goal, and
11 following the completion of that, we -- we had a -- our
12 data call-in procedure, which then ended, and we've
13 received information on the data call-in.

14 And at -- another factor that has kind of
15 occurred in this past year, US EPA has been in the
16 process of completing their perchlorate document as
17 well, and that document went to the National Academy of
18 Sciences for review.

19 And they -- they were able to review in their
20 document, the US EPA document, a number of studies that
21 were specifically sponsored by the defense industry that
22 US EPA reviewed. So these are basically, you know,
23 high-quality studies but are not published in the peer
24 review literature.

25 So we are awaiting NAS' review of the US EPA

1 report which, to our understanding, will come out either
2 this month or next month. So once we receive that
3 information, we can add it to looking at all the other
4 information, then proceed on perchlorate and bring it to
5 you as soon as we can.

6 So that's the story.

7 CHAIRPERSON BURK: Well, thank you, I think.
8 No, I -- that was very clear. Thank you very much.

9 So we've come to the last agenda item, summary
10 of committee actions and closing remarks.

11 Dr. Denton.

12 DR. DENTON: This morning the committee
13 declined to list chloroform as a developmental and
14 reproductive toxicant under the three endpoints:
15 developmental, male reproductive and female
16 reproductive.

17 However, the committee has requested that we do
18 additional analysis on the epidemiology data and bring
19 those analyses back to the committee at the next meeting
20 for consideration of -- or for re-looking at the
21 developmental endpoint.

22 For progesterone, the committee did not list
23 progesterone for the developmental endpoint. But for
24 the male and female reproductive endpoints, the decision
25 has been deferred pending a provisional language which

1 OEHHA will -- will propose to the committee, which could
2 be potentially part of that listing. So the -- the
3 decision to list based on male and female for
4 progesterone endpoints has been deferred.

5 In the prioritization process, the committee
6 basically endorsed and agreed with the draft
7 prioritization proposal as -- as presented, with the
8 idea that we need to keep an eye on animal studies and
9 that we use selected key words as we go through these
10 screens.

11 Dr. Keen suggested that we publish these
12 excellent reports, which have been prepared by OEHHA
13 staff, which can greatly aid in the discussion of these
14 items.

15 And, finally, the committee has requested that
16 OEHHA staff bring back for committee consideration of
17 listing environmental tobacco smoke.

18 So, closing -- and then I'll turn it over to
19 you, Dr. Burk -- I'd like to thank the committee for --
20 for their excellent work today.

21 I would like to thank my excellent staff, Jim
22 and Mari and Farla and Lauren and Poorni and Li and
23 George and Marlissa, and all the people who participate,
24 Cindy, Susan, in preparing for these meetings and for
25 your work.

1 And I'd also like to thank the people who
2 attended today for their participation.

3 So with that, I will turn it back to you for --
4 I guess for closing the meeting.

5 CHAIRPERSON BURK: All right. I was going to
6 thank the same people, so ditto for me, the committee,
7 staff, anyone that attended; and with that, the meeting
8 is adjourned.

9 (Proceedings concluded.)

10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25


REPORTER'S CERTIFICATE

---o0o---

STATE OF CALIFORNIA)
) ss.
COUNTY OF SACRAMENTO)

I, PHYLLIS MANK, certify that I was the Official Court Reporter and that I reported in shorthand writing the foregoing proceedings to the best of my ability; that I thereafter caused my shorthand writing to be reduced to typewriting, and the pages numbered 103 through 161, inclusive, constitute a complete, true and correct record.

In witness whereof, I have subscribed this certificate at Sacramento, California, on this 25th day of November, 2004.



PHYLLIS MANK, CSR No. 5093

1
2
3 REPORTER'S CERTIFICATE

4 ----o0o----

5
6 STATE OF CALIFORNIA)
7) ss.
8 COUNTY OF SACRAMENTO)

9
10 I, BALINDA DUNLAP, certify that I was the official reporter
11 and that I reported in shorthand writing the foregoing
12 proceedings; that I thereafter caused my shorthand writing to
13 be reduced to typewriting, and the pages included, constitute
14 a full, true, and correct record of said proceedings:

15
16 IN WITNESS WHEREOF, I have subscribed this certificate at
17 Sacramento, California, on this 12th day of December, 2004.

18
19
20
21
22
23
24
25


BALINDA DUNLAP, CSR NO. 10710, RPR, CRR, RMR