

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT
IDENTIFICATION COMMITTEE

JOE SERNA JR.
CALEPA HEADQUARTERS BUILDING
1001 I STREET
SIERRA HEARING ROOM
SACRAMENTO, CALIFORNIA

WEDNESDAY, NOVEMBER 29, 2017

10:02 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

COMMITTEE MEMBERS:

Ellen B. Gold, Ph.D., Chairperson

Patrick Allard, Ph.D.

Diana Auyeung-Kim, Ph.D.

Suzan Carmichael, Ph.D.

Ulrike Luderer, Ph.D., M.P.H.

Aydin Nazmi, Ph.D.

Isaac Pessah, Ph.D.

Charles Plopper, Ph.D.

Tracey Woodruff, Ph.D., M.P.H.

STAFF:

Dr. Lauren Zeise, Acting Director

Mr. Alan Hirsch, Chief Deputy Director

Ms. Carol Monahan Cummings, Chief Counsel

Mr. Carl DeNigris, Staff Counsel

Dr. James Donald, Chief, Reproductive Toxicology and
Epidemiology Section

Dr. Poorni Iyer, Reproductive and Cancer Hazard Assessment
Branch

Dr. Farla Kaufman, Reproductive and Cancer Hazard
Assessment Branch

Dr. Francisco Moran, Reproductive and Cancer Hazard
Assessment Branch

A P P E A R A N C E S C O N T I N U E D

STAFF:

Ms. Michelle Ramirez, Environmental Scientist, Proposition
65 Implementation Program

Dr. Martha Sandy, Chief, Reproductive and Cancer Hazard
Assessment Section

ALSO PRESENT:

Ms. Josephine Alvarado, Campesinas Unidas Del Valle De San
Joaquin

Dr. Carol Burns, Dow AgroSciences

Ms. Lucia Calderon, Safe Ag Safe Schools

Mr. Benny Corona, Coalition Advocating for Pesticide
Safety

Ms. Carol Erickson, Safe Ag Safe Schools

Ms. Katley Falconer, Coalition Advocating for Pesticide
Safety

Dr. Katherine Foster, Physicians for Social
Responsibility, American Academy of Pediatrics

Mr. Angel Garcia, Coalition Advocating for Pesticide
Safety

Mr. Raul Garcia, Coalition Advocating for Pesticide Safety

Ms. Sandra Garcia, Campesinas Unidas Del Valle De San
Joaquin

Dr. Kim Harley, University of California, Berkeley

Dr. Dalan Juberg, Dow AgroSciences

Ms. Kathleen Kilpatrick, Safe Ag Safe Schools

Mr. Stanley Landfair, Dow AgroSciences

Dr. Ann Lopez, Center for Farmworker Families

A P P E A R A N C E S C O N T I N U E D

ALSO PRESENT:

Dr. Emily Marquez, Pesticide Action Network

Dr. Jay Murray, National Oilseed Processors Association,
Institute of Shortening and Edible Oils, Grocery
Manufacturers Association

Mr. Woody Rehanek, Safe Ag Safe Schools

Ms. Miriam Rotkin-Ellman, Natural Resources Defense
Council

I N D E X

		PAGE
I	Welcome and Opening Remarks	1
II	Consideration of Chlorpyrifos as Known to the State to Cause Reproductive Toxicity, based on Developmental Toxicity:	
	Staff presentation	10
	Committee discussion	15
	Public comments	77,
		146
	Committee discussion and decision	144,
		146
III	Consideration of n-Hexane as Known to the State to Cause Reproductive Toxicity:	
	Staff presentation	149
	Committee discussion	156
	Public comments	179
	Committee discussion and decision	188
IV	Consent Item - Update of the California Code of Regulations Title 27 Section 27000 List of Chemicals Which Have Not Been Adequately Tested as Required	192
V	Staff Updates	
	Chemical listings via the administrative listing mechanisms	197
	Proposition 65 litigation	199
VI	Summary of Committee Actions	201
	Adjournment	203
	Reporter's Certificate	204

P R O C E E D I N G S

1
2 DIRECTOR ZEISE: Good morning, everyone. Hello.
3 I'm Lauren Zeise. I'm director of the Office of
4 Environmental Health Hazard Assessment. I'd like to
5 welcome you all to this meeting of the Development and
6 Reproductive Toxicant Identification Committee. We have
7 two main things on the agenda. The consideration of
8 chlorpyrifos and the consideration of n-hexane for
9 potential listing under Proposition 65. We also have a
10 consent item and some staff updates.

11 So before we move towards the Committee business,
12 I'd like to go over a few logistics and also introduce the
13 Panel and staff.

14 So first, simple logistics. Drinking fountains
15 and restrooms are located out the back door and to the
16 left end of the hall. You just go out the back, turn to
17 the left, and they're located on the right side. In the
18 event of a fire alarm, or any reason to evacuate this
19 room, please leave by the lighted exits, and then take the
20 steps down this -- down -- go down the stairs and then go
21 outside and we'll locate across the street in the park.

22 So this meeting is being transcribed. It's also
23 being translated into Spanish for Spanish speakers in the
24 audience. And it's also being webcast. So please,
25 everyone, speak clearly into the microphones and give your

1 name for the record.

2 I believe we're also going to have -- yes, we do
3 have a interpreter, an American sign language interpreter
4 here to my right. We'll also be taking breaks during the
5 meeting for the court reporter and for the interpreters.

6 Okay. So now, I'd like to introduce the
7 Committee, the Development and Reproductive Toxicant
8 Identification Committee, which we'll periodically refer
9 to as the DARTIC.

10 So just starting at the far end here, we have Dr.
11 Aydin Nazmi from the California Polytechnic State
12 University, San Luis Obispo; Dr. Suzan Carmichael,
13 Stanford University School of Medicine. A new Committee
14 member, who will be shortly sworn in, Dr. Patrick Allard,
15 UCLA's School of Public Health. Next to him Dr. Ulrike
16 Luderer, UC Irvine, School of Public Health. Then our
17 Chair, Dr. Ellen Gold, UC Davis School of Medicine. Next
18 to me, to my left, is Dr. Isaac Pessah, UC Davis School of
19 Veterinary Medicine. Next to him Dr. Charles Plopper, UC
20 Davis School of Veterinary Medicine. And then Diane --
21 Dr. Diana Auyeng-Kim, Genentech.

22 Okay. Great. So welcome, Committee.

23 Now, I'll turn to the OEHHA staff. So seated in
24 the front at this long table we have Dr. Allan Hirsch, our
25 Chief Deputy Director; next to him Carl DeNegris, staff

1 counsel; then Carol Monahan-Cummings, Chief Counsel;
2 Martha Sandy, Branch Chief of the Reproductive and Cancer
3 Hazard Assessment Section, or RCHAB; next to her, staff
4 toxicologist Poorni -- Dr. Poorni Iyer; next to her, Dr.
5 Farla Kaufman, staff toxicologist; and then Dr. James
6 Donald, who is Chief of the Reproductive Toxicology and
7 Epidemiology Section.

8 Then our Proposition 65 Implementation staff.
9 And maybe if you could stand and wave, so people know who
10 to give the cards to for speaking. We have Esther
11 Barajas-Ochoa, and Michelle Ramirez, and then also Julian
12 Leichty. And then in the audience Sam Delson, our Deputy
13 Director for External Affairs. So welcome, everyone. And
14 with that, now we'll turn to give the oath of office to
15 the Patrick -- Dr. Patrick Allard.

16 So if you'd like to stand up, Dr. Allard. Okay.
17 So if you'd hold up your right hand.

18 Is your mic on?

19 (Laughter.)

20 DIRECTOR ZEISE: Okay. Very good.

21 Okay. "I --

22 COMMITTEE MEMBER ALLARD: I --

23 DIRECTOR ZEISE: -- state your name --

24 COMMITTEE MEMBER ALLARD: -- Patrick Allard --

25 DIRECTOR ZEISE: -- "do solemnly swear" --

1 COMMITTEE MEMBER ALLARD: -- do solemnly swear --

2 DIRECTOR ZEISE: -- "that I will support and
3 defend" --

4 COMMITTEE MEMBER ALLARD: -- that I will support
5 and defend --

6 DIRECTOR ZEISE: -- "the Constitution of the
7 United States" --

8 COMMITTEE MEMBER ALLARD: -- the Constitution of
9 the United States --

10 DIRECTOR ZEISE: -- "and the Constitution of the
11 State of California" --

12 COMMITTEE MEMBER ALLARD: -- and the Constitution
13 of the State of California --

14 DIRECTOR ZEISE: -- "against all enemies" --

15 COMMITTEE MEMBER ALLARD: -- against all
16 enemies --

17 DIRECTOR ZEISE: -- "foreign and domestic" --

18 COMMITTEE MEMBER ALLARD: -- foreign and
19 domestic --

20 DIRECTOR ZEISE: -- "that I will bear true faith
21 and allegiance" --

22 COMMITTEE MEMBER ALLARD: -- that I will bear
23 true faith and allegiance --

24 DIRECTOR ZEISE: -- "to the Constitution of the
25 United States" --

1 COMMITTEE MEMBER ALLARD: -- to the Constitution
2 of the United States --

3 DIRECTOR ZEISE: -- "and the Constitution of the
4 State of California" --

5 COMMITTEE MEMBER ALLARD: -- and the Constitution
6 of the State of California --

7 DIRECTOR ZEISE: -- "that I take this obligation
8 freely" --

9 COMMITTEE MEMBER ALLARD: -- that I take this
10 obligation freely --

11 DIRECTOR ZEISE: -- "without any mental
12 reservation" --

13 COMMITTEE MEMBER ALLARD: -- without any mental
14 reservation --

15 DIRECTOR ZEISE: -- "or purpose of evasion" --

16 COMMITTEE MEMBER ALLARD: -- or purpose of
17 evasion --

18 DIRECTOR ZEISE: -- "and that I will well and
19 faithfully" --

20 COMMITTEE MEMBER ALLARD: -- and that I will well
21 and faithfully --

22 DIRECTOR ZEISE: -- "discharge the duties" --

23 COMMITTEE MEMBER ALLARD: -- discharge the duties

24 DIRECTOR ZEISE: -- "upon which I am about to
25 enter".

1 COMMITTEE MEMBER ALLARD: -- about which I'm
2 about to enter.

3 DIRECTOR ZEISE: "Upon which" --

4 COMMITTEE MEMBER ALLARD: -- upon which I'm about
5 to enter.

6 DIRECTOR ZEISE: -- "I'm about to enter".

7 Okay. Congratulations and welcome to the DARTIC.

8 COMMITTEE MEMBER ALLARD: Thank you.

9 (Applause.)

10 DIRECTOR ZEISE: Okay. And now, Carol
11 Monahan-Cummings will give some introductory comments.

12 CHIEF COUNSEL MONAHAN CUMMINGS: Good morning. I
13 just wanted to remind the Committee of a few items. I
14 know that you've heard these before, except for maybe Dr.
15 Allard. But since we only meet once a year, so I try to
16 do these reminders for each meeting.

17 First, I'd like to remind you that in your
18 binders, and in the materials that we provided you
19 earlier, there is criteria -- scientific criteria that was
20 developed by an earlier iteration of this Committee for
21 listing chemicals under Proposition 65.

22 If you have questions about the data that you're
23 looking at for a particular chemical, please refer to the
24 criteria, which are in the back of the binder, that you
25 were given today under the tab "Criteria". Those are

1 scientific criteria that were developed by the Committee.
2 And the intent of those is to provide guidance. There's
3 lots of room for judgment calls in the criteria for good
4 reason.

5 Obviously, science moves forward, and the
6 application of the criteria has to move with the science.
7 And so hopefully that criteria is useful to you.

8 The charge for this Committee has to do with
9 listing chemicals under Proposition 65. Sometimes,
10 through some of the comments that you hear, you'll be told
11 other information that has to do with the impact of a
12 particular listing, for example, whether or not a warning
13 is or might be required for that chemical, or particular
14 impacts on certain sectors of the economy.

15 While that information is helpful in a general
16 sense, it isn't part of the criteria for this Committee,
17 and so you should apply the criteria that you have
18 available in your binders, in addition to applying your
19 own scientific judgment on the questions that are put
20 before you.

21 You'll also hear about the clearly-shown
22 standard, which is part of the statute. You're required
23 to find whether or not a chemical has been clearly shown
24 through scientifically valid testing, according to
25 generally accepted principles to cause developmental

1 toxicity or reproductive toxicity. This is a scientific
2 question, and is not a legal standard of proof.

3 This Committee is also allowed and often does
4 make decisions based entirely on animal evidence. The
5 chemicals that you are considering do not need to have
6 been shown to be human reproductive toxicants. You don't
7 need to have information about whether or not human
8 exposures to the chemicals are sufficiently high enough to
9 cause reproductive toxicity or developmental toxicity in
10 order to list the chemical.

11 The members of this Committee are very well
12 qualified scientists. You were appointed to the Committee
13 by the Governor, because of your scientific expertise.
14 And you don't need to feel compelled to go outside that
15 charge and make other kinds of decisions.

16 In the event that you have, or you feel you have,
17 insufficient information or questions that need to be
18 responded to, or you need more time to think or discuss
19 the questions that are before you, there is no requirement
20 that you make a decision today on any of the questions
21 that will be presented. You can always ask the staff to
22 respond to a question, or prepare additional information,
23 and you can ask to defer the question to another meeting.

24 Does anybody have any questions on that?

25 Thank you.

1 DIRECTOR ZEISE: Thank you, Carol.

2 Okay. Now, I'll turn the meeting over to Dr.
3 Gold.

4 CHAIRPERSON GOLD: Thank you. Good morning.
5 Before we begin, I want to say something about public
6 comments. We'll get to those a little bit later, but
7 if -- but our usual process is that each speaker has five
8 minutes, except for those that have made a request by
9 October 30th for a different amount of time for longer
10 comments. There are blue cards available in the back on
11 the back table. So if you wish to make a comment, please
12 fill out the card and return it either to Esther or
13 Michelle.

14 Also, before we begin, I want to make a
15 disclosure. So I participated in the U.S. EPA's 2012
16 Scientific Advisory Panel review of chlorpyrifos. I was
17 on the panel and was the lead discussant on the
18 epidemiologic studies regarding child health described in
19 the 2014 EPA risk assessment document, and provided
20 responses to the charge questions posed by EPA.

21 We also discussed the responses in a public
22 meeting of the Scientific Advisory Panel. We did not
23 recommend any regulatory actions for EPA to take regarding
24 chlorpyrifos.

25 And Dr. Pessah also has a disclosure.

1 COMMITTEE MEMBER PESSAH: Yes. I wish to
2 disclose my participation in the 2016 EPA FIFRA Advisory
3 Panel. The Panel was convened to advise the U.S. EPA
4 regarding the evaluation of biomonitoring data on
5 chlorpyrifos from a epidemiological studies. I
6 participated as a member of the Scientific Review Board.
7 We did not recommend any specific regulatory actions for
8 EPA to take regarding chlorpyrifos.

9 CHAIRPERSON GOLD: Thank you.

10 So at this point, I'll turn it over to Dr. Sandy
11 for a staff presentation.

12 (Thereupon an overhead presentation was
13 presented as follows.)

14 DR. SANDY: Thank you very much and good morning
15 to everyone.

16 My name is Martha Sandy, and I will provide you
17 with a bit of background on chlorpyrifos, the first
18 chemical you'll be considering today.

19 So nine years ago in 2008, chlorpyrifos was
20 considered, but not listed by this Committee. Since that
21 time, many studies have been published on chlorpyrifos,
22 and a great many of those have been focused on
23 developmental toxicity. Today, you are considering
24 whether chlorpyrifos should be listed as known to cause
25 reproductive toxicity based on the developmental toxicity

1 endpoint.

2 I will now turn this over to Dr. Jim Donald who
3 will provide a brief overview of the hazard identification
4 materials provided to this Committee.

5 --o0o--

6 DR. DONALD: Thank you, and good morning.

7 Okay. As you've just heard, chlorpyrifos was
8 previously considered by this Committee in 2008. And
9 since that time, substantial new epidemiological and
10 toxicological data on chlorpyrifos have become available,
11 particularly in the area of neurobehavioral developmental
12 toxicity.

13 Because of the volume and complexity of those
14 data, your being asked today only to consider the
15 developmental endpoint, but the other relevant endpoints,
16 such as male or female reproductive toxicity may be
17 considered by this Committee at future meetings.

18 --o0o--

19 DR. DONALD: In terms of the materials provided
20 to you for this meeting, consistent with our usual
21 practice when there is a recent comprehensive review of
22 toxicity of a chemical prepared by another body, we
23 provided that to you in lieu of OEHHA developing its own
24 hazard identification document. So we provided you with
25 two iterations of the U.S. EPA Human Health Risk

1 Assessment. The revised version is published in 2014 and
2 2016. And these reports, in particular the 2014 report,
3 extensively review the relevant scientific literature on
4 chlorpyrifos and developmental toxicity. Those documents
5 also covered other areas of toxicity. So for the
6 Committee's convenience, we excerpted the sections of the
7 reports that are relevant to developmental toxicity and
8 provided those to you.

9 We also provided you with copies of the studies
10 relating to developmental toxicity of chlorpyrifos that
11 were cited in the U.S. EPA report, so practically all of
12 the studies that were cited in those excerpted sections.

13 OEHHA also conducted its own additional
14 literature searches for additional information on the
15 developmental toxicity of chlorpyrifos that were not
16 reviewed in the 2014 or 2016 U.S. EPA reports, or in the
17 materials that the DARTIC had reviewed in 2008. And we
18 provide you with copies of all of the relevant studies
19 that we identified.

20 And finally, again, consistent with our usual
21 practice, these materials were released for public
22 comment. And all of the comments received were provided
23 to the Committee.

24 --o0o--

25 DR. DONALD: We also provided you with all of the

1 materials that the Committee had reviewed in 2008,
2 including the public comments that were received on those
3 materials at that time. And again, for the Committee's
4 convenience, we excerpted the sections of our 2008 hazard
5 identification document that dealt with developmental
6 toxicity.

7 We also provided you with copies of the studies
8 that relate to developmental toxicity that were cited in
9 that 2008 hazard identification document.

10 --o0o--

11 DR. DONALD: So, in total, the scope of the
12 information before you today is comprised of 390 papers or
13 reports relevant to developmental toxicity of
14 chlorpyrifos. Three hundred and seventeen of those
15 reports were reviewed either by U.S. EPA in the 2014 or
16 2016 documents, or by OEHHA in 2008, or in some cases by
17 both groups.

18 We also provided you with an additional 73
19 reports that were not cited in any of those three review
20 documents. Most of those were published subsequent to the
21 2014 U.S. EPA report -- excuse me, review.

22 --o0o--

23 DR. DONALD: So as we said, there's a substantial
24 amount of additional information on chlorpyrifos since the
25 last time the Committee looked at this chemical. The 390

1 papers include a great number of papers that provide
2 direct empirical evidence on developmental toxicity of
3 chlorpyrifos, but they also include a number of other
4 studies on other related areas, such as potential
5 mechanisms of action, and human exposures, and so forth.

6 We have identified at least 81 additional
7 publications since 2008 that provide direct empirical
8 evidence on developmental toxicity of chlorpyrifos and
9 provided those to the Committee. Eighteen of those were
10 reported on epidemiologic studies in humans, and 63
11 reported on experimental studies in animals.

12 --o0o--

13 DR. DONALD: And finally, the additional studies
14 that were not reviewed by OEHHA or by U.S. EPA are
15 comprised of three human studies, two of which looked at
16 neurodevelopmental endpoints, 25 studies and other
17 mammalian species, 19 of which looked at
18 neurodevelopmental endpoints. And I'll take this
19 opportunity to just remind the Committee that as discussed
20 in the 2008 hazard identification document, the early
21 postnatal period and common rodent models, such as rat and
22 mouse, are developmentally equivalent to pre -- the
23 prenatal developmental period in humans. And therefore
24 data from post-natal exposures up to at least day 10 and
25 possibly a little later in rats and mice are relevant to

1 your deliberations today.

2 We also provided you with 14 studies, and --
3 using the relatively new -- or relatively recently
4 developed zebrafish model; fifteen studies that looked at
5 potential mechanisms of action for neurodevelopmental
6 toxicity following in vivo exposures in animals; and 21
7 other papers covering a variety of related topics such as
8 in vitro mechanistic studies on enzymes and paraoxonases
9 and so forth.

10 --o0o--

11 DR. DONALD: So I will stop there, and I'll be
12 happy to answer any questions you have.

13 CHAIRPERSON GOLD: Do any Committee members have
14 any questions of the staff?

15 CHAIRPERSON GOLD: Seeing none, we will move on
16 to Committee discussion, and we'll start with -- we're
17 going to start with animal studies of neurobehavior and
18 neurodevelopment. And the first discussant is Dr. Pessah,
19 who has a presentation, I believe.

20 COMMITTEE MEMBER PESSAH: Eventually, we'll get
21 to that. I'll present a couple slides.

22 CHAIRPERSON GOLD: Okay. You'll clarify for us.
23 Okay.

24 COMMITTEE MEMBER PESSAH: Good morning, and thank
25 you. I was asked to review the animal behavior

1 literature, which involves several hundred papers, on, in
2 particular, developmental neurotoxicity or evident
3 scientifically sound evidence for developmental
4 neurotoxicity.

5 Since I'm also the first speaker, I felt like I
6 need to put the issue in context of some of the areas that
7 other speakers will be presenting. So first a few facts
8 about chlorpyrifos. It's a broad spectrum insecticide,
9 meaning that it really targets many different species with
10 application. It's actually the most highly used chemotype
11 in agricultural and industrial professional pest control.

12 In a recent review from Casida and Bryan
13 published in 2017 listed as the number one insecticide,
14 single insecticide used in the world with 46,500 metric
15 tons used annually, which translates into about 102 and a
16 half million pounds per year, at a sales of about half a
17 billion.

18 In the California Peer Report in 2015, in
19 California particularly, there was about four and a half
20 million pounds used. And that makes -- of insecticides,
21 and of which 1.1 million pounds were chlorpyrifos, about
22 25 percent. So chlorpyrifos use is predicted by the Grand
23 View Research to increase through 2022. So it is an
24 environmentally relevant compound.

25 So in assessing behavioral consequences of

1 chlorpyrifos, one has to address not only the behavioral
2 outcomes, but also biological plausibility that produce
3 these behavioral outcomes. And I'll try to hit on some of
4 the major points that need to be addressed as one goes
5 through AOP or adverse outcome pathway.

6 Once you identify the chemical in this case, it's
7 chlorpyrifos, but also its metabolite, chlorpyrifos oxon,
8 you have to ask what are the levels of exposure and what
9 level should you target in animal studies?

10 What are the molecular mechanisms in response?
11 Well, chlorpyrifos is an organothionate, which has to be
12 metabolized to an oxon in order to inhibit its primary
13 target acetylcholinesterase. So one needs to accommodate
14 both for metabolic activation, but also metabolic
15 inactivation of the active material.

16 One needs to address what are the tissue
17 responses and how do they relate to possible in vivo
18 outcomes. Is there frank neuropathology or is it so much
19 more subtle that a pathologist won't pick up on biological
20 responses as they relate to changes, let's say, in the
21 neuronal network organization.

22 Of course, we have to define clearly -- we have
23 to clearly define health outcomes. And then we also need
24 to account for genetic susceptibility, either at the
25 metabolic level or at the end target.

1 So why is chlorpyrifos relevant and what makes it
2 different from other organophosphates?

3 As I mentioned, it's a phosphorothionate with two
4 ethyl esters, the third ester is what makes it special.
5 It's a trichloropyridinol group, which means that it's a
6 halogenated organic, which contains a 6-membered ring, 5
7 rings -- 5 members of that ring are carbon, 1 is nitrogen.
8 So the thionate does not inhibit acetylcholinesterase.
9 But once it's metabolized to the oxon, it's a potent
10 inhibitor of acetylcholinesterase. And I'll get to how
11 potent that is in a second.

12 But the outcome if you talk about lethal toxicity
13 in the short-term exposure, that ranges quite a bit from
14 species to species. The lowest I could find in the
15 literature was around 5 milligrams per kilogram, which
16 makes it extremely toxic. This is in certain species of
17 wild birds to about 100 to 200 milligrams per kilogram in
18 lab animals, such as rats and mice to some resistant
19 organisms, where the toxicity is greater than about 1000
20 mg/kg this includes the rabbit.

21 These differences are likely due to different
22 levels of carboxylesterases in the blood, which serve as a
23 sink for the active principal, binds it up, and keeps it
24 from targets that are relevant.

25 There are additional detoxifying mechanisms, such

1 as PON1, which I'm sure you'll hear about later, which
2 metabolize chlorpyrifos to a DAP, a dialkyl phosphate, and
3 TCP, which is 2,3,5-trichloropyridine. The dialkyl
4 phosphates are not specific to just chlorpyrifos.
5 Virtually every other organophosphate that's metabolized
6 generates dialkylphosphate. So if you measure
7 dialkylphosphates you're not measuring chlorpyrifos alone.
8 You're measuring the aggregate of all organophosphate that
9 the animal or the individual has been exposed to.

10 What makes chlorpyrifos also unique relevant to
11 the other 12 major organophosphates used, the top 12, is
12 that it has a log P, a lipid water partition coefficient,
13 of 5, which makes it much more lipophilic than 10 of the
14 other top organophosphates. What does that mean?

15 It means that it can distribute into fat, it can
16 distribute across the blood-brain barrier, and it can get
17 to the brain and have a distribution between lipid
18 compartments and actual target sites, which are proteins.

19 So in terms of exposure, human exposures have
20 been identified. And I'm just going to touch on this.
21 But a study from UC Berkeley, the CHAMACOS study,
22 identified 70 to 80 to 90 percent of individuals measured
23 either at the maternal side or the cord blood side have
24 detectable levels, measurable levels of chlorpyrifos in
25 those samples.

1 California -- Californians are exposed, in
2 general, to chlorpyrifos. And this seems to be correlated
3 to proximity to application sites, as shown by Dr.
4 Hertz-Picciotto in the CHARGE Study.

5 Chlorpyrifos can be found in breast milk. A
6 study published by UC Berkeley in the Journal of
7 Environmental Monitoring in 2011 indicated 90 percent of
8 urban and ag workers in California have detectable levels.
9 So it's sufficiently lipophilic to get into various
10 compartments of concern when you talk about developmental
11 neurotoxicity.

12 Does CPF cross the blood-brain barrier in
13 animals. There are several studies primarily from the
14 ARIC lab, published in a series of papers, that clearly
15 show that CPF, as I'll call it for short, not only can
16 cross the blood-brain barrier, but interacts with the
17 blood-brain barrier both by incorporating into those cells
18 that make up the blood-brain barrier, but also changes the
19 resistivity, or the permeability of the blood-brain
20 barrier at relatively low concentrations, concentrations
21 that are in the neighborhood of 1 micromolar.

22 Chronic exposure during the perinatal period
23 does, in fact, alter these tight junctions that form that
24 permeability barrier in the BBB, the blood-brain barrier,
25 and targets have been identified. Molecular targets have

1 been identified within those tight junctions.

2 So in my review of the literature, I tried to
3 find whether there was any evidence that chlorpyrifos
4 actually is in embryonic brain samples. Obviously, a very
5 difficult study to do, if not impossible. But there are
6 two pieces of information that can be gleaned from the
7 human literature, the clinical literature where postmortem
8 brains were harvested from SID individuals. These are
9 fetuses that have undergone sudden intra -- intrauterine
10 unexplained death syndrome, SIUD, or sudden infant death
11 syndrome, SIDS, which clearly showed measurable levels of
12 chlorpyrifos in the brain of those fetuses. Not all of
13 them, but a fraction of them that was statistically
14 defensible.

15 One of those papers I have to admit I actually
16 couldn't find the data. It was actually the text portion
17 in Frontiers of Neurology, but I imagine it underwent peer
18 review.

19 The next question that I tried to address in
20 animal studies is this CPF cross-placental barriers during
21 gestation and does it alter the integrity of the placental
22 barrier. Ridano just published a paper in Toxicology and
23 Applied Pharmacology on the impact of chlorpyrifos on
24 human villous trophoblasts and chorionic villi. They
25 evaluated the effects of CPF on human placenta using in

1 vitro methods and ex vivo exposure animal models.

2 Basically, what they found that was chlorpyrifos
3 in the neighborhood of 10 to 100 micromolar increases the
4 expression of key barrier proteins that are involved in
5 shuttling chlorpyrifos out of the fetus, in other words,
6 protective mechanisms. And it turns out that one of those
7 transporters is, what we call, an ATP-binding transporter,
8 for short ABCG2.

9 And it turns out that chlorpyrifos is a substrate
10 for ABCG2. So in other words, it can bind to that
11 transporter and the transporter shuttles it out. Okay.
12 So that would be considered a protective mechanism.

13 However, it turns out that studies unrelated to
14 chlorpyrifos have shown that there's a high level of
15 polymorphism in ABCG2, which, in fact, inactivates that
16 transporter. And so one has to now think that although
17 there are these defensive mechanism at the placental
18 barrier, that there are polymorphisms in the human
19 population which impact the efficiency of that transport
20 mechanism. So that needs to be considered as we review
21 both animal and human studies.

22 So what are the molecular targets of
23 chlorpyrifos? Acetylcholinesterase inhibition is a very
24 active catalytic enzyme, primarily present at virtually
25 all nicotinic and muscarinic synapses.

1 Why is that important?

2 Well, it turns out that nicotinic and muscarinic
3 synapses are key to neurotransmission, both in the
4 developing nervous system, as well as early postnatally
5 and obviously throughout life in terms of potential acute
6 effects. But in particular, acetylcholinesterase breaks
7 down acetylcholine at all central cholinergic locations.
8 And so an imbalance in cholinergic signaling will have an
9 impact on the level of excitability in the central nervous
10 system.

11 And we know that during development, the
12 excitability of the nervous system really dictates neural
13 network connectivity. So one needs to keep that in mind.
14 It may be short of producing measurable histopathological
15 lesions that can be seen under light microscope, but
16 certainly what can be seen with more sophisticated
17 techniques that actually measure network connectivity and
18 network morphometry.

19 So let me go on to another mechanism that's been
20 proposed for chlorpyrifos and is related to
21 acetylcholinesterase is that acetylcholinesterase, in
22 addition to its catalytic function, also has a morphogenic
23 function. That is, you don't need to hydrolyze
24 acetylcholine with acetylcholinesterase for
25 acetylcholinesterase to influence the growth and

1 development of neurons during development. It has a
2 morphogenic function. And the work from the Lien Lab
3 initially at Johns Hopkins in a series of papers and then
4 her tenure at OHSU, and currently at UC Davis clearly
5 shows that acetylcholinesterase has morphogenic functions,
6 both in the peripheral nervous system, but also the
7 central nervous system, and that chlorpyrifos can
8 influence those morphogenic functions at relatively low
9 levels.

10 And possibly these are the most potent effects
11 that have been measured for chlorpyrifos. For
12 cholinesterase inhibition, the halfway point for
13 chlorpyrifos oxon is approximately 1 to 3 nanomolar. This
14 has been published. And my own lab has replicated those
15 results. It is an extremely potent inhibitor the
16 catalytic activity of acetylcholinesterase. Let me give
17 you a little bit of a comparison.

18 One of the widely used drugs in controlling
19 Alzheimer's symptoms is tacrine. It is not an
20 organophosphate, but its target is acetylcholinesterase in
21 the brain.

22 It's affinity, tacrine, for acetylcholinesterase
23 is about 100 nanomolars. So this is a prescription drug
24 that has been designed to target brain cholinesterase.
25 And its potency, at the acetylcholinesterase, is about 100

1 nanomolar. Chlorpyrifos oxon is about 100 times more
2 potent than tacrine.

3 And that's the other distinguishing feature that
4 once it binds, it doesn't come off. It's irreversible.
5 So whereas, you can expect effects with tacrine to wane,
6 when you stop exposure, the effects of chlorpyrifos oxon
7 will be persistent until the enzyme turns over.

8 And there are indications that acetylcholine
9 turns over rapidly, and so you can have both spontaneous
10 reactivation, but also replacement of acetylcholinesterase
11 that's bound to the chlorpyrifos oxon. That's
12 phosphorylated by the chemical.

13 So in terms of the non-catalytic activity though,
14 those seem to occur at much lower levels. They occur
15 somewhere in the picomolar to nanomolar range. So that's
16 about 100- to a 1000-fold shift in potency. Those can be
17 reviewed, if we go through mechanisms. So I'm not going
18 to belabor the point, but the data seems to be quite
19 strong, in terms of the morphogenic effects chlorpyrifos.

20 Other targets that were discussed in the
21 materials that were handed out are endocannabinoid as a
22 mechanism the fatty acid metabolism that leads to
23 endocannabinoid synthesis. In particular, the enzyme FAAH
24 and MAG lipase. These are enzymes that process
25 endocannabinoids in the central nervous system, as well as

1 other neurotransmitters such as serotonin and more general
2 mechanisms, which could involve any mechanism actually,
3 such as oxidative stress. But those seem to be less
4 sensitive targets in general to CPF and CPFO modification
5 than either the catalytic functions of
6 acetylcholinesterase or its morphogenic functions.

7 So now I'm going to get to the behavioral data,
8 and because there were well over 300 papers, I'm actually
9 going to summarize quite a bit.

10 (Laughter.)

11 COMMITTEE MEMBER PESSAH: So yes. They are.

12 So what I'm about to handout, and the table being
13 projected, are from Burke et al. just published a few
14 months ago, which really didn't summarize 300 papers, but
15 they summarized trends in the data from animal studies.
16 They also point out their relationship to the human
17 studies, but I'm only going to focus on the animal
18 studies.

19 And when you review this, there are two ways that
20 you can look at it. You can look at it that nothing is
21 consistent from study to study. But here are the facts
22 that need to be taken into account, at least the facts
23 that I took into account.

24 Route of exposure. With animals, you have quite
25 a bit of liberty. You can decide on an oral route, and

1 that oral route can either be through feeding studies, but
2 more frequently it's a gavage study. And so you're
3 handling the animal and forcing the material orally so
4 that it's bioavailable to the animal, a much more accurate
5 way of doing things, than feeding studies, but, in fact,
6 there's a lot of stress involved with gavage.

7 I.P. injections have also -- are part of this
8 data set. The most recent is a subcutaneous route which
9 has many benefits, because apparently if you administer
10 chlorpyrifos subcutaneously, its distribution and
11 pharmacokinetics are much more likely to reflect dermal
12 exposure, which is the main route of human exposure, at
13 least in applicators and farm workers, but also, I believe
14 in -- another route is oral exposure through food
15 contamination, but mainly through dermal exposure.

16 So when we look at the animal studies, what I
17 focused on were animal studies that actually were within
18 1- to 10-fold of the benchmark response modeling doses
19 that EPA has proposed. And these are based on a 10
20 percent drop in blood or brain cholinesterase. And so as
21 you can imagine, if you assume that you have a one to
22 three nanomolar affinity for cholinesterase, that, in
23 fact, a 10 percent drop is a quite sensitive endpoint.
24 And the point was made that the BMR is based on 10
25 percent, because it's a very reliable measure, that you

1 can actually measure a 10 percent drop in cholinesterase.

2 And so the BMR -- I'm sorry, the BMD for these
3 types measures, if you read the literature and the
4 material that was set out, ranges between 1.3 and 1.5
5 mg/kg per day. And so if you want a study that really
6 reflects the BMD, you want to look at studies that are
7 within a 10-fold in rats and mice.

8 And the reason that you have to go up to 10-fold
9 is that it turns out that rats and mice have much higher
10 protective mechanisms to detoxify or prevent the toxicity
11 of chlorpyrifos than do humans. Okay. And I can -- I
12 can -- we can discuss that a little bit later as well.

13 So the species used are typically rats and mice.
14 And as I just mentioned, they have a very high level of
15 circulating cholinesterase. These are not
16 acetylcholinesterase, these are what are called
17 pseudocholinesterase. And they are in the blood, and they
18 act like a sponge to absorb things like organophosphates,
19 but other compounds as well, and reduce the
20 bioavailability of organophosphates such as chlorpyrifos.

21 And so because of this, and several other
22 factors, a recent set of experiments has been initiated in
23 guinea pigs, which actually have much lower levels of
24 chlorpyrifos, detoxifying mechanism that are much closer
25 to humans. And one of these studies is actually

1 highlighted in this review, which shows that guinea pigs
2 are, in fact, more sensitive to chlorpyrifos, both in
3 terms of developmental neurotoxicity, but also in imaging
4 studies where brain imaging shows differences in
5 connectivity in the brain subsequent to chlorpyrifos
6 exposure.

7 So what can be said about the virtually hundreds
8 of peer-reviewed papers that have demonstrated motor
9 and/or cognitive deficit with gestational or early-life
10 exposures to chlorpyrifos.

11 Well, rats and mice studies within the 10-fold
12 limit of the BMD, which is 1 to 10 mg/kg per day, have
13 consistently showed differences from their respective
14 vehicle control groups in behavioral outcomes. Now, if
15 you go across those studies, they don't all show the same
16 level of responses. And that's probably because that
17 studies don't replicate identically with respect to timing
18 of exposure, when the measurements were made, how the
19 measurements were made.

20 One can sort of draw an analogy here. If you
21 find a gene in a population that's highly correlated, in
22 fact, geneticists would say are causative for a
23 developmental disorder, and you model that gene in a
24 mouse, would you expect to see the exact phenotype in the
25 mouse that you see in the human population that's

1 affected?

2 And the answer is absolutely not, because mice
3 are -- have their own genetic background, and putting a
4 susceptibility gene in a mouse may recapitulate some of
5 the molecular and cellular elements of the disorder that you
6 see in humans, but may not be a phenocopy. And the same
7 can be said about studies with chlorpyrifos that vary in
8 terms of the exposure window, the route of administration,
9 and several other factors that complicate, and what
10 measures were made, and how they were made.

11 And so -- but, in general, what you can glean
12 from many of these papers is that there is a change in
13 locomotor activity in mice and rats subjected to different
14 developmental paradigms of chlorpyrifos exposure. And
15 they sometimes correlate with cholinesterase and
16 acetylcholinesterase inhibition, and other times they
17 don't. But that's to be expected if there are multiple
18 mechanisms that can occur.

19 Other studies have also shown developmental
20 exposure to rats and mice in different vehicles and routes
21 of administration, produce spatial learning and memory
22 deficits. And those deficits can, on occasion, be
23 sexually dimorphic. That is that males and females
24 respond differently, which suggests that there are
25 specific challenges to understanding how mechanisms relate

1 to behavioral outcomes.

2 Whether the impairments are more pronounced in
3 males and females really depends on the time at which the
4 animals are exposed to CPF. In general, subacute
5 exposures in rats and mice to CPF seem exclusively during
6 the early prenatal period, seemed to produce cognitive
7 deficits that are more pronounced in females than in
8 males.

9 In contrast, cognitive deficits resulting from
10 neonatal, with or without prenatal exposure in rats to CPF
11 are more pronounced among males than females. So there is
12 this dichotomy, and one needs to wade through the
13 literature.

14 The bottom line though, as I saw it, in reading
15 all these papers is there's the consistent theme here
16 where prenatal, perinatal, or postnatal exposure to
17 chlorpyrifos in the neighborhood of 1 to 10 mg/kg per day,
18 tends to produce measurable behavioral changes relative to
19 the controls in those studies. Some studies are stronger
20 than others, but many of these studies are actually pretty
21 strong, and are performed by labs that are well versed in
22 animal behavior.

23 And so if you look at Table 1 here, the locomotor
24 phenotypes range from no response to increase in locomotor
25 activity to decrease in locomotor activity. And these

1 need to be associated with other -- what other
2 measurements were made with these same cohorts of animals
3 in order to get a full picture. If you were to look just
4 at this table of these studies, one would say well, you
5 know, it's really confusing that there could be increases,
6 there could be no changes, and there could be decreases.

7 But if you look carefully, the exposure paradigms
8 are not all the same, the species are different, but yet
9 one of the things that you can go across and say most of
10 the studies, within reasonable dose -- dosages, produce
11 changes in motor activity.

12 Can we go to the next one?

13 --o0o--

14 COMMITTEE MEMBER PESSAH: Again, this is just a
15 subset of cognitive phenotypes that have been associated
16 with exposures, again in the BMR range of doses, 1 to 5 --
17 in this case 1 to 6. But as you read across, mice and
18 rats show changes in spatial learning memory, and these
19 are sex difference -- there are sex differences. And
20 these reflect the wide diversity of the data that actually
21 was reviewed by OEHHA and presented in all the documents
22 that we received.

23 So I'm going to stop there. I have some more
24 specific examples of recent literature to go through them.
25 But I think I may be good to stop here and take questions

1 or go on to second.

2 CHAIRPERSON GOLD: Does the Panel have any
3 questions for Dr. Pessah?

4 Okay. Then I think we'll go to the second
5 discussant. Dr. Luderer.

6 COMMITTEE MEMBER LUDERER: Thank you, Dr. Pessah,
7 for that wonderful and very detailed overview.

8 I think what I'm going to do, since Dr. Pessah
9 gave this wonderful overview, is that I'd like to focus on
10 what I think are some of the key neurobehavioral, as well
11 as some of the structural and neurochemical endpoints as
12 well and just kind of highlight those.

13 So as Dr. Pessah said, there are several hundred
14 experimental studies that examined the developmental and
15 neurobehavioral toxicity of CPF. And what I'd like to do
16 is talk first about what I see as the strength of the
17 database as a whole, since this is a very large database.

18 So as you've already heard, the database includes
19 multiple studies each for early and late gestational, as
20 well as early and late postnatal developmental exposure
21 windows. And we know the early postnatal exposure windows
22 are relevant to -- or analogous to in utero exposure in
23 humans.

24 Many of these studies included doses that
25 minimally suppress brain cholinesterase activities and

1 some -- activity, and some included doses that do not
2 suppress brain cholinesterase activity, which I think is
3 relevant for talking about some of the other possible
4 mechanisms that Dr. Pessah mentioned.

5 Even when the -- and it's also important to note
6 that when these cholinesterase inhibiting doses were used,
7 effects were measured long after the exposure, and
8 therefore after the cholinesterase inhibition had ended.
9 And most -- many of the studies measured endpoints not
10 only post-exposure, but also well into adulthood.

11 Many, if not most, of the studies had additional
12 strengths. They randomized the dams and/or the pups to
13 treatment groups. Endpoints were assessed by
14 investigators blind to experimental groups. Many of the
15 studies of developmental exposure also standardized litter
16 size, and as well as randomly cross-fostering pups shortly
17 after birth to avoid dam effects. And most of the studies
18 measured endpoints in both male and female offspring.

19 One thing that, as a female reproductive
20 toxicologist, I noticed that it is I think a weakness of
21 the studies is that none of -- almost none of the studies
22 controlled for estrous cycle in the females.

23 However, this would be expected to increase
24 variability within the female groups, and therefore it
25 would decrease the power to detect treatment related

1 differences. So I think we're -- the fact that
2 differences or affects were observed in females, they may
3 have been stronger if they had been controlling for
4 estrous cycle stage.

5 Most of the studies did not mention blinding of
6 personnel to treatment during dosing, but given that the
7 investigators were generally blind to treatment, I don't
8 think this is an important weakness. The most common
9 exposure routes, as already discussed, were oral gavage,
10 generally in an oil vehicle or subcutaneous injections in
11 dimethyl sulfoxide. And we've already heard a little bit
12 about this route, but acknowledging that subcutaneous
13 injection is not an exposure route, relative to humans,
14 but it does mimic -- not relevant to humans directly, but
15 it mimics human dermal exposure.

16 I think it's also important to highlight a
17 pharmacokinetic study by Marty et al. from 2007 that found
18 very similar pharmacokinetics between subcutaneous
19 injection of CPF and DMSO, and gavage administration of
20 CPF in rat milk. While there was a -- some difference in
21 both the Cmax and the area under the curve, they were both
22 lower and the half-life was a bit long with subcutaneous
23 injection of CPF and DMSO compared to a gavage
24 administration in corn oil, but I think still relatively
25 similar.

1 So the largest database in terms of studies
2 examining the same endpoints in multiple studies, we've
3 already seen some of those, is for two tests of cognition,
4 the radial arm maze and the Morris water maze. So the
5 radial arm maze has been used to test the effects of CPF
6 exposure on cognition during multiple developmental
7 windows with one -- with multiple studies from one group
8 from Duke University, the Slotkin group.

9 And they -- I'm just going to summarize some of
10 these. So in Icenogle et al. 2004, they found deficits in
11 male and female rats after early gestational exposure.
12 With late gestational exposure in 2002, they found
13 deficits in female but not male rats. And with early
14 postnatal, postnatal day 1 to 4, deficits in males and
15 improved performance in females.

16 So recently, two other groups have reported
17 similar -- similarly reported deficits in male rats, and
18 improvements in cognition in females with exposure from
19 postnatal day 1 to 21 - that's Johnson et al. from 2009,
20 and with exposure from gestational day 7 through 21, so a
21 wider window, that's Gomez-Jimenez 2017.

22 And so this provides independent confirmation of
23 effects of developmental chlorpyrifos in the radial arm
24 maze at least during those developmental windows.

25 Now, only one group has reported on radial arm

1 maze testing in mice after late gestational exposure. And
2 they reported no effects in either sex. That was Haviland
3 et al., 2010. But they also observed very low initial
4 error rates in that study, and no decrease in error rates
5 over testing sessions and controls, which you usually see
6 in this test. It may have had something to do with the
7 construction of their maze, which was 8 arms versus 16
8 arms. Maybe someone that does this test regularly could
9 comment on that. But to me, that decreased my confidence
10 in those results.

11 So the Morris water maze is another cognitive
12 test that has been used in multiple chlorpyrifos
13 neurodevelopmental studies in three different species, all
14 of which show deficits with different exposure windows.
15 So early gestational exposure caused deficits in male and
16 female mice in studies from two different groups. That
17 was Billauer-Haimanovitch, et al. from 2009, and Turgeman
18 at al. from 2011. Late gestational exposure caused
19 deficits in male and female guinea pigs, two studies from
20 Mamczarz 2016, and Mullins et al. 2015, and late postnatal
21 exposure caused deficits in male and female rats. That
22 was Jett et al., 2010.

23 Exposure of rats during gestation through
24 lactation, so again a broader developmental window, caused
25 deficits in female but not male offspring in the

1 Gomez-Jimenez study. And in all of the studies they did
2 not test other developmental windows. Those were the
3 developmental windows that were tested in the studies.

4 A number of other studies, an endpoint where I
5 think there is also some consistency, as well as some
6 variability, is various tests of anxiety and emotion. So
7 only the elevated plus maze, and the light-dark box tests
8 of anxiety have been used in multiple studies across
9 multiple developmental windows. Female offspring that
10 were exposed gestational day 15 - these were mouse
11 offspring -- 15 through postnatal day 14 to CPF displayed
12 increased anxiety-like behaviors in both tests. In that
13 study males were not tested. That was Braquenier et al.
14 2010.

15 Another group found that gestational day 14 to 17
16 exposure increase anxiety in female, but not male mouse
17 offspring. That was using the light-dark box, Venerosi et
18 al., 2010, while the same group using a different test,
19 the elevated plus maze with exposure to CPF from
20 gestational day 15 to 18 found that exposure decreased
21 anxiety in females with no effect on males. So opposite
22 effect on females, but two different tests in similar
23 exposure windows.

24 Early gestational exposure from the Slotkin group
25 and Icenogle, et al. had no effect on elevated plus maze

1 performance in rats.

2 Moving to the early postnatal exposure, this led
3 to decreased anxiety in female rats, but not males using
4 the elevated plus maze as a test. This is the -- this
5 Aldridge et al., 2005. And late postnatal exposure
6 decreased anxiety in male but not -- in female but not
7 male mice, again by the elevated plus maze, and in both
8 sexes by the light dark box. And this is with the Italian
9 group, the Istituto di Sanità in Rome.

10 Overall, I think the literature on the tests of
11 anxiety and -- I wanted member one -- mention one other
12 that didn't use the light dark box, but did use a similar
13 test, which assessed the likelihood of rats moving out of
14 a dark safe place into the light. And this is a recent
15 study by Carr et al. from 2017, that included two doses
16 that didn't decrease cholinesterase activity in the
17 brains, and that found significant changes at those doses,
18 so -- in both sexes.

19 So overall, females were affected more than males
20 with mid-gestation through late postnatal exposures, but
21 the direction of effect was not always consistent between
22 the two tests for the same developmental window.

23 Finally, I wanted to talk a bit about the -- as
24 far as behavioral testing goes, about social behavior
25 interaction tests. That was largely tested by one group

1 from Italy, the Istituto Superiore di Sanità that I
2 mentioned. And this body of work shows that mid-gestation
3 through late postnatal exposures in mice increased
4 male:male and female:female social investigation and
5 solicitation behavior, and increased male:male aggressive
6 behavior, while decreasing maternal female aggressive
7 behavior against an unknown male, and increasing
8 investigation behavior of that male.

9 So in addition to these and other -- we already
10 heard about some of the motor endpoints. In addition to
11 these behavioral endpoints, many studies have examined the
12 effects of developmental chlorpyrifos exposure on the
13 structural and neurochemical development of the brain, and
14 some of those have been mentioned as well.

15 So this range ranges from persistent morphometric
16 changes, such as decreased size of the parietal cortex in
17 rats after perinatal exposure to five milligrams per
18 kilogram in Hoberman, decreases in the number of neurons
19 and glia in various subregions of the prefrontal cortex
20 after early gestational exposure to 5 milligrams per
21 kilogram per day in mice. And these brain MRI imaging
22 changes in guinea pigs that were mentioned by Dr. Pessah
23 with decreased forebrain and striatal volume, and
24 decreased amygdala and striatum diffusion parameters.

25 The Duke group has documented fetal -- that fetal

1 or neonatal exposure to CPF disrupts neuronal
2 differentiation, and replication causing loss of neurons
3 and deficiencies in synaptic transmission. They've shown
4 persistent effects of developmental CPF exposure on
5 serotonin -- serotonergic, dopaminergic, noradrenergic,
6 and cholinergic systems in the rat brain following
7 gestational and early postnatal exposures to 1 and 5
8 milligrams per kilogram CPF. So these are numerous
9 studies by Slotkin et al. and Slotkin and Seidler.

10 Changes include alterations in neurotransmitters,
11 neurotransmitter receptors and transporters, and
12 alterations in turnover rates that correlate with
13 behavioral changes that were observed during the same
14 dosing window.

15 In addition, some papers by Carr et al. --
16 several papers show that the developing brain is --
17 appears to be even more sensitive to disruption of enzymes
18 that are involved in the cannabinoid system, so that these
19 are enzymes that degrade the cannabinoids. And so
20 these -- which are inhibited leading to increased
21 cannabinoid concentrations in the brain. And this occurs
22 at doses of 0.5 and 0.75 milligram per kilogram per day,
23 at which in the same animals no brain cholinesterase
24 inhibition was seen.

25 So finally, just in conclusion, there is a large

1 body of literature investigating the neurobehavioral,
2 structural, and neurochemical sequelae of developmental
3 exposure to CPF. And these studies utilize different
4 prenatal and postnatal exposure windows, doses, dosing
5 routes, species and strains, and behavioral endpoints.
6 And some of the key findings that I tried to highlight
7 have been replicated within and among laboratories, and
8 have been documented in more than one species.

9 So overall, I think that the weight of the
10 evidence supports that CPF is a developmental
11 neurotoxicant, including at doses that do not or minimally
12 only suppress acetylcholinesterase activity in the brain.

13 CHAIRPERSON GOLD: Thank you, Dr. Luderer. Any
14 questions for this discussant?

15 Okay. So next we are going to talk about animal
16 studies of other developmental endpoints, and our first
17 discussant is Dr. Plopper.

18 COMMITTEE MEMBER PLOPPER: This doesn't work.

19 Is that working?

20 It's on. There we go.

21 Okay. As you heard, most of the literature
22 concerning this compound is focused on neurodevelopment.
23 And our charge was to look at the non-neurodevelopmental
24 studies. And what I want to do is discuss two different
25 areas. But first, I wanted to emphasize something that

1 OEHHA did with their 2008 report, which was excellent,
2 which was a thorough review of what happens to a fetus
3 when it is exposed in the mother, and assessments of how
4 this will impact the success of the fetus in the mother,
5 and then postnatally.

6 And what I wanted to emphasize, which they've
7 already reviewed, but I think it's worth keeping in mind
8 as we go through these studies is that one of the
9 challenges is what is the impact that the exposure has on
10 the pregnant mother?

11 And the -- these studies did a very complete job
12 of analyzing what -- or showing what these could possibly
13 be. And obviously, if we focus on maternal toxicity with
14 these exposures, you want to look at -- at death is the
15 first thing, and then loss of body weight or failure to
16 gain body weight. And that was considered to be the first
17 criteria. And if you look at most of these neurotox
18 studies, they say, well, it didn't have a negative effect
19 on the mothers, because they didn't lose the weight.

20 The other thing that was used, and I want to
21 emphasize it, is that cholinergic overstimulation is also
22 another criteria that's been used to establish whether
23 this is toxic to the mother. And some of the things that
24 were used were things such as shaking, lachrymation,
25 exophthalmos, diarrhea, tremor, those sorts of things. If

1 that was the case, then those were considered to be
2 maternal toxic, so anything that happened to the fetus
3 would be the result of something that was toxic to the
4 mother not to the fetus.

5 And then they looked at two separate sets of
6 categories, and I won't go through them all. But it's at
7 reproductive and fetal parameters that includes how many
8 fetuses. You've got to remember a lot of these studies
9 are done, they impregnate the female and they identify
10 that the, whether rats or mice or another species when
11 it's impregnated, then often the exposure starts. So it's
12 also compromising the ability of a fertilized ova to
13 actually inhibit -- inhabit the uterus.

14 So there's assessment of how many of these
15 implants were actually successful, what was the corpora
16 lutea function. And how many of these fetuses then came
17 out live, what percentage were successful and which were
18 not, as well as was there a difference in the sex ratio.

19 And then they also went through three or four of
20 these studies. I won't mention them all, but I wanted to
21 emphasize that they use external assessments of the fetus
22 once born or when it's taken out of the pregnant uterus to
23 decide whether there was a fetal toxicity approach,
24 something that was negative, and usually starts with an
25 external examination.

1 And I didn't read through all 360 of those, but
2 when I was trying to find things that didn't have
3 something to do with neurotoxicity, one of the things
4 that's always an issue well they all looked healthy.

5 Well, what does this mean?

6 For one thing it means that they were all about
7 the same body weight, they were all about the same
8 crown-rump length. And when they looked at the -- at the
9 external morphology, they found that all of the appendages
10 were there, including the fing -- the digits, and the
11 tail. And then they would look at the head and see if it
12 was malformed, or if there was some kind of a cleft
13 palate, or whether there was some irregularity with the
14 eyes.

15 And this is what they used. Now, when I started,
16 I said, well, how -- what does this mean in terms of
17 what's going on with the rest of the fetus? Well, there
18 were three or four studies where they actually did a very
19 detailed assessment of all of the internal tissue
20 organization, just subgross not histologically. And what
21 they established is if the outside appears to be healthy,
22 then there is no disruption of organogenesis.

23 So this was the types of things -- I guess the
24 only thing that was -- that ever came out in any of these
25 studies, and it was only at doses that the experimenters

1 considered to be toxic to the mother, based on their
2 toxicity criteria, was a failure for successful
3 ossification of some of the bones, specifically
4 sternebrae.

5 Other than that, there's a mass of these studies,
6 and most of what you've heard about, these were these
7 unconscious or semi-conscious assessments that do
8 something to the fetus.

9 So there was a lot of studies there. And I think
10 the bottom line for most of them was that the conclusion
11 was that the fetal exposure on a pregnant mother does not
12 affect the successful non-neurodevelopment of the fetus.

13 Okay. And that was -- that's -- I can -- if
14 someone else would like to comment on that later, they
15 can. But that seemed to be the basis for most of these.
16 And some of these studies followed these. There were
17 three studies that did multi-generational studies, and
18 maternal exposure allowing these offspring to grow to
19 maturity, and sexually reproduce. And then one study did
20 it twice, so it was an F2 generation, did not affect that
21 part. So the conclusion was that this was probably not a
22 reproductive toxicant, in terms of those things.

23 Now, in terms of other things, I would like to
24 follow up on Dr. Pessah's. He's already said about
25 three-quarters of what I was going to say about

1 metabolism, but I would like to emphasize two things that
2 there are two -- that there was two studies that looked at
3 metabolism of something other than the nervous system, and
4 that was the liver.

5 And this should be a major concern, because it's
6 the liver of these fetuses that deals with most of the
7 xenobiotic compounds that an animal is exposed to. And
8 one study by -- on mice by Buratti and did pre-exposure --
9 or, I mean, prenatal exposures from gestational day 15 to
10 18, and then assessed a variety of postnatal time points
11 up to 150 days, and they also did a postnatal exposure.

12 And I'm not sure if you consider this -- it's 11
13 to 14 days, so it's kind of on the border, and I won't go
14 through all the details. But what they assessed in the
15 livers was, number one, what's the capability of the liver
16 to actually convert chlorpyrifos to the oxon? And then
17 what is -- how is the aromatases changed, and then
18 assessment of cytochrome P450 expression and function.
19 And there are about 12 of them. I'm not going to go
20 through them all. But these are the key enzyme systems
21 that actually metabolize xenobiotics.

22 And they used, as their model compound,
23 testosterone hydroxylation. And what they found is that
24 out of these 8 to 10 that they measured, they found that
25 six of them were -- their function was significantly

1 modified at both of the concentration levels for exposure,
2 which were the -- in the lower end of the range that Dr.
3 Pessah mentioned as being the ones that they would use, 3
4 and 6 milligrams per kilogram.

5 And, of course, they assessed everything first,
6 and there was nothing wrong with these babies, except that
7 they couldn't metabolize. And that -- I think that is a
8 major concern. There was changes in the aromatase went
9 down, as well as the ability to convert to the oxon, and
10 then all of these P450s that were changed. And that was a
11 fairly complete study. The only concern I had with it is
12 the animal numbers were not great, but they followed them
13 out to 150 days. And it was only at a hundred -- in the
14 150-day group that most of these changes had come back to
15 a steady state that didn't make any difference whether
16 they were exposed or not.

17 So it does suggest that the thing that was of
18 concern is that when they did the postnatal treatments,
19 which might be considered to be too far along the
20 developmental path for humans, then these things didn't
21 reverse well.

22 So what this -- I interpreted this to mean that
23 this was probably the most thorough metabolic study that I
24 could find that it did not reverse well, and that so
25 continual exposure prenatally versus postnatally may have

1 a negative impact on the whole cytochrome P450 system,
2 which would be -- would have negative impacts for all
3 sorts of other metabolic processes, including every other
4 toxicant that an individual would be exposed to.

5 I would point out that there was another study
6 that was mostly a neurotox study, but they also looked at
7 the conversion of the -- to the oxon and for both
8 cholinesterase and the carboxyhydrolase that's by
9 Lassiter. And most of it's tucked in among all the neuro
10 things, but they found essentially the same thing. The
11 treatments were prenatal and the impact on liver function
12 for both of these enzymes was all -- as much as 50 percent
13 inhibition that continued. It didn't change back. So
14 something had changed the metabolic capabilities of the
15 livers.

16 And the other area that I think is worth
17 considering is the thyroid. And there's not -- the two
18 studies that I'm thinking of did not -- that I evaluated
19 did not have really detailed information, because this was
20 not necessarily a primary subject. But both -- for the
21 study on by Se Angeles in mice showed that there was a
22 change -- a negative change in thyroid production and some
23 indication of histopathology in the thyroids from
24 prenatally exposed animals in the same dose range that is
25 considered to be nontoxic in mice.

1 And there was a similar study by Haviland, which
2 is primarily a neurobehavioral study, but they did have
3 the last part of it had some information indicating that
4 there wasn't a negative impact on the ability to produce
5 thyroxine T3, T4, and the uptake.

6 And so with that, I will stop.

7 CHAIRPERSON GOLD: Thank you.

8 Any questions for Dr. Plopper?

9 Seeing none.

10 Dr. Allard is the second discussant on this
11 topic.

12 COMMITTEE MEMBER ALLARD: All right. Thank you
13 very much. Can everybody hear me well?

14 Okay. So right -- so my role as second
15 discussant, is to look at animal studies that pertain to
16 other, i.e. non-neurological developmental endpoints. So
17 I reviewed many studies. Most of them covered in the 2008
18 OEHHA hazard identification document. And those include
19 studies in many accepted models of toxicity, such as rats,
20 mice, and rabbits.

21 But because there are also a lot of discussions,
22 as we've already heard this morning, as to whether fetal
23 developmental effect can be observed independently of
24 maternal toxicity, I also considered several studies in
25 zebrafish, where development is external to the mother.

1 Therefore, recommended this issue of marginal toxicity
2 versus embryonic toxicity.

3 And I would like also to briefly discuss some
4 publicly available data from the ToxCast program that
5 includes teratological endpoints also generated in
6 zebrafish, which I think will illuminate a little bit the
7 discussions this morning.

8 So I will give you sort of my broad overview of
9 the findings from reviewing the literature, is that the
10 outcome of chlorpyrifos or chlorpyrifos oxon on
11 teratological endpoints was somewhat heterogeneous. So
12 we'll just pull out what to me was actually a significant
13 example. There are studies where gestational exposures in
14 rats by gavage, so performed through gestation, led to a
15 decrease in size and weight in one study by Condetta et
16 al. in 2015, but actually an increase in weight in another
17 study at the same dose done through the following the same
18 protocol. And actually, the authors are shared between
19 the two papers, and that's by Reygnier et al. in 2016.

20 So this is -- to me, was kind of an illustration
21 of the dichotomy and the viability between the studies.
22 There's one very interesting study by Mansour and
23 Gamet-Payrastre in 2014 done in the mouse. Also
24 gestational exposure to very low levels of chlorpyrifos
25 estimated to be 0.01 milligram per kilogram per day.

1 They saw a non-significant decrease in the weight
2 of the pup -- of the pups at birth. So it was a trend.
3 However, they saw a dramatic and significant reduction in
4 the size of the spleen and enlargement of the liver, which
5 later developed to have abnormal pathology, specifically
6 of the liver.

7 So I felt this study was compelling, but of
8 course needs repetition. And I also need to point out
9 that there was some mistakes in the annotations of the
10 tables unfortunately, with regards to the level of
11 statistical significance. So I definitely felt that this
12 study needed repetition.

13 Going towards the zebrafish though, I felt that
14 the results were more consistent, and to be honest
15 concerning. And they were consistent across different
16 studies performed by different labs, in looking at
17 chlorpyrifos and chlorpyrifos oxon in zebrafish. So, in
18 particular, there's a study by Ducharme et al. in 2015,
19 where they -- it's actually a meta study. They looked at
20 many different chemicals and they actually ranked
21 chlorpyrifos number 6 in its teratogenicity, although
22 their endpoint was behavioral. It was not necessarily
23 malformations of the embryo.

24 However, other studies have looked at
25 non-neurological endpoints. So a study by Jin et al. in

1 2015 reported a reduction in body length at all
2 concentrations that they tested, as well as at high doses
3 spinal deformities, and pericardial edema. These outcomes
4 were actually similar to what has been reported by other
5 groups such as the ToxCast -- the data I presented in the
6 ToxCast data sets, in particular by the National Health
7 and Environmental Effects Research Laboratory, where they
8 reported activity of chlorpyrifos oxon towards teratogenic
9 endpoints with an AC50 below the micromolar level. So
10 they were at 0.41 micromolar level for the active
11 concentration, AC50.

12 And they had a lower exposure level towards a
13 variety of teratological endpoints, such as yolk-sac
14 edema, actual defects, circulation defects, truncation of
15 the body that were in the nanomolar range, so 64 nanomolar
16 for the lowest effect level, and mortality is only
17 observed at much higher levels, 10 times that level. So
18 the LEL for mortality is 640 nanomolar.

19 I also felt that the truncated body endpoint seen
20 in zebrafish was interesting, because it was consistent
21 with reported teratogenic effects in some of the studies.
22 So the Rubin et al. in 1987 reported some -- sorry,
23 reduced length of the animals. There's also some reports
24 of this in the mouse by Deacon et al. in rats, by Condetta
25 et al., where there's that body length reduction.

1 Overall, however, with regards to these
2 non-neurological endpoints, I believe that there's
3 definitely cause for concern, but I also felt that there
4 was no necessarily unanimous and unified picture here that
5 emerged from the various studies that are reviewed.

6 It's also unclear what the mode of action - and I
7 guess we'll discuss this later on -- or AOP could be for
8 such teratogenic effects, but I don't think that we
9 necessarily need to understand those to trust or doubt the
10 studies that I mentioned.

11 And I will end my comments here.

12 CHAIRPERSON GOLD: Thank you very much.

13 Any questions for Dr. Allard from the Committee?

14 Okay. Next, we're going to have a summary of the
15 mechanistic studies. And the first discussant is Dr.
16 Auyeng-Kim.

17 COMMITTEE MEMBER AUYEUNG-KIM: Hello.

18 Thank you. So I'm going to discuss the --
19 summarize the mechanistic studies. I pretty much -- I
20 relied on the EPA reports, which summarized the
21 different -- the EPA human health assessment reports,
22 which stated that there's numerous in vivo and in vitro
23 studies that have been conducted on the possible mechanism
24 aspects of the neurodevelopment effects.

25 And so although that there are several different

1 mechanisms that have been postulated, that there is no
2 direct -- or no definitive mode of action or adverse
3 outcome pathway that has been identified.

4 And as Dr. Pessah as well Dr. Luderer mentioned
5 that the plausible hypothesis of some of the mechanisms
6 are that CPF causes alterations in the
7 acetylcholinesterase structure resulting in a
8 acetylcholinesterase acting as a morphogen that influences
9 the growth of cells during neurodevelopment.

10 CPF can also act directly by singling through the
11 muscarinic or nicotinic cholinergic receptors to regulate
12 neural cell proliferation and differentiation.

13 CPF can also produce reactive oxygen species,
14 resulting in neuronal cell damage caused by oxidative
15 stress. And CPF can cause alterations in serotonergic
16 nervous system, resulting in acute and/or permanent
17 changes to the neuronal cells.

18 And then -- and a review of -- oh, and then also
19 newer research has postulated that CPF affects the
20 tubule -- microtubule-associated proteins and axonal
21 transport, which are integral to the nervous system
22 development and maintenance.

23 However, there is no experimental evidence that
24 the perturbations of these endpoints during the
25 development has neurotoxic outcomes. I also reviewed

1 additional literature that was provided to us since the
2 EPA report. Several of those mechanistic studies were
3 model development. And so they were not necessarily
4 proven in vitro models, one being the avian chick model,
5 and also some stem cell models.

6 But, in general, most of these studies they --
7 they did not -- they provided some information as far as
8 what are potential mechanisms, but no direct evidence as
9 far as what could be the mechanistic cause for the
10 neurotoxic -- neurodevelopmental effects. So...

11 CHAIRPERSON GOLD: Thank you.

12 Any questions?

13 Okay. And, Dr. Allard, you're up again as the
14 second discussant on mechanistic.

15 COMMITTEE MEMBER ALLARD: All right. Thank you
16 very much. So I -- as we've already heard this morning, I
17 think historically the biological activity of chlorpyrifos
18 and its oxon, in particular, have been best understood
19 through the inhibition of acetylcholinesterase.

20 And what I think is also clear from what we've
21 already heard this morning, and also the various documents
22 that were provided, is that now there's going concern with
23 regards to the ability of chlorpyrifos, and chlorpyrifos
24 oxon to act through other non-acetylcholinesterase
25 mediated mechanisms.

1 So some of these were just mentioned. I'd like
2 to mention oxidative stress. There's some evidence for
3 epigenetic, specifically DNA methylation mechanisms.
4 Although, to be honest, I didn't find these -- I found
5 that these studies needed repetition.

6 There's also some also described effects on DNA
7 synthesis. Although, my evaluation of these studies,
8 especially the DNA synthesis. Some of the DNA synthesis
9 studies was that the concentrations used were in vitro
10 were quite high, in the -- in one particular study was up
11 to 30 micromolar in vitro.

12 So I -- I'd like to go back to the
13 non-acetylcholinesterase mechanisms a little bit later.
14 But I -- as geneticist, I wanted to understand the
15 potential mechanisms from the lens of what we can expect
16 when we have the complete deletion of all
17 acetylcholinesterase.

18 So if you look at the mouse knockout,
19 interestingly the phenotypic characterization of the mouse
20 was, from my perspective, done at a sort of a macro scale.
21 There was not a lot of pathology -- detailed pathology
22 performed. But I think the mouse mutant still revealed
23 the fact that the homozygous mutant is lethal very early
24 on during life or early on during life, I should say,
25 during the second week.

1 The pups seem to be born rather normal again by
2 gross evaluation, but they do not grow properly. They
3 tend to not gain weight. The heterozygous mice, however,
4 are normal, meaning that from those studies, an inhibition
5 down to 50 percent is enough to sustain at his gross
6 morphological normal features, and survival.

7 What was really interesting about these mice
8 however is that if you challenge them with a second
9 organophosphate, or with a specific inhibitor of
10 butyrylcholinesterase, then the mice die absolutely right
11 away within minutes. And so that sort of gives you an
12 idea of a second hit model where if you had both
13 acetylcholinesterase and butyrylcholinesterase, then you
14 may have a very strong cause for concern.

15 And this -- this is an important, important point
16 to make, because of what I'm going to say next about
17 the -- what I found by mining the ToxCast database. So
18 looking at mechanisms of toxicity, a lot of studies will
19 have, of course, a working hypothesis. I wanted to go
20 with a more hypothesis-free evaluation of this. And so
21 I -- again, I mine the ToxCast database from EPA.

22 Just as a reminder, this is a publicly available
23 database of about 700 different high throughput assays
24 that cover a wide range of molecular outputs, and that
25 channel themselves in about 300 signaling pathways. So

1 you cover a really wide spectrum of different types of
2 molecular endpoints.

3 What was really nice comparing the outcome from
4 the ToxCast database between chlorpyrifos and chlorpyrifos
5 oxon is that you saw what you expect, and what we've
6 already known now for quite awhile is that chlorpyrifos
7 oxon is much more biologically active than chlorpyrifos by
8 itself. So the AC50 of most of the assays for CPF did not
9 fall below 10 micromolar, whereas with CPF0, or
10 chlorpyrifos oxon, many assays, the AC50 of many assays,
11 fell below 10 and there were actually quite a few that
12 fell below 1 micromolar, again, consistent with what we
13 know.

14 Another validation of -- to me of that kind of
15 data is one of the strongest hits from the assay was
16 actually inhibition of acetylcholinesterase, specifically
17 the human version of acetylcholinesterase, with an AC50 of
18 0.35 micromolar.

19 What was really interesting to me, however, is
20 that that was not the strongest hit in the data. The
21 strongest hit was actually butyrylcholinesterase with an
22 AC50, predicted AC50 of 3.4 nanomolars, so a hundred times
23 less than acetylcholinesterase.

24 So again, this, to me, kind of goes back to that
25 two-hit hypothesis, that the mouse.... has indicated.

1 There were also some really interesting and -- hits and
2 also cause for concern with a very strong downregulation
3 of major histocompatibility complex genes, such as HALADRA
4 with an AC50 of about 10 nanomolar. And this was
5 definitely a theme from the data. And ability to inhibit
6 a variety of different CYPs. And I think we've already
7 mentioned this this morning.

8 So many CYPs seem to be a target of CPF0, of the
9 oxon version chlorpyrifos. And the strongest hits of
10 those was CYP2B6 with an AC50 of 0.4 micromolar, but the
11 effect was very, very large. And CYP2B6, in particular,
12 seemed to be important for the metabolizing of various
13 drugs or pureed anti-cancer, antidepressant, tamoxifen as
14 well.

15 So in the end, however, I think I'm going to go
16 back to the previous conclusion that we don't necessarily
17 have a clear AOP that emerges from all this, but we have
18 very strong biological signatures that have -- have
19 emerged from the molecular data that again, to me, are
20 cause for concern.

21 CHAIRPERSON GOLD: Thank you, Dr. Allard.

22 Any questions for him?

23 COMMITTEE MEMBER PESSAH: I have one.

24 CHAIRPERSON GOLD: Dr. Pessah.

25 COMMITTEE MEMBER PESSAH: I just wanted to point

1 out that the values that you reported for
2 acetylcholinesterase have to be taken in context of how
3 the assay was done. Because of the irreversible nature of
4 the oxon in inhibiting the enzyme, the amount of time that
5 you expose the oxon to the enzyme, the concentration of
6 the enzyme, and in particular, whether you're in pseudo
7 first order is going to make a huge difference.

8 When the studies have been done to compare
9 directly under pseudo first order, the IC50, the apparent
10 affinity for oxon per i -- CPFO for acetylcholinesterase is
11 more in the neighborhood of soman and VX. Okay. So it's
12 1 to 3 nanomolar.

13 And I think the values I have under those
14 conditions for butyrylcholinesterase are in the 1 to 90
15 nanomolar. There's a huge variation there. So it's just
16 how the assays are done is very important.

17 Yeah.

18 COMMITTEE MEMBER ALLARD: Thank you.

19 CHAIRPERSON GOLD: Any other comments or
20 questions on this topic?

21 I'm going to ask the court reporter and the
22 interpreters if they need break?

23 Yes.

24 How about 10 minutes -- five minutes. Five
25 minutes. Five minutes.

1 (Off record: 11:35 a.m.)

2 (Thereupon a recess was taken.)

3 (On record: 11:44 a.m.)

4 CHAIRPERSON GOLD: Thank you.

5 So to the panel, I'm going to say that I've been
6 informed that the interpreters are having a little trouble
7 hearing us, and it's a little muffled. I think it's --
8 I've been told it's because we're too close to the
9 microphones, so we've been asked to be a little bit
10 farther away from the microphones.

11 CHAIRPERSON GOLD: Okay. All right. So finally,
12 the final topic of the Panel this morning is the human
13 studies of developmental effects. And the first
14 discussant is Dr. Carmichael.

15 COMMITTEE MEMBER CARMICHAEL: Well, that helps.
16 I was going to say give me a signal if you can't -- if
17 it's not sounding right, but hopefully -- hopefully it is.

18 So, yes, I'm going to review the human
19 epidemiologic literature. There's quite a few fewer than
20 300 studies here. But nevertheless -- nevertheless a good
21 bit to summarize.

22 So basically, I'm going to provide a brief
23 summary of findings of the highest -- what I consider the
24 highest quality studies. And the main strengths and
25 limitations of the current knowledge base.

1 So basically, there have been three main
2 perspective -- prospective cohort epidemiologic studies
3 that I'm going to talk about. And the first one is called
4 the Mothers and Newborn study of North Manhattan and South
5 Bronx performed by the Columbia Center for Children's
6 Environmental Health. We'll call that the Columbia study.

7 Second one is the Mount Sinai Inner City
8 Toxicants, Child Growth, and Development Study. We'll
9 call that the Mount Sinai study. And third, the Center
10 for Health Assessment of Mothers and Children of Salinas
11 Valley, that's in California, the CHAMACOS study,
12 conducted at UC Berkeley. But given that it has an
13 acronym, we'll refer to that as the CHAMACOS study.

14 So all three of these were prospective, that
15 means selected prospectively over time, cohort studies.
16 They recruited the mothers during pregnancy. Typically,
17 they were less than halfway through pregnancy when they
18 were recruited. And these infants from these mothers have
19 been followed up through about 11 years of age at this
20 point. So really a wealth of data has been collected for
21 these.

22 Each of these focused on the association of in
23 utero exposure, so maternal exposure, and
24 neurodevelopmental off -- outcomes and offspring. All
25 three of them have been judged by multiple groups to be of

1 high quality, for example as detailed in the EPA reports
2 that have been mentioned.

3 The Columbia study is considered the strongest
4 for our purpose today, because it actually assessed cord
5 blood levels of chlorpyrifos. The others assessed -- the
6 other two assessed urinary metabolites of chlorpyrifos,
7 which would include metabolites of other organophosphates
8 within them.

9 All of them, as I said, ascertain neuro -- a
10 variety of neurodevelopmental outcomes. They used -- I
11 won't get into the details, but they used very commonly
12 used, highly validated assessment tools. They all
13 enrolled women -- included women at least from around the
14 late nineties, around '97 to '99 at the beginning of their
15 studies.

16 And this was before the voluntary cancellation of
17 residential use of chlorpyrifos, which occurred in --
18 around 2000/2001. And the exposure levels were shown to
19 have declined dramatically after that initial recruitment
20 period, which was when the women were pregnant.

21 So the findings from the Columbia study, prenatal
22 exposures, as they were measured, were associated with
23 delays in mental development, attention disorders, motor
24 development, and intelligence as assessed using various
25 tools, and at various time points from infancy to early

1 childhood.

2 The odds ratios are the sort of level of
3 association for a lot of these metrics tended to be
4 relatively strong when comparing the sort of the different
5 ends of the distributions of the chlorpyrifos, seeing 2-
6 to 4-fold increased risks for -- at the high versus low
7 levels.

8 A dose response was observed for some of the
9 outcomes, such as intelligence measures, and at some time
10 points. It's thought that due to a lot of methodologic
11 strengths, which I'll discuss a little bit more in a
12 minute, these results are unlikely to be false positives.
13 If anything, they could be underestimates, because it's
14 expected that the errors would be non-differential.

15 So the Mount Sinai and CHAMACOS studies also
16 found that mental -- developmental delays in mental
17 development were associated with increasing levels of
18 maternal urinary levels of chlorpyrifos and other
19 organophosphate metabolites, found somewhat stronger
20 associations at older ages with some of these measures,
21 and also found associations with attention disorders.

22 So basically, despite varia -- some variability
23 in study design with respect to inclusion criteria, and
24 where the populations came from, and so forth, there is
25 definitely some consistency in positive findings across

1 multiple domains of neurodevelopment.

2 So some of the strengths, just to reiterate, of
3 these studies are that they were prospective, and that
4 there was -- so these cohorts were followed over a long
5 period of time, but they kept -- they had good retention
6 rates, so they were able to keep people enrolled in the
7 study, which is helpful to rule out bias.

8 And they had direct measurement of the
9 chlorpyrifos, or its metabolites in serum or urine. And
10 in particular, I'd like to highlight the Columbia study
11 conducted a number of validation studies to support the
12 cord blood levels as good markers of in utero exposure.

13 For example, they provided evidence that
14 indicates that this one-time measurement correlated well
15 with urinary measurements of metabolite -- the TCPY
16 metabolites in meconium, and then it correlated well with
17 some studies they did of air concentrations in the home,
18 and maternal urinary levels during pregnancy.

19 All three studies established that chlorpyrifos
20 levels or the other metrics that they measured as exposure
21 were not confounded by levels of other measured chemicals,
22 such as, for example, lead, methyl mercury, or by other
23 factors, such as socioeconomic status, sociodemographics,
24 or various aspects of the home environment.

25 Some of the limitations, they're important to

1 highlight, are that even though it was a direct
2 measurement in serum or urine, it was just one time or at
3 most an average of two times that these things were
4 measured, and does not account for postnatal levels.
5 Although, we do expect reduction in exposure over time due
6 to the residential -- cancellation of residential use.

7 Also, a limitation is that -- the critical window
8 of susceptibility is uncertain, so the duration and timing
9 of exposure that's needed for particular effects that are
10 being studied is really uncertain for these outcomes.
11 This is particularly challenging for these
12 neurodevelopmental outcomes, because the vulnerable period
13 for the developing human brain can span from early
14 pregnancy into adolescence.

15 So another potential limitation is that
16 interaction with other chemical exposures or, you know,
17 the effects of exposure to mixtures of chemicals was
18 not -- they really weren't able to assess that to a great
19 extent.

20 So basically, my summary of the weight of the
21 evidence is that there has been a consistency of
22 associations with neurodevelopmental outcomes across a
23 few -- very strong epidemiologic studies that examined
24 varied populations, used somewhat varied designs, and
25 outcomes and crossed multiple neurodevelopmental domains,

1 such as cognition, motor control, and social behavioral
2 development.

3 In addition, this is -- shows some -- bears some
4 consistency with animal studies which have been reviewed
5 by the Panel today. And it has shown that relatively
6 wrong -- relatively strong strength of some of the
7 associations especially in the Columbia study, which with
8 2- to 4-fold increases in the varied outcome measures, the
9 temporality is clear that the -- that is the outcomes were
10 measured after the exposure occurred.

11 There's some evidence, as I said, for dose
12 response, especially, for example, for cognition measures
13 in the Columbia study.

14 A couple -- and just to recap the limitations.
15 Were uncertain about the window of susceptibility, in part
16 because of the long-term development of the brain. Only
17 one of the studies, the Columbia study, which I've
18 highlighted was really -- was able to measure chlorpyrifos
19 directly, and only one time.

20 And the mechanism of action is uncertain, but
21 certainly many plausible possibilities exist as reviewed
22 also by the panel. So, in conclusion, I'd say there's one
23 particularly strong epidemiologic study, the Columbia
24 study, with support from at least two other very strong
25 studies that I've summarized. There's good biologic

1 plausibility and experimental support for an association
2 with neurodevelopmental outcomes.

3 CHAIRPERSON GOLD: Thank you.

4 Any questions by the Panel for Dr. Carmichael?

5 So our secondary discussant is Dr. Nazmi.

6 COMMITTEE MEMBER NAZMI: Thank you.

7 I don't want to spend too much time reiterating
8 what Dr. Carmichael has already covered, but I want to
9 start with some study design considerations, as we kind of
10 transition from the animal studies to the human studies.
11 And I have three points in terms of study design. One of
12 them is that most of this human data comes from really
13 well designed prospective birth cohorts like Dr.
14 Carmichael was mentioning. And this is, of course, one of
15 the strongest epidemiological approaches that we can take.
16 And I reiterate this, because a lot of these developmental
17 outcomes are really contingent on prenatal and in utero
18 exposures. And it's really important for the kind of
19 time-order relationship of exposure outcome that we know
20 the history and the kind of longitudinal aspect of
21 these -- of the way these data are collected. That's
22 number one.

23 Number two, I think we should consider the
24 heterogeneity in study findings. And I think this is
25 relevant to the human and the animal studies. This is, of

1 course, to be expected given the diversity of the
2 participants, their environments, exposure sources,
3 concentrations exposure periods even. But there is a
4 great deal of consistency, like we've heard here in this
5 Panel, across of so many of the different populations even
6 internationally, and in different rural, urban,
7 agricultural settings, which to me speaks to the -- it
8 kind of helps us contextualize the overall effects.

9 We've seen in the human studies physical, mental,
10 social outcomes examined. And there does seem to be a
11 fair amount of consistency across studies even given some
12 of the heterogeneity in individual population findings.

13 And my third point about study considerations, I
14 think Dr. Carmichael has covered it pretty sufficiently,
15 so I won't belabor the point, but these -- there are a
16 compelling number of criteria for causation as we talk
17 about in epidemiology as set forth by A.B. Hill that kind
18 of should be mentioned, perhaps most importantly
19 temporality in this concept of birth cord studies;
20 consistency across findings; the strength of the findings,
21 which are pretty compelling in most cases and seem to be
22 consistent across different study populations.

23 As some of our colleagues mentioned with the
24 mechanistic studies and the animal studies, we have -- we
25 have specificity. In other words, we know that certain

1 precise exposures have the -- by themselves can lend
2 themselves to developmental consequences and plausibility.

3 Dr. Carmichael also mentioned experimental
4 evidence. So I think those are -- those are really
5 important to keep in mind. And what I wanted to do was
6 talk about four of the studies -- four of the most recent
7 studies that I thought were -- that I thought were
8 noteworthy, ranging from 2013 to 2017.

9 And I'm going to begin with the Fortenberry 2013
10 study. And this is data from -- this is data from Mexican
11 participants, in the Mexican context, and that looked at
12 outcome of ADHD. And there was suggestive evidence for
13 increased ADHD index in the highest -- in the highest
14 tercile among boys. And in some of these studies that I
15 reviewed I think it was clear that there might be some
16 dichotomy in sex.

17 So it's kind of -- it was kind of interesting
18 that in a lot of these studies there was -- there were
19 some differences by sex. And that study I thought was a
20 good example of that.

21 The Rauh study from 2000 -- Rauh, I think I'm
22 pronouncing that correctly from 2015, which was among New
23 York participants looked at mid-childhood tremors. And
24 this was notable to me because of the age of the children,
25 which is -- which was approximately 11 years, which

1 suggested that some of these effects can really have
2 life-long effects, and the outcome was mid-childhood
3 tremors and nervous system consequences as a result of
4 exposure as measured by umbilical cord blood among about
5 260 minority children.

6 The next study was the Fluegge study from 2016.
7 And this was among participants in Ohio that looked at
8 mental functioning. And I'm -- I'm giving you a little
9 bit of a diversity of literature since we can't talk about
10 all the studies just to kind of emphasize the different --
11 the different measures that were -- that were studied.

12 And finally, the Silver study. This is data from
13 Chinese participants that looked at motor function,
14 reflexes, and locomotion and so on. And in this study,
15 girls appeared to be more sensitive to the negative
16 effects than boys, so -- and I think one thing that I --
17 that I might like to add in terms of limitations that Dr.
18 Michael -- Carmichael spoke about was was the specificity
19 of -- specificity of the impact on outcomes as relates to
20 things like differences between the sexes.

21 Besides that, I don't think I have anything
22 further.

23 CHAIRPERSON GOLD: Thank you. Any questions or
24 comments for Dr. Nazmi?

25 Dr. Pessah.

1 COMMITTEE MEMBER PESSAH: So I'm trying to relate
2 the animal studies to the levels of exposure in the
3 Columbia study, which I agree was very well done. And I
4 went to the Rauh 2012 PNAS articles, where the
5 exposures -- the upper quartile -- tertile was compared to
6 the low exposure group, same cohort using FMRI -- or MRI -
7 probably not functional MRI - to measure differences in
8 brain volume, which is a really specific kind of endpoint.

9 And they found some really amazing differences,
10 which suggest that maybe there is a cause and effect from
11 the cord blood levels to many, many years later. So I
12 went into the cord blood levels, and I did a
13 back-of-the-envelope calculation. And the levels that
14 were included in that study in the high group in the upper
15 tertile ranged in the neighborhood of 4.4 picograms per
16 gram of plasma, cord blood plasma.

17 So I assume the density of 0.016 and corrected
18 that - it's a minor correction - and came up with the,
19 what we would consider, the PK/PD steady study state level
20 of 13 picomolar.

21 Now, the question I have is that level is
22 probably not reflective of the peak levels. And that was,
23 I think, a major point that EPA wanted advice on, because
24 they did very, very elegant PK/PD modeling in 2016, which
25 was presented to the FIFRA panel.

1 So if we assume maybe the peak levels were
2 100-fold higher than that baseline level of 13 picomolar,
3 that's a pretty astounding dose response, isn't it, that
4 you have that low a level that might peak around 130
5 picomolar to 1.3 nanomolar to produce these biological
6 effects, again much lower than anything the animal
7 studies.

8 So then I -- I said, well, you know, let's look
9 at Silver et al. 2017, not as well characterized a study,
10 and I just looked at what their levels of exposure were.
11 And in those kids, because they had cord blood levels, it
12 was 127 times higher for the upper tertile versus the Rauh
13 et al., so in other words, their level of exposures were
14 much higher.

15 And they definitely saw impairments in the
16 children, but one would imagine if you're 100 plus above
17 in concentration-effect relationship, you would see much
18 more dramatic biological outcomes. And maybe that's the
19 wrong way of thinking about this, but I just was wondering
20 about the very low levels. If, in fact, we believe those
21 levels, which there's no reason to doubt them, that's an
22 amazing potency for producing neurobehavioral effects in
23 the offspring, based on cord blood levels, yeah.

24 I was just wondering if you could comment.

25 CHAIRPERSON GOLD: Either of you, Dr. Carmichael,

1 Dr. Nazmi.

2 COMMITTEE MEMBER CARMICHAEL: Well, I totally
3 admit, yeah, doing the back-of-the-envelope calculations
4 and so forth is not -- that it's your expertise and not
5 mine. I guess the only thing I would have to add is that
6 I mean there was a -- even though these are -- these are
7 very low levels being measured, there was a lot of
8 variability within the subjects in the levels that were
9 measured. And so they were able to measure -- you know,
10 compare them at different levels, you know, as for the
11 exact translatability of that absolute value, that's where
12 I'm not such an expert.

13 CHAIRPERSON GOLD: Dr. Nazmi.

14 COMMITTEE MEMBER NAZMI: Yeah, I think that
15 speaks to two issues, one of them being threshold. And if
16 indeed it's really that low, you know as compared to the
17 animal studies, I think, perhaps it's an alarming finding,
18 and the dose response effect of it. Although, the -- you
19 know, the 127 times higher in one cohort versus the next,
20 it is -- I think it warrants a little -- perhaps a little
21 bit closer examination, but it does speak to perhaps
22 pretty strong -- pretty strong dose response effects. And
23 I think in many of these cohorts, whether they looked at
24 quartiles or terciles at the top, compared to the bottom
25 quartile or tercile was a lot higher. So their exposure,

1 whether it was source, whether it was frequency
2 concentration, it was -- I think it was -- yeah, sorry. I
3 lost my train of thought.

4 But I would say dose response and threshold, it
5 speaks to both of those. And if you're -- according to
6 your calculations, I think compared to the animal studies,
7 it is -- that calculation is something I hadn't
8 considered. But if it holds, it's I'd say alarming.

9 CHAIRPERSON GOLD: Dr. Luderer.

10 COMMITTEE MEMBER LUDERER: Yeah. I just -- kind
11 of what both of you were saying, I had a couple of
12 thoughts about that. One of them is that this does
13 really, I think, raise the issue as far as mechanism goes,
14 that some of the non-cholinesterase inhibiting mechanisms
15 may be the ones that are at play here, and -- because, as
16 you pointed out, I mean, those levels are not likely to
17 dramatically inhibit cholinesterase activity. And so I
18 think that that -- that's a really -- one possibility.

19 Another one is that in the human studies, we're
20 talking about hundreds of participants. And, you know, we
21 have less than 10 in most groups in the animal studies.
22 So if we only had 8 humans per group, I don't think we'd
23 be able to see differences in these studies. So, I mean,
24 think that's another thing to keep mind. These were
25 relative large studies that had hundreds of participants.

1 CHAIRPERSON GOLD: Let me ask the question, would
2 we expect in the non-cholinesterase possibilities that
3 there would be species differences, such that the humans
4 might be more sensitive? Does anyone know?

5 Okay, then.

6 So if I can take a moment, I think we're ready to
7 move to public comments. I will say we had on this topic
8 three requests for extra time, which we granted. One of
9 those has had to leave though, and that's Dr. Irva
10 Hertz-Picciotto. And she has left her slides, and we will
11 enter them into the record and make copies of them for
12 distribution.

13 But if you'll just give me a minute to organize
14 the other ones, then we'll begin with the other public
15 comments.

16 (Pause in the proceedings.)

17 CHAIRPERSON GOLD: So I think what we'll do is
18 begin with those who have extended time. But I -- one of
19 those also has to leave early, so if we can switch the
20 order.

21 MR. LANDFAIR: Chairman Gold.

22 CHAIRPERSON GOLD: You want to put your
23 microphone on, please.

24 You want to identify yourself, please.

25 MR. LANDFAIR: I'm Stanley Landfair. I'm

1 representing Dow. I didn't get up to speak. Just ask as
2 a procedural point, if the other speaker left her slides
3 behind, if that's something to be considered by the Panel,
4 may we get a copy so that we can see them?

5 CHAIRPERSON GOLD: Yes. I think I said that our
6 plan is to make copies and distribute them --

7 MR. LANDFAIR: Thank you. I missed that

8 CHAIRPERSON GOLD: -- and enter them into the
9 record.

10 MR. LANDFAIR: Thanks.

11 CHAIRPERSON GOLD: Okay. Thank you for the
12 opportunity to clarify. I will note that we have a timer
13 up here that I understand can be seen at the podium. So
14 for the timed comments, it will be the amount of time that
15 they requested and that we granted. And for the five
16 minutes, it will also -- it will be five minutes.

17 But because we have one person who has to leave,
18 so the first person who requested an extension of time was
19 Miriam Rotkin-Ellman from the NRDC.

20 MS. ROTKIN-ELLMAN: I'm flexible the whole day.

21 CHAIRPERSON GOLD: Okay. So if the person who
22 has a time commitment is Kim Harley, is she here?

23 Okay. You didn't request extra time, you just
24 need -- need to do -- yeah. So why don't you come up
25 first, and then we'll do the other two that have

1 extensions.

2 Please introduce yourself and where you're from
3 and.

4 DR. HARLEY: Is this on?

5 Okay. Thank you. My name is Kim Harley. I'm a
6 faculty member at the School of Public Health at UC
7 Berkeley, and I'm also one of the lead researchers on the
8 CHAMACOS cohort study that was mentioned just a few
9 minutes ago by Dr. Carmichael.

10 So that is a cohort study that's examining
11 pesticide exposures and children's development in the
12 human population, an epidemiologic study.

13 I am an epidemiologist specializing in the
14 reproductive and departmental effects of environmental
15 chemicals. And I've spent the last 18 years of my career
16 investigating the effects of organophosphate pesticides,
17 of which chlorpyrifos is one, on the health of pregnant
18 women and children. And I know that several of our
19 CHAMACOS study papers have already been considered by the
20 Committee.

21 I'm here today, because I wanted to express my
22 concerns about chlorpyrifos, but also about the whole
23 class of organophosphate pesticides. Our research group
24 has published multiple peer-reviewed papers that suggest
25 that organophosphate pesticides act as developmental

1 neurotoxicants in humans.

2 And I wanted to just very briefly summarize some
3 of the findings of our CHAMACOS research. Dr. Carmichael
4 has already alluded to them, but I just wanted to briefly
5 talk about what we found.

6 The CHAMACOS study is a longitudinal birth cohort
7 study that was started specifically to investigate the
8 effects of organophosphate pesticides of, sorry, chronic
9 low dose exposure to organophosphate pesticides on
10 children's health and neurodevelopment.

11 In 1999 and 2000, we enrolled 600 pregnant women
12 living in a farmworker community in the Salinas Valley of
13 California. And we've followed these women now for 16
14 years, the children are more than 16 years old now. We
15 see their children every 1 to 2 years, and we conduct
16 detailed physical exams and neurodevelopmental test
17 batteries with the children.

18 When the mothers were pregnant, we took urine
19 samples to measure levels of dialkyl phosphate
20 metabolites, which are metabolites of organophosphate
21 pesticides. And I believe Dr. Pessah referred to this
22 earlier. These are not metabolites specific to
23 chlorpyrifos. They're metabolites of the entire class of
24 organophosphate or many of the pesticides within the
25 organophosphate class.

1 So we are not able to look, in this case,
2 specifically at chlorpyrifos, but we are able to look at
3 the class of chemicals that act in the same mechanism, of
4 which chlorpyrifos is one.

5 We have found in our study that higher
6 concentrations of these dialkyl phosphate metabolites in
7 urine during pregnancy was associated with mothers having
8 Children who had more abnormal reflexes at birth, poorer
9 mental development index scores at age two, symptoms of
10 pervasive developmental disorder at age two, attention
11 problems, an ADHD behaviors at age five, and lower IQ at
12 age seven.

13 We've also found some evidence that some
14 individuals maybe more susceptible to these
15 neurodevelopmental effects because of either their PON1
16 genotype or their PON1 enzyme activity.

17 We also measured these dialkyl phosphate
18 metabolites in the children as they aged, but we haven't
19 found many associations with childhood exposure. So I
20 think it's important to note that our results suggest that
21 it's the maternal exposure during pregnancy to these
22 organophosphate pesticides that may be impacting
23 neurodevelopment, rather than later childhood exposure.
24 And this speaks to Dr. Carmichael's comment about windows
25 of susceptibility.

1 One of the challenges in doing epidemiologic
2 research on organophosphate pesticides like chlorpyrifos
3 is measuring exposure during pregnancy. And we didn't
4 want to rely solely on urinary metabolites as our exposure
5 measure, so we've also used California's pesticide use
6 reporting data to calculate how many pounds of
7 chlorpyrifos and other organophosphates were used around
8 the CHAMACOS mothers' homes when they were pregnant.

9 I don't know that this -- these papers were
10 considered by the Committee, but we have now published
11 papers showing that higher use of organophosphates in
12 general within 1 kilometer of the home during pregnancy is
13 associated with lower IQ at age seven. And we've
14 specifically shown that higher use of chlorpyrifos around
15 the home is associated with poorer verbal skills at age
16 seven.

17 So, in summary, in the CHAMACOS cohort we found
18 associations of markers of prenatal exposure to
19 organophosphate pesticides with poorer neurodevelopment in
20 children looking at exposure in two completely different
21 ways with mother's urinary metabolites and with usage
22 within 1 kilometer of the home. And then there are
23 several other -- as we've heard, several other
24 epidemiologic studies by other researchers that really
25 show quite consistent findings with ours.

1 But I particularly wanted to allude to the
2 studies by Rauh et al. in the Columbia cohort that we've
3 already heard about, because those measure chlorpyrifos
4 exposure in another way, in a third way, which was looking
5 at levels of chlorpyrifos in cord blood. And the Columbia
6 study's findings are actually very similar to ours, even
7 though they used a different way of assessing exposure.

8 They also found lower IQ in children. They also
9 found attention problems, ADHD, and pervasive
10 developmental disorder symptoms. And they also found
11 morphological changes in the brain on MRI scans which is
12 not something we've done yet, but something that we're
13 looking towards doing in the future.

14 So at this point, there's quite a robust body of
15 epidemiologic literature showing associations of prenatal
16 exposure to organophosphate pesticides in general and
17 chlorpyrifos in particular with poorer neurodevelopment
18 and behavior in children.

19 These studies take place in different populations
20 and measure exposure in a variety of different ways.
21 Finally, I just wanted to end by commending the Committee
22 for examining the evidence related to neurodevelopmental
23 and reproductive toxicity of chlorpyrifos, but I want to
24 point out that there are several other organophosphate
25 pesticides that use the same mechanism of action as

1 chlorpyrifos, chemicals like acephate, malathion --

2 CHAIRPERSON GOLD: I believe your time is up.

3 Excuse me.

4 DR. HARLEY: Okay. Can I finish my sentence?

5 CHAIRPERSON GOLD: Finish your sentence.

6 DR. HARLEY: Okay. So I just believe that the
7 DART Committee should also be examining these other OP
8 pesticides as well.

9 CHAIRPERSON GOLD: Okay. Thank you.

10 Are there any questions from the Panel for Dr.
11 Harley?

12 Thank you.

13 I think we will move next to Miriam
14 Rotkin-Ellman, who requested extra time, and so she has 10
15 minutes.

16 Please introduce yourself and where you're from.

17 MS. ROTKIN-ELLMAN: I'm so short. Okay. So I'm
18 Miriam Rotkin-Ellman. I'm a scientist with the Natural
19 Resources Defense Counsel.

20 (Thereupon an overhead presentation was
21 presented as follows.)

22 MS. ROTKIN-ELLMAN: I also had slides that I
23 think are coming, if not -- so, well, you don't need a
24 slide to know who I am. So this presentation was done in
25 conjunction with my colleague Jennifer Sass. And NRDC has

1 been involved and worried about the neurodevelopmental
2 effects of chlorpyrifos for a long time. We work in
3 collaboration with other groups, both at the national
4 level, and at the State level, to advance public health
5 protections for children, particularly during critical
6 windows of development.

7 --o0o--

8 MS. ROTKIN-ELLMAN: What button am I pushing
9 here?

10 Ah. Why doesn't it stay?

11 Can you do it?

12 It's pretty quick. Okay. Thank you.

13 And again, thank you for giving some extra time
14 today. There's no financial interests in the topic of
15 these compounds -- comments.

16 Next slide.

17 --o0o--

18 MS. ROTKIN-ELLMAN: So you all have really dove
19 deep into this extensive body of literature. So my
20 comments are largely going to put some of the
21 conversations that have already taken place into a little
22 bit of a larger context in the broader conversation around
23 the developmental toxicity of chlorpyrifos, not only here
24 in California, but at the national level. You all have
25 interfered -- interacted with a number of these documents,

1 but we're going to just take one step out and talk about
2 this a little bit in a broader context with some broader
3 themes.

4 Next slide.

5 --o0o--

6 MS. ROTKIN-ELLMAN: So I think others will
7 probably speak to this largely in the public comment. I'm
8 going to -- the question you have in front of you is of
9 grave public health significance for the State of
10 California. We know we have widespread exposure here in
11 California. And a number of the exposure studies that
12 have been done in California, including biomonitoring, has
13 actually shown elevated levels in pregnant women in
14 California as compared to the national average.

15 So the question of what is the most sensitive
16 endpoint for this particular pesticide is of critical
17 importance in the State of California for devising public
18 health strategies that provide maximum levels of
19 protection. So once again, I commend you for tackling
20 this body of literature.

21 Next slide, please.

22 --o0o--

23 MS. ROTKIN-ELLMAN: You all have already gone
24 through this. This is the Prop 65 criteria. The
25 component I wanted to note here is that while it's useful

1 to think about each of these different streams of
2 evidence, whether you're talking about evidence in human
3 epidemiologic studies, or animal studies, or the
4 mechanistic studies, that the whole picture is also
5 incredibly informative.

6 And rather than comparing the strengths or merits
7 of each of these individuals bodies, I encourage you, and
8 some of these -- some of the presenters have already
9 started this narrative, and I really encourage, when you
10 get to your deliberations, that they not be positioned
11 against each other, but that the commonality between the
12 animal studies and the mechanistic studies and the human
13 studies really be explored in terms of weight of evidence
14 for developmental toxicity.

15 Next slide.

16 --o0o--

17 MS. ROTKIN-ELLMAN: So since the DART Committee
18 last reviewed the developmental toxicity of chlorpyrifos,
19 this question has been in front of a number of independent
20 scientific reviews. Even the first Science Advisory Panel
21 in 2008 was subsequent to the last DART meeting, and then
22 there were two others subsequent to that.

23 Each of these Science Advisory Panels engaged
24 with the topic of neurodevelopmental toxicity associated
25 with chlorpyrifos, with a number of different questions, a

1 number of different angles. But similar to the way your
2 own evaluation of these data, these similarly see a very
3 consistent pattern, noted because it's patterned between
4 the animal studies and the human studies, between each of
5 these panels, so -- on terms of a consistent pattern of
6 developmental toxicity.

7 I want to talk just a tad about the 2016 Science
8 Advisory Panel, because some of the conclusions of that
9 panel have been a little bit confusing and sometimes
10 misrepresented. And while it was not a consensus panel,
11 and, in fact, was actually engaged very much in much more
12 of a question of the dose response related to the Columbia
13 study than it was the developmental toxicity, they did
14 note a couple of things that are, I think, of importance
15 to this Panel.

16 One being that the Panel, as a whole, agreed that
17 cholinesterase inhibition was not the most sensitive
18 endpoint, and that what we're looking -- when you're
19 looking for the most sensitive endpoint, it is likely
20 below. And that also a number of the panel -- of the
21 panel members really pointed to, what a number of folks
22 have already mentioned today, was the strength of the
23 Columbia study.

24 Next slide, please.

25 --o0o--

1 MS. ROTKIN-ELLMAN: In addition, U.S. EPA has
2 spent the last decade or so spending a lot of energy
3 reviewing organophosphates in general, chlorpyrifos in
4 particular in looking at the data. And you all have seen
5 these documents highlighting a few of the conclusions.

6 Important to note from the literature review of
7 the -- all the organophosphate pesticides is that
8 conversation between the class that we just heard about
9 from Dr. Harley and the specifics of chlorpyrifos. And
10 that those are both informative towards understanding the
11 developmental toxicity of chlorpyrifos.

12 Again, we saw in both the 2014 and 2016 human
13 health risk assessments from EPA the importance of
14 addressing neurodevelopment, in particular with the 2016
15 assessment, that used neurodevelopmental endpoint as the
16 most sensitive endpoint

17 Next slide.

18 --o0o--

19 MS. ROTKIN-ELLMAN: So outside of the government
20 context, the question of neurodevelopment has concerned a
21 number of other outside experts. American Academy of
22 Pediatrics released this statement in the past year, again
23 drawing on both multiple streams of evidence,
24 epidemiological and toxicological studies, and the
25 strength of the evidence for harm for children.

1 Next slide.

2 --o0o--

3 MS. ROTKIN-ELLMAN: And in the academic
4 literature, scientific reviews we've already heard, and
5 you all have seen the Burke et al. paper, again trying --
6 you know, again integrating multiple streams of evidence,
7 at the same time as reinforcing the power and strength of
8 the human studies, at the same time as seeing it
9 corroborated in animal and mechanistic studies.

10 For the two OP systematic reviews, although both
11 of them looked at both prenatal and postnatal exposures,
12 both highlighted the strength of the evidence for the
13 prenatal exposure pathway, and particularly in the
14 longitudinal studies, finding consistent patterns of
15 outcomes in children.

16 Next slide.

17 --o0o--

18 MS. ROTKIN-ELLMAN: I want to just talk briefly
19 about the registrant animal studies. And just as I used
20 this mechanism to show that we're really talking about --
21 the registrant animal studies are really quite a bit older
22 than the body of literature that we're mostly examining
23 today, and that they've also been critiqued by the
24 Environmental Protection Agency, which is in charge of
25 reviewing those studies.

1 So I note four animal studies that have been
2 highlighted in Dow's comments were all conducted prior to
3 EPA developing a developmental neurotoxicity protocol, and
4 so were not really designed to identify those effects.

5 The one that was conducted later than that was
6 classified by EPA as unacceptable, because of not
7 properly -- one of the reasons being not properly
8 quantifying the low-dose effects.

9 Next slide.

10 --o0o--

11 MS. ROTKIN-ELLMAN: And so lastly on this, and
12 you all have already spoken to this a number of times, I
13 just want to come back to it, that when we're thinking
14 biological plausibility, we're thinking about how to
15 connect the dots between these different streams of
16 evidence. Not having a specific mode of action is not
17 necessarily an impediment for listing under Prop 65.

18 U.S. EPA, also has a staff, you know, weighed in
19 on this conversation to say that not the lack of
20 establishment of action or adverse outcome pathway doesn't
21 undermine or reduce the confidence in the findings of the
22 epidemiologic studies. We know from looking at other
23 developmental neurotoxicants, such as lead, that we need
24 to take public health action, even when we may not have
25 fully identified the exact mode of action or multiple mode

1 of actions or efforts outcome pathways.

2 And then again, these multiple streams of
3 evidence, the mechanisms that some of you have already
4 mentioned that have been summarized in a couple of these
5 pieces do support the biologic plausibility of the
6 outcomes that have been measured in both -- in the human
7 studies.

8 And then lastly, you know, a colleague likes to
9 say we know that chlorpyrifos is neurotoxic. It's
10 designed to be neurotoxic. We know the developing brain
11 is a time of extreme growth in the development of the
12 child's brain. And the idea that you find a lot of
13 reassurance in the biologic plausibility that an act --
14 you know, a known toxicant to the neurologic system may
15 have increased potency during development.

16 And thank you for -- for all your attention
17 towards this literature and giving me some extra time to
18 speak today.

19 CHAIRPERSON GOLD: Thank you.

20 Are there any questions from the Panel for this
21 speaker?

22 Thank you.

23 So now I need input from the Panel. We can
24 either take a lunch break or we can hear from Dow
25 AgroSciences, but they've requested extra time. They have

1 three speakers, and we've given them 25 minutes.

2 So lunch break?

3 Preferences?

4 Everybody good for another 25 minutes?

5 So if the speakers from Dow AgroSciences will
6 come forward, and they have 25 minutes total.

7 (Thereupon an overhead presentation was
8 Presented as follows.)

9 MR. LANDFAIR: Thank you, Panel members. Thank
10 you, Dr. Gold. Thank you, Dr. Zeise.

11 My name is Stan Landfair. I'm from the Dentons
12 law firm. I'm proud to represent Dow AgroSciences. We
13 have two other speakers, Carol Burns, who is an
14 epidemiologist, and Daland Juberg, who is a toxicologist
15 that will speak within their own disciplines. And for the
16 interests of time, they will self-introduce.

17 We want to start though by thanking for the
18 obvious effort that you have put into this. It's not
19 always the case that we appear before a Panel where it's
20 obvious that so much homework has been done.

21 Nevertheless, we think the real work is just
22 begin. And as the attorney here, I'd make a disclaimer, I
23 am not going to debate with you about scientific points,
24 but I do want to start with a reminder of why -- that we
25 have a process here.

1 --o0o--

2 MR. LANDFAIR: The criteria for listing, there's
3 a reason why we discuss these. It's because we want to
4 make sure the decision gets made within the rails. And
5 the real test here is written right into the statute, is
6 whether or not the data clearly show that the chlorpyrifos
7 causes reproductive toxicity. And if not, it's your duty
8 not to list it.

9 It's not a duty to fill in gaps and to speculate
10 regarding a method of action - forgive me - but to -- or
11 to try to hypothesize regarding other questions that the
12 data don't answer. The question for us here is whether
13 the data clearly show that this chlorpyrifos causes
14 developmental toxicity.

15 --o0o--

16 MR. LANDFAIR: So I think I made the point, and I
17 don't need to belabor it, but we go by the weight of the
18 evidence. And there's certain things that will not
19 satisfy the clearly-shown standard. And if we find
20 ourselves saying words like, "Well, the data suggest",
21 "It's likely", or seek, you know, for whatever noble
22 purpose or instinct to apply the precautionary principle,
23 that is an instance that belongs in another proceeding.

24 --o0o--

25 MR. LANDFAIR: The question before us again is

1 whether or not the data clearly show that the chemical
2 causes reproductive toxicity.

3 --o0o--

4 MR. LANDFAIR: The other point I'd like to make
5 is one of context and perspective, and as Dr. Donald made,
6 context is important here. The principal documents that
7 you've received in the hazard identification materials,
8 the HIM, are -- appear on this registration timeline. As
9 we know this is a registered pesticide. It's subject to
10 ongoing continual reviews. The last review began in 2009.
11 It's ongoing now.

12 And two of the principal documents that you
13 received in the HIM are the 2014 and 2016 EPA revised
14 human health risk assessments. The story does not end
15 there. And even in the context of this regulatory review,
16 there have been other analyses issued and other documents
17 issued that we want you to have for the benefit to help
18 you round out your thinking, and to evaluate -- you
19 shouldn't be making this in a vacuum. There are other
20 agencies in addition to you - and we consider you an
21 agency evaluating these data. And with all respect, they
22 have reached different conclusions. So different, that we
23 want to make sure you have those documents. We've talked
24 about this with OEHHA.

25 --o0o--

1 MR. LANDFAIR: When this presentation is over, I
2 will give you a letter with these documents as
3 attachments. And just as high spot, this is what they
4 say. The USDA, another respected agency, said they have
5 great concerns about the EPA process, even though the use
6 of the Columbia Center for the -- the Columbia study was
7 criticized by the FIFRA SAP, they went ahead and used it
8 without question.

9 The latest risk assessment, in EPA's word, fails
10 to show either a causal or dose response relationship.
11 And those are gaps in the data that can't be filled by our
12 informed, and well-informed -- we respect your knowledge.
13 But if the data don't show it, we can't get there by
14 filling gaps.

15 The 2017, I want to bring you up to date with
16 what EPA says today on the status of its evaluation of
17 chlorpyrifos. It says the science addressing
18 neurodevelopmental effects remain unresolved. And in the
19 context of addressing some other petitions, the EPA has
20 largely walked back from its previous conclusions, and has
21 said this needs further review.

22 With that, I'll introduce Dr. Burns.

23 --o0o--

24 DR. BURNS: Thank you. My name is Carol Burns.
25 I've been studying chlorpyrifos epidemiology for more than

1 two decades in my role as an epidemiologist for the Dow
2 Chemical Company. And I've now retired and serving as a
3 consultant.

4 --o0o--

5 DR. BURNS: In 2008, the Panel concluded that the
6 evidence was not sufficient to establish chlorpyrifos as a
7 developmental toxicant. And I would like to put forth the
8 position today that the epidemiology results are, in fact,
9 inconsistent and do not clearly show an effect.

10 So in the next 10 minutes, I'd like to quickly
11 review interpretation of the new epidemiology publications
12 since 2008. And keeping in mind the Hill guidelines and
13 the importance of the scientific tenet for the
14 reproducibility of findings, so talking about consistency
15 across these publications. But I'd also like to talk
16 briefly about a new interpretation of research.

17 We hear about the Hill criteria a lot, but
18 increasingly individuals concerned about causal inference
19 are also talking about principles of bias confounding
20 transparency, and most important for public health
21 decision making is quality. So I'd like to talk about
22 that briefly today.

23 So first of all, what is new interpretation?
24 What does that mean?

25 And this gets to the concept of exposure

1 assessment, which is so difficult to do in epidemiology.
2 And there are a couple of approaches that I would like to
3 discuss further today. One is proximity to an
4 application, relying on residence, and how important it is
5 to incorporate validation into these studies.

6 Secondly, as we heard a lot about biomonitoring,
7 because chlorpyrifos is short-lived in the body, about 24
8 hours, best practices are recommending to use multiple
9 samples and to estimate error in these assessments. And
10 lastly, the importance of specificity. This is highly
11 relevant for chlorpyrifos in using the metabolites. In
12 looking at consistency across studies, are you looking at
13 organophosphates, or are you looking at the data specific
14 to chlorpyrifos?

15 --o0o--

16 DR. BURNS: So one of the studies that hasn't
17 been discussed yet today, but is in the package is the
18 case control study of autism. And I know the panelists
19 are aware of this method, and it's a great idea to replace
20 the use of questionnaires that's -- that has its own
21 biases, to rely on geocoding of residents and matching
22 that with a known documented information about pesticide
23 use.

24 However, there's also some limitations to this
25 approach as well. And efforts to validate this has been

1 used with collecting house dust. And some efforts have
2 been underway to suggest that there are improvements that
3 could be made to this algorithm, such as by incorporating
4 information about weather, behaviors of the homeowners,
5 and characteristics of the home itself, such as having an
6 air conditioner.

7 --o0o--

8 DR. BURNS: But house dust alone isn't enough.
9 It doesn't really tell us about internal dose. Other
10 efforts to evaluate proximity to an application have been
11 done using biomonitoring. And this example of a most
12 recent study -- I don't have a clicker -- demonstrates the
13 study from the Institute of Medicine, in which they
14 collected urine in women and children living within 100
15 meters of an application, looking off season, and second
16 one during the season, and then immediately after the
17 spray.

18 And what I'd like you to notice is that the
19 levels of urine concentrations of the metabolite of
20 trichloropyridinol are essentially the same across the
21 different categories.

22 --o0o--

23 DR. BURNS: While biomonitoring is important as a
24 tool for validation, we've also seen it used extensively
25 as a point estimate for exposure.

1 Here's an example from the Fortenberry study in
2 Mexico city, in which they collected three samples in the
3 first, second, and third trimester. And you can see the
4 women are connected by their own colored dot lines, so you
5 can see the variability across them.

6 And what's important here to recognize is the
7 reliance on a single sample, such as at or near delivery
8 of the child may not predict at all the levels previously.
9 And this is important to keep in mind that we must first
10 do a good job of evaluating exposure before we start
11 looking at the exposure outcome associations.

12 --o0o--

13 DR. BURNS: So what does this mean with respect
14 to interpretation?

15 Well, importantly, this puts the CHAMACOS study
16 in a different light. Because they collected two urine
17 samples during pregnancy as well as a blood sample at or
18 near delivery, this is an important component.
19 Furthermore, they had a good attention to collecting field
20 blanks and spikes to determine contamination and stability
21 of the analyte.

22 In contrast, while we've heard a lot about the
23 strengths of the Columbia study, we must keep in mind that
24 they only collected a single blood sample. Furthermore,
25 knowing that the chlorpyrifos is lipophilic, and I know

1 from experience -- actually, three experiences, that a
2 woman's body weight changes significantly during
3 pregnancy, that it's really important to control for
4 lipids in this type of study.

5 Furthermore, the Mount Sinai study also relied on
6 a single urine sample.

7 --o0o--

8 DR. BURNS: Talking quickly about the new
9 studies, our detailed comments are in the written
10 comments. But the new studies looked at infant health,
11 the Bayley Scales looking at both physical and mental
12 development, and other outcomes from age three days up to
13 14 years. But again, looking at the data across these
14 studies are not consistent.

15 --o0o--

16 DR. BURNS: And I'll show you an example of what
17 I mean by not consistent. So we've heard a lot about the
18 IQ testing. So looking first at the studies that
19 collected more than one sample, which is the CHAMACOS
20 study and the HOME study from Ohio, neither of these
21 studies found a statistically significant association with
22 IQ or working memory. And I'll highlight what DEP is the,
23 diethylphosphate, which is a metabolite of 10
24 organophosphates, to be differentiated from the diethyl
25 phosphate for all the organophosphates. Notably, the

1 CHAMACOS study has data on the urine metabolite TCPy but
2 did not report it for this study.

3 There are three other studies, the Columbia study
4 is one, collected a single sample. So collectively across
5 all five studies, the Columbia study is the only study to
6 show an association.

7 So to get to the point of dose estimate of the
8 Columbia study, is this study true and valid, or is it a
9 false positive?

10 --o0o--

11 DR. BURNS: So in conclusion, the epidemiology
12 data do not meet the criteria for sufficient evidence in
13 humans. Looking at individual studies, the weak exposure
14 study really limits our interpretation of them
15 individually.

16 Looking at the group -- the studies as a group,
17 collectively looking at similar adverse associations are
18 not consistent. It's interesting having different
19 interpretations. My interpretation of the CHAMACOS study,
20 it is a stronger study, because of its collecting two
21 biological samples. But looking at their publication
22 specific to chlorpyrifos of their data on urinary TCPy and
23 diethyl phosphate, do not show relationships with exposure
24 and adverse exposure -- adverse effects. Excuse me.

25 So at this point, I'll turn the presentation over

1 to Dr. Juberg.

2 DR. JUBERG: Thank you very much. And I
3 appreciate the opportunity to speak to you today.

4 My name is Daland Juberg. I'm the chief
5 toxicologist for chlorpyrifos, and have been for the past
6 12 years.

7 --o0o--

8 DR. JUBERG: Similar to Dr. Burns, in this --
9 this Panel reviewed the evidence in 2008, and you can see
10 the conclusion there when it was reviewed for both a
11 developmental or reproductive toxicant. It would be my
12 contention that the same evidence has not changed in 2017.
13 There are new in vivo animal studies, but they do not
14 clearly show evidence of developmental toxicity.

15 The discussants today have done a great job of
16 taking us through a lot of the literature, so there is a
17 vast voluminous literature, let's agree. There are many
18 studies that report multiple outcomes, many implicating
19 non-cholinergic pathways, but these are not identified by
20 or -- identified confirmed mode of action. It would be my
21 contention that the field of toxicology has moved beyond
22 descriptive toxicology. We need to continually push
23 ourselves and understand what that mode of action is.

24 Many of alternative non-animal approaches can be
25 useful screening tools, but do not provide clearly shown

1 through scientifically valid testing evidence of effects
2 in mammals.

3 As a footnote, I would let the panel know I
4 chaired the Society of Toxicology's 2015 Future Tox 3, in
5 which we looked at a number of new approaches so that we
6 can continue to bring in new approaches and tools for to
7 progress the science.

8 --o0o--

9 DR. JUBERG: What do we have through with
10 chlorpyrifos, relative to the guideline studies that were
11 referred to by a previous speaker. There are four studies
12 and three animal species. We're required to do two. We
13 did an extra one in the mouse, done according to this test
14 guideline. Collectively, what were the study conclusions?

15 No developmental toxicity in the absence of
16 maternal toxicity. Cholinesterase inhibition was the most
17 sensitive endpoint in all studies. And I point this out
18 because it's been pointed out by a number of scientific
19 advisory panels and others that protection against ChEI,
20 or cholinesterase inhibition is protective against other
21 potential toxicities.

22 And I would make note that in addition to some of
23 the reviews that have been cited, we started looking at
24 this in 2008 with the Eaton et al. publication followed up
25 by a very robust review by an Abbi Li published in 2012,

1 in which she looked at a lot of this literature itself.

2 Another review panel was Prueitt et al. 2012. So
3 I would hope that the panel would take a look at some of
4 those evidences as well.

5 --o0o--

6 DR. JUBERG: So when you -- we talk about, and
7 you discussed this quite well, when we look at this -- all
8 the new studies provided to the DARTIC, there are a number
9 of studies, hundreds. And you can see the list in which
10 they were provided, two revised human health assessments,
11 including also we have September 1 and 8 list. They do
12 number in the hundreds. But I would say that many of the
13 studies do not meet sufficient relative to animal testing.
14 If you parse through these, and I did, look at each study,
15 numerous studies did not even use chlorpyrifos as the test
16 material, many involved postnatal exposure only, a number
17 looked at non-developmental endpoints.

18 So the ones I focused on, and that are in our
19 written comments that were submitted to you, were those
20 involving gestational exposure primarily. However, many
21 of the studies here even, there are experimental elements
22 that would conflict with criteria for what would be
23 considered sufficient animal evidence.

24 What are some of these design challenges? I
25 would contend that a non-relevant route of administration

1 for humans, subcutaneous injection is a challenge. The
2 use of dimethyl sulfoxide, a number of -- there's great
3 literature on the low levels, even at levels of 0.0025, in
4 which this is neurotoxic.

5 The use of a single does, or high doses, which
6 exert frank toxicity, or singular doses don't permit the
7 evaluation of a dose response. And, in fact, if you look
8 through the literature, there are many studies that report
9 effects on offspring below a threshold for cholinesterase
10 inhibition. But as I go through these, many of the
11 studies don't ever mention or don't measure cholinesterase
12 inhibition. So while it's assumed that 1 milligram per
13 kilogram day is that threshold, I think we need to look at
14 where you're inhibiting plasma, and then red blood cell,
15 and then brain cholinesterase.

16 --o0o--

17 DR. JUBERG: So this is just an example. I've
18 made a table. I've not -- I've just pulled six of studies
19 from this OEHHA September 1 list. They're organized
20 chronologically by date. The rest of the studies are in
21 our written comments. What I want to just take you
22 through is just showing you some of the challenges in my
23 mind of what these experimental challenges are.

24 If you look at the route of administration, many
25 involve subcutaneous injection, not irrelevant. Dermal

1 exposure is very relevant to humans, but not subcutaneous
2 injection, the use of a challenging vehicle, the use of
3 either singular doses, or look at the top one, 200.
4 That's approaching the lethal dose for chlorpyrifos.

5 Such that if you have a singular dose, you cannot
6 use dose response or evaluate dose response relationships.
7 And, in fact, many of these studies did not measure
8 cholinesterase inhibition.

9 --o0o--

10 DR. JUBERG: So I just have one slide of quotes.
11 The 2012 Scientific Advisory Panel took a look at a lot of
12 this literature, and they just noted the same things that
13 I've mentioned. They had concern about the use of DMSO as
14 a vehicle, because of its intrinsic toxicity.

15 They also, and I think the second quote is
16 particularly relevant to the discussion today. They
17 recommended that these experimental outcomes talking about
18 a number of the studies that you've reviewed, be regarded
19 as exploratory and hypothesis-generating, as opposed to
20 being evidence of toxicity.

21 --o0o--

22 DR. JUBERG: Let me talk about the definitive
23 study we've done to evaluate developmental neurotoxicity
24 that was referred to by one of the previous presenters.
25 This is an animal study required by U.S. EPA to evaluate

1 the potential for neurodevelopmental -- or developmental
2 neurotoxicity and neurobehavioral neuropathological
3 observations in the offspring. This is a different type
4 of study than just a straight developmental study that
5 we've conducted in rat, rabbit, and mouse.

6 --o0o--

7 DR. JUBERG: This study is specifically required
8 to address an inappropriate animal model, the types of
9 observations and outcomes that could be or are often
10 reported in some of the epidemiological studies. You can
11 see the dose ranges there, one involving a fairly low dose
12 of 0.3. This is consecutive dosing for 24 days. The
13 outcome, in this case, were normal learning and memory, as
14 evaluated by those two specified types of assessment, and
15 then habituation.

16 It's important in this DNT study, and for me to
17 relay to the panel and to the audience, that we looked
18 extensively at brain. We looked at brain weight,
19 histopathology, and morphometrics, or measurements, on
20 nine brain regions. And you can see those there.

21 The authors concluded, and this is a published
22 study in Tox Sci that there is no evidence of selective
23 neurodevelopmental toxicity in the absence of maternal
24 toxicity.

25 --o0o--

1 DR. JUBERG: Now, since 2008, we were required to
2 conduct, and this is my last slide, on the testing that
3 we've done. It's a comparative cholinesterase assay. And
4 it specifically required to examine life stage sensitivity
5 to cholinesterase inhibition, specifically over the lower
6 portions of the dose response curve. So we, in fact, not
7 only use three different vehicles, but we actually went
8 below where EPA required, so we went down to 0.1.

9 Following acute and repeat dosing to both
10 chlorpyrifos and the oxon metabolite, which has been
11 discussed, the no observed effect levels were the same
12 across age groups for both brain and red blood cell
13 cholinesterase inhibition. The reason I highlight this
14 final point then is that there's consistent evidence
15 across different types of studies, developmental, the
16 developmental neurotox, the comparative cholinesterase
17 assay that demonstrates that fetuses, or the young, are
18 less sensitive than dams or mothers. That was also a
19 study that's been published.

20 --o0o--

21 DR. JUBERG: So, in conclusion, I would state
22 that results from scientifically valid testing, according
23 to generally accepted principles do not indicate
24 developmental or neurodevelopmental toxicity in the
25 absence of maternal toxicity.

1 I will agree that there are many experimental
2 studies that exist, but they do not meet the criteria for
3 sufficient evidence in experimental animals, mammals, such
4 that extrapolations to humans is appropriate.

5 In conclusion, there are no new animal data to
6 justify listing chlorpyrifos as a developmental toxicant.

7 --o0o--

8 DR. JUBERG: Collectively then for my colleagues,
9 we would contend that none of the three criteria are met
10 for the DARTIC to recommend listing chlorpyrifos to cause
11 developmental toxicity. The epi studies do not provide
12 sufficient evidence in humans that chlorpyrifos causes
13 developmental toxicity, neither the epi studies, nor the
14 animal studies provide limited evidence, or suggested
15 evidence in humans that chlorpyrifos causes developmental
16 toxicity. And finally, the animal studies, while a number
17 of new voluminous studies exist, do not provide sufficient
18 evidence in experimental animals -- mammals that
19 chlorpyrifos causes developmental toxicity.

20 With that, I thank you, and I will stop.

21 CHAIRPERSON GOLD: Thank you.

22 Are there any questions for any of the Dow
23 commenters by the panel?

24 Dr. Pessah.

25 COMMITTEE MEMBER PESSAH: So it's well known that

1 rodents have very high carboxy esterases that don't really
2 model to the human condition. And you seem to favor that
3 last study you presented, so I guess I have to ask the
4 question. Did you account for underestimating potential
5 toxicity due to the very carboxy esterases, especially at
6 the low doses?

7 DR. JUBERG: In the CCA study?

8 COMMITTEE MEMBER PESSAH: No, in the animal
9 studies, the Marty et al. that you referred to at the end.

10 DR. JUBERG: Did we look at carboxy esterases?

11 COMMITTEE MEMBER PESSAH: Did you take into
12 account the fact that carboxy esterases were much more
13 abundant during the developmental trajectory.

14 DR. JUBERG: No, I believe we did not.

15 CHAIRPERSON GOLD: Any other questions or
16 comments from the Panel of any of these commenters?

17 Mr. Landfair.

18 MR. LANDFAIR: Dr. Gold, again we do have
19 documents for you to supplement your thinking and
20 consideration. I'm happy to just bring them to you at the
21 next convenient point, but we're going to request that you
22 not make a decision until you hear what the other agencies
23 have said about this very question.

24 CHAIRPERSON GOLD: I wonder if counsel wants to
25 advise us.

1 CHIEF COUNSEL MONAHAN CUMMINGS: So we had a
2 request -- a very late request from DOW to provide
3 information to you. And we advised them that they could
4 bring whatever they wanted to to the meeting to provide to
5 you. It's up to you whether or not you consider it, and
6 to what level you consider the information you're being
7 provided. But the same is true for the slides of the
8 present that wasn't able to stay, you're going to have the
9 slides from that too. And it's up to you to what extent
10 you consider that information.

11 CHAIRPERSON GOLD: So I'll suggest that maybe
12 these things get distributed to us before we take our
13 break, which we're going to do now, because I see the
14 energy level is kind of waning here.

15 (Laughter.)

16 CHIEF COUNSEL MONAHAN CUMMINGS: What we might --
17 what we might want to do is we can hand those out to you,
18 but I do want to remind the group that when you go to
19 lunch, that -- I mean, it's okay if you want to sit
20 through lunch and read some stuff. But I -- you can't
21 talk to each other, have any kind of discussion about this
22 chemical, or the other one that you're considering today
23 among yourselves or with others. And if somebody comes up
24 and talks to you, you need to disclose that when you come
25 back to the meeting today.

1 Thank you.

2 CHAIRPERSON GOLD: Okay. With that, I'm going to
3 thank everyone for their patience, and we will take a
4 lunch break of 45 minutes, so returning here at about
5 1:35.

6 And let me just say that we have approximately
7 nine requests for public comments on chlorpyrifos, and we
8 will take those up after lunch.

9 (Off record: 12:53 p.m.)

10 (Thereupon a lunch break was taken.)

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 A F T E R N O O N S E S S I O N

2 (On record: 1:41 p.m.)

3 CHAIRPERSON GOLD: Okay. We'd like to reconvene,
4 if people would please take their seats.

5 Okay. At this time, we're going to continue with
6 the public comments, and we actually have 10 now. And
7 some are -- I notice that some are from the same
8 organization, so if you want to, in the interests of time
9 say "me too", or "I agree" or whatever, that's fine. But
10 if you want your five minutes, that's also fine.

11 So first, we have Katherine Foster, is she here?
12 Katherine Foster?

13 DR. FOSTER: Yes. Thank you so much for the
14 process and the work you're doing. I am a pediatrician.
15 I'm also on the Environmental Health Sector of the
16 American Academy of Pediatrics, and the Environmental
17 Health Committee of the Bay Area Chapter of Physicians for
18 Social Responsibility. I've been a public health advocate
19 my entire career. I was a Sonoma County First Five
20 Commissioner for 11 years, and also as a medical
21 consultant to a regional center where we take care of
22 children and adults with developmental delays.

23 Developmental delay implies you're going to catch
24 up some day, but the fact is they don't. Most of the
25 brain damage we see is permanent and life long.

1 There is a very strong consensus in the medical
2 community that chlorpyrifos is toxic, and that includes
3 the American Academy of Pediatrics, the Child Neurological
4 Society, Physicians for Social Responsibility, the
5 American College of Obstetricians and Gynecologists, the
6 Endocrine Society -- do I need to go on and on? There is
7 a strong consensus that doctors in this country identify
8 chlorpyrifos as toxic to the brain of not just children,
9 but adults as well.

10 I wanted to quote Dr. Tracey Woodruff who's also
11 on our Physicians for Social Responsibility who's done a
12 study on reproductive health and environment at the UC San
13 Francisco. And she said there was a reason they focus on
14 pregnant women and children. Exposure to even tiny
15 amounts of toxic substances can have outside effects.
16 Exposure to toxics is especially detrimental to fetuses,
17 as well as infants and children. And if you prevent the
18 problem at the beginning, you'll get a lifetime of
19 benefits.

20 Well, the converse of that is also true. If you
21 don't intervene at the beginning, you get a lifetime of
22 disability. The costs of that both to families and to our
23 social institutions is more than we can measure. In
24 dealing with autistic -- people with autism well into
25 adulthood are unable to be productive citizens. They're

1 unable to be employed. It goes on and on and on.

2 And I do also want to address a consensus
3 statement by health specialists who feel like the -- who
4 felt that the evaluation that we do for toxins is not
5 adequate. We have many findings of toxic elements in our
6 food chain and water chain. We assert that the current
7 system in the United States for evaluating scientific
8 evidence in making health-based decisions about
9 environmental chemicals is fundamentally broken.

10 To help reduce the unacceptable high prevalence
11 of neurodevelopmental disorders in our children, we must
12 eliminate or significantly reduce exposures to chemicals
13 that contribute to these conditions.

14 You must adopt a new framework for assessing
15 chemicals that have the potential to disrupt brain
16 development and prevent the use of those that may pose a
17 risk. This consensus statement lays the foundation for
18 developing recommendations to monitor, assess, and reduce
19 exposures to neurotoxic chemicals. These measures are
20 urgently needed if we are to protect healthy brain
21 development so that current and future generations can
22 reach their fullest potential.

23 And it's signed by about 20 different medical
24 associations.

25 And I can -- and I not only thank you for what

1 you do, I thank that electorate of the State of California
2 who voted for Prop 65 in the first place for this exact
3 scenario. We do not want poisons in our food and water.
4 And I implore you to listen. And I know we live in an age
5 that's not validating science very much. We've come on
6 hard times. But those of us who believe the science
7 believe that chlorpyrifos is part of the problem. And I
8 implore you to add it to the list of toxic chemicals.

9 Thank you.

10 CHAIRPERSON GOLD: Thank you.

11 Are there any questions from the panel for Dr.
12 Foster?

13 Our next speaker is Ann Lopez. Is she here?

14 Thank you. Also five minutes.

15 DR. LOPEZ: Yes. Hello. I'm Dr. Ann Lopez. I'm
16 the Director for Center for Farmworker Families. And I
17 also do studies on the environment. I'm an environmental
18 science Ph.D. And having studied farm workers for the
19 last 20 years, and finding out what their needs are.
20 Almost every farmworker family I've ever discussed the
21 issue of education with tells me that they want their kids
22 educated, out of farm work, and having a better future.
23 And that dream is their hope for the children's future and
24 what gets them out of bed and in the fields from between
25 5:00 and 7:00 in the morning.

1 So in the Salinas Valley, I see that we have one
2 of the worst examples of environmental racism in this
3 entire country.

4 First of all, we, expose Latinos, most -- and the
5 Salinas Valley is predominantly populated by Latinos. We
6 expose them to various organophosphates. I personally
7 have read the CHAMACOS study. I'm very impressed with it.
8 And if what they say is true, then many of the children
9 are born and already will experience intellectual
10 deficiencies.

11 Then they go to school, and the school
12 surrounding the fields, and when chlorpyrifos is used in
13 the field, it -- since it's drift prone, it moves into the
14 classroom. Teachers complain about it all the time, and
15 effects the development of their children's brain.

16 So now the children are impacted on two fronts,
17 prenatally and also in the classroom, and eventually
18 become intellectually deficient. How are they supposed to
19 be successful and develop a better life? I just think
20 it's absolutely unconscionable and cruel.

21 And the interesting thing to me is that in the
22 Salinas Valley, the chance of a Latino children -- the
23 chance of the children and the students being Latino is
24 3.2 times higher than being white. And this is borne out
25 in Santa Cruz County. Because in Santa Cruz County, it

1 was very interesting, because you don't even hear about
2 what I've just told you in the Salinas Valley. And yet,
3 they stopped the crab harvest two years ago, because the
4 crabs contained the neurotoxin domoic acid. And I thought
5 that was very interesting because northern Santa Cruz
6 County is almost all white.

7 So you ignore where the Latinos live and the
8 impact of neurotoxins on their brains, but you protect the
9 people in north county that are white from a similar fate.

10 And I implore you to list this horrible chemical.
11 No one should be exposed to it.

12 Thank you.

13 CHAIRPERSON GOLD: Thank you.

14 Any questions from the Panel for Dr. Lopez?

15 Okay. Our next speaker I believe is Raul Garcia
16 if I'm reading it correctly. I'm not sure about the first
17 name, but I believe it's Raul Garcia.

18 Five minutes. Please introduce yourself and
19 where you're from.

20 MR. RAUL GARCIA: Hello everyone. Hello everyone
21 in attendance and the Panel. My name is Raul Garcia. I
22 come from Porterville, California. I am in the south
23 central -- not south central -- in the Central Valley, in
24 the County of Tulare. And the one thing I want to get
25 clear is that I also don't have any financial interest,

1 you know, unlike some people here, but that's fine.

2 I have this weird thing called human health
3 interest in mind. Not just for my community, but for the
4 people around the world for which the canned -- for which
5 the fruits and vegetables in our county go all over to.

6 These are issues that do not just affect the
7 communities that I live in or the communities that other
8 people around my area live in. They are issues that
9 affect the entire world. Our food here in the Central
10 Valley in the State of California goes all over the world,
11 so which means that the effects of this pesticide are not
12 just felt by those living in the San Joaquin Valley, or in
13 the Salinas Valley, or anywhere else in the state, but
14 these affects may also be felt around the world
15 unknowingly.

16 So let's take that into consideration next time
17 we decide to approve another pesticide or, you know, say
18 that there isn't sufficient evidence, because the studies
19 say this and the studies say that. Like, these are more
20 than just studies. We're more than just people and
21 numbers on a chart, and on the -- you know, and on the
22 program and this and that.

23 I think these are people that are actually
24 affected by this. A lot of people think, oh, I might have
25 something like asthma or allergies when it's not. And it

1 could be something like the pesticides that are affecting
2 them. You've got mothers and fathers and children that
3 are exposed to these dangers through no fault of their
4 own, all because they're trying -- all they're trying to
5 do is make a living, and all the kids are trying to do is
6 go to school and get a decent education, but that's beyond
7 the point.

8 I, as outreach coordinator for the Coalition
9 Advocating for Pesticide Safety, as known as CAPS, and on
10 the behalf of CAPS would like to express my support for
11 this pesticide known as chlorpyrifos to be recognized as a
12 developmental and reproductive toxicant, and there's a
13 Proposition 65 of California passed in 1986, and that was
14 all. Thank you.

15 CHAIRPERSON GOLD: Thank you. Any questions for
16 Mr. Garcia.

17 Thank you. Seeing none.

18 Sandra Garcia, I believe. Sandra Garcia?

19 Wait one moment. I'm sorry to interrupt. We do
20 have a translator, so she will receive five minutes and
21 the translator will subsequently translate into English so
22 it's in the record, just so everyone knows what we're
23 doing.

24 MS. GARCIA(through interpreter): Hi. My name is
25 Sandra Garcia, and I've been working in ag fields in

1 Tulare County for over 20 years. And one of the things I
2 wanted to share is that my mother was poisoned by this
3 chemical. She's now died. For over 30 years, I've been
4 asking for this chemical use to be reduced for you to
5 change them to look for another alternative.

6 There are many choices out there that they could
7 use for fumigation, instead of using these chemicals, so
8 we please ask that you do this. Right now, my
9 grandchildren are also being impacted. They're suffering
10 from attention deficit, hyper activity, and they can't
11 concentrate in school. And so we're all being affected by
12 this.

13 And it's not just my grandchildren. It's also
14 the children of the people I work with. They're suffering
15 some of the same issues. And I've heard today that -- by
16 some people that that's not related to the use of these
17 chemicals to these toxicants. And so I ask why don't you
18 come out there and actually look at the farm workers'
19 children, why don't you do tests, analysis, go out there
20 and see what's going on in these rural areas, instead of
21 just focusing on the studies that you guys have done,
22 because all of these have been done in mice. But it's not
23 the same thing than going out there and seeing them and
24 watching how these children get sick.

25 And then you take them to the doctor, and the

1 doctors can't figure out what's wrong with them. And they
2 always end up telling you, well, you know, we don't know.
3 There doesn't seem to be any cost.

4 So if you're doing all these studies and all
5 these tests in mice and other animals, come out instead.
6 Help out these children that need all your help. So we
7 ask that you help us out, because at the end of the day,
8 we, the field workers, are the ones that put the food on
9 all of your tables, so we need your help.

10 Thank you.

11 CHAIRPERSON GOLD: Were there any questions for
12 this public speaker?

13 Okay. Thank you.

14 The next speaker is Benny Corona.

15 Benny Corona?

16 MR. CORONA: Hello. My name is Benny Corona. I
17 am from Tulare County. One of the counties that has the
18 most usage of chlorpyrifos. I grew up as a farmworker. I
19 come from a farmworker family. I'm going to try very hard
20 not to let the emotions get in the way of what I'm trying
21 to say. But I grew up in a farm worker family, and I've
22 never needed a study to show me how harmful these
23 pesticides, specifically chlorpyrifos, are to our
24 community members.

25 Unfortunately, if you do go to Tulare County and

1 meet some of these farm workers, you will not find one
2 person that's never -- that has not been exposed to this
3 chemical firsthand. I have worked in the fields with
4 freshly placed -- sprayed pesticides, not knowing, you
5 know, that it's something that's not supposed to be done,
6 and experienced firsthand that -- the uncontrollable
7 coughing and the tearing up of the eyes, and just being
8 unable to stay composed.

9 We -- I've never needed a study to show me that
10 this is -- these chemicals are harmful to us. And I am
11 deeply concerned about every single farmer worker that
12 continues to this day working in those folds, like Sandra
13 who just spoke.

14 I fortunately do not work in the fields anymore.
15 I'm part of this -- you know, people talk about this dream
16 that our parents had. And I -- I graduated from a
17 university and everything. And today, I'm here because I
18 want to speak on behalf of a lot of these folks that
19 aren't even able to make trips to these kinds of hearings
20 and speak on behalf of themselves of the studies that are
21 being done that are trying to recognize whether or not the
22 pesticides like these are hurting them or not.

23 I mean, if you can't defend yourself, there's
24 really not -- if you can't defend yourself, there's
25 really -- I mean, there's -- you don't have a voice. You

1 can't defend yourself, but that's why I'm here.

2 So I'm here to say that I do support chlorpyrifos
3 being designated as a developmental toxicant. And I want
4 to thank the Board for the -- I know it's a lot of hard
5 work. Being a scientist, I've worked with scientists in
6 the past, and I know's it tough. So, yeah, that's all I
7 had to say.

8 CHAIRPERSON GOLD: Thank you.

9 Are there any questions for Mr. Corona?

10 Okay. Thank you.

11 The next speaker Katley Falconer.

12 MS. FALCONER: Thank you very much for being
13 here, and for doing the science that is so significant in
14 helping autism.

15 My grandson is autistic. And in the course of
16 trying to understand first what it was, I secondly tried
17 to figure out why. And I came to the realization after
18 doing much of the reading of some of the studies you have
19 discussed today that chlorpyrifos is a critical issue.
20 And when I realized that my daughter had been pregnant in
21 a brand new home built on property that originally was a
22 Walnut Grove, and an existing continuation of a walnut
23 grove nearby, less than 100 feet from her home, I realized
24 that there was a significant value, because for the next
25 five years my grandson played in a park area which was

1 directly across the street from a walnut grove, and you
2 realize that chlorpyrifos is one of the key pesticides
3 that are used in that process.

4 This is a silent, silent deadly material, and it
5 is something that is hidden to many, many people within a
6 community. I became very involved with chlorpyrifos, when
7 I met some of the people you have heard speak today.

8 Coalition Advocating for Pesticide Safety brought
9 me attention --

10 (Crying.)

11 MS. FALCONER: I am sorry -- to the people that
12 have most significantly been involved in the deadly
13 experience and in the health issues that are part of the
14 pesticides chlorpyrifos.

15 When I became evident was that as part of a rural
16 community of Visalia, California, I needed to go into that
17 community to try and understand how I could relay the
18 information about these pesticides that surround them.
19 They have no idea. My daughter is a kindergarten teacher
20 And for the first three weeks of the time that we were
21 here, helping with my grandson - we live in Washington and
22 we came down to help with the grandson - I realized that
23 there is an issue in those classrooms.

24 She is one of four kindergarten teachers in
25 Shannon Oaks School -- Elementary School. She, of course,

1 has raised a child who is now 7 and autistic. In that
2 classroom, there is one IEP student that is autistic, but
3 she recognized two more, both of which are of Hispanic
4 background, both of which have -- none of them have the
5 education that they should have gone in and had some sort
6 of a diagnosis, so they could become part of an IEP
7 program.

8 There were three other kindergarten classes, all
9 of which have at least one autistic child, some are
10 identified, some are not.

11 And in the course of going to the Visalia Unified
12 School District meetings, I recognized that there is a
13 problem that is created throughout all of the classes, and
14 that is behavioral issues. And it roots down to the
15 pesticides, the organophosphates, the other materials,
16 maybe not just chlorpyrifos, but many of these other
17 materials.

18 And the behavior is becoming very evidence. One
19 teacher and one aid in a classroom indicated that she
20 worked with three different kindergarten classes, all of
21 which had at least one autistic child in that classroom,
22 plus one was an additional disability. So it is not just
23 within the Hispanic communities, it's within the rural,
24 local, center parts of the cities, and it is becoming more
25 and more prevalent.

1 In the year 2002, there were 1 out of 150
2 autistic children. That was the year when chlorpyrifos
3 was removed from the residencies. However, right now, it
4 has gotten down to 1 out of 45. This is the most recent
5 in 2017.

6 I attended a talk TACA conference, which is
7 Taking -- Talking About Carrying[sic] Autism. And in one
8 of the presenters, Dr. William Shaw, from Great Plains
9 Labs, said that there are critical issues that create
10 autism. Yes, genetics; yes, minerals that you take; yes,
11 the vitamins you take. But the environmental issue he
12 said, up to 70 percent of it is these pesticides.

13 And I would like very much for you to consider
14 the removal of chlorpyrifos and any other organophosphate
15 that infects our foods that we eat when you'd have no idea
16 it's on their. The residues you have no idea, plus the
17 effect that it is happening in these children.

18 Thank you very much for all of your work, and I
19 hope you will continue to consider to remove chlorpyrifos.
20 Thank you.

21 CHAIRPERSON GOLD: Thank you.

22 Any questions from the panel?

23 Okay. Our next speaker is Emily Marquez.

24 Emily Marquez.

25 DR. MARQUEZ: I am a staff scientist at Pesticide

1 Action Network. I am a biologist, and I used to study
2 comparative endocrinology and development before I got to
3 PAN.

4 So the organization I work with we've done air
5 monitoring over the past several years. And we do it with
6 community members like the ones who just got up and spoke
7 today. And I just wanted to call your attention to the
8 2016 EPA Human Health Risk Assessment. There's a couple
9 sentences in one part of the document where they reference
10 air monitoring data. And some of that data has been
11 collected by my organization by community members who live
12 on the front lines of pesticide exposure.

13 In several of those studies, they have found once
14 using the new point of departure that they reference in
15 that document based on the Columbia study that the levels
16 of concern are exceeded for pregnant women and for young
17 children.

18 And I just wanted to reiterate the point that Dr.
19 Irva Hertz-Picciotto didn't get to make today, but she
20 just wanted -- or I wanted to say that the vulnerability
21 of the developing brain is key in these considerations.
22 So as we -- the more we learn, the more we recognize that
23 that's a really important developmental outcome to
24 consider when we're looking at toxicants like
25 chlorpyrifos.

1 And finally, the other point I'd like to make is
2 just that the USDA and EPA documents that the previous
3 speakers from Dow referenced don't represent any new data
4 for consideration by the Committee.

5 Thank you

6 CHAIRPERSON GOLD: Thank you.

7 Any questions from the Panel?

8 Very good.

9 Our next speaker is Carole Erickson.

10 MS. ERICKSON: Hello. I'm Carole Erickson. I'm
11 from Monterey County. I'm a retired public health nurse
12 and mid-wife, so I have a particular interest in the
13 health of women and children.

14 I followed the CHAMACOS study since its
15 inception, because it was such a breath of fresh air,
16 somebody who was looking at the total environment and not
17 just looking at one chemical as we've been doing here
18 today. It's so wonderful to have you here available to
19 talk to you about this.

20 Monterey County is essentially ground zero for
21 the tonnage of pesticides, including chlorpyrifos, that is
22 dumped on our agricultural fields. The Salinas Valley in
23 particular is heavily, heavily hit. If you look at it on
24 the tracking map from the California Environmental Health
25 Tracking Program, you can zero right in. It's the reddest

1 of the spots in California, except for Tulare and Kern
2 counties.

3 That means that unlike the discussion today about
4 one chemical in its lab situation for most it, the mice,
5 and then in the human situation, it's not one chemical.
6 It's that chemical plus all the others, and they change
7 over time. One gets abandoned. Methyl bromide is finally
8 going out the door. But, of course, more toxic things
9 were proposed in our county. Methyl iodide was considered
10 to be a very good replacement by the EPA -- the national
11 EPA.

12 We've fought it. We were part of the group that
13 got a resolution from our county board of supervisors to
14 see it off. We had a big panel discussion that must have
15 had 400 people, Mark? -- 400 people in attendance.

16 And, by golly, the manufacturer decided to pull
17 methyl bro -- methyl iodide out of the country, not just
18 out of California.

19 I'd like to propose that this multiplicity of
20 exposures, plus including chlorpyrifos, including some of
21 the things that the Dow Chemical people said -- you know,
22 people who don't have the means to wash their shoes off
23 before they come home. I mean, that is really
24 environmental and racist, just -- injustice, to talk about
25 people who are poor somehow contributing to their own

1 problems. They have to work in the middle of all of that.

2 So, of course, the mothers and fathers also --
3 fathers haven't been mentioned at all, but fathers are
4 also affected by all these chemicals.

5 Biomonitoring has been done to some extent in our
6 county, but not very often. It is not on the radar screen
7 for most practicing pediatricians, simply not up there.

8 The county health department does have some of
9 that done, but it's not a complete panel. It doesn't
10 include one-eighth of the number of pesticides that are
11 out there.

12 This is a problem. How do you know what's
13 affecting people unless you can monitor it? That's the
14 data that everybody needs, as well as you. That's what
15 you're looking for.

16 Some children were given -- some teenagers were
17 given bracelets to wear that are sensitive and can pick up
18 any ambient pesticide. I think there were 80 of them who
19 were chosen. It had to be girls. The boys wouldn't wear
20 the bracelets.

21 (Laughter.)

22 MS. ERICKSON: The result was stunning to the
23 people who ended up looking at the bracelets after a
24 couple of weeks. There were so many exposures.

25 These kids are going to school, maybe not in a

1 school nearby, but in Salinas. If you can't know for
2 certain that it's not a problem, then I don't think -- all
3 right, one minute.

4 If you can't know for certain which one is a
5 problem, reducing all of them is a very good idea. The UN
6 has come out with a recent paper, which you've probably
7 seen, which says there's the myths that the world needs
8 more pesticides. It was never true. Some kind of
9 protections, yes, but alternative ones.

10 One anecdote, a nurse in our group, the Safe Ag
11 Safe Schools on Monterey and Santa Cruz counties is a
12 nurse at Salinas Valley Memorial Hospital, which is under
13 the partnership with Stanford. A Stanford pediatric
14 oncologist came in just to do rounds with the patients who
15 are newly diagnosed with cancer in the hospital. He said
16 to the nurse, a member of our group, I can't understand
17 this. Salinas is not very big, and half the kids in our
18 pediatric unit at Stanford are from the Salinas Valley.

19 CHAIRPERSON GOLD: So your time is up. Can you
20 wrap-up?

21 MS. ERICKSON: Thank you very much. I'd really
22 appreciate having an agency that can listen to this and
23 process it, rather than listen to it and immediately
24 reject it. That's what we have met before.

25 Thank you

1 CHAIRPERSON GOLD: Thank you.

2 Any questions for this speaker?

3 Okay. Thank you.

4 Next we have Kathleen Kilpatrick.

5 Kathleen Kilpatrick. I'm sorry if I'm
6 mispronouncing anyone's name.

7 MS. KILPATRICK: Hi. Kathleen Kilpatrick. Some
8 of your faces are familiar. I'm credentialed as a nurse
9 practitioner and a school nurse. I also have a background
10 as a mid-wife from long ago. I also studied occupational
11 environmental health, toxicology, exposure assessment at
12 the University of Washington as a graduate student, and
13 worked on a couple of exposure assessments of children who
14 live in the orchards of eastern Washington.

15 I submitted comments, which you have at your
16 disposal. I also read all the other comment letters. And
17 I saw that the weight of commenting was definitely in
18 favor of declaring a ban -- or not a ban, I'm sorry,
19 declaring chlorpyrifos a developmental toxin.

20 I also looked closely at the themes and a lot of
21 the themes in terms of the science in favor of that you've
22 already talked about, and it appears that you agree. The
23 thing that I felt like it was underplayed that the other
24 speakers have talked about is the disproportionate effect
25 on vulnerable groups, which is farmworker families,

1 residents of agricultural communities and especially
2 pregnant women and children.

3 In real life conditions, those people don't have
4 information. They don't have engineering controls. They
5 don't have the ability to advocate for themselves and for
6 their children. And that's why a lot of us are here,
7 nobody is paying us to be here.

8 The themes of the defenders of chlorpyrifos were
9 a lot of them were economic. Oh, it's well tested; it's
10 don't have any neurological effects; not based on sound
11 science that is accepted today. Does anybody see that as
12 kind of a recurring theme in the regulatory --
13 anti-regulatory approach to science?

14 Oh, the epidemiological studies just show
15 correlation. Dow read their own statement. It does not
16 clearly show through scientifically valid testing,
17 according to generally accepted principles to cause
18 neurotoxicity. In other words, if we set the standards
19 there's no proof.

20 And even the DPR felt like the conclusions that
21 EPA made in their 2016 report were new science. The
22 problem with risk assessment in general is where do you
23 set the standard? What is regarded as proof?

24 For the anti-regulatory chemistry -- chemical
25 industry, they have a moving target. And unfortunately,

1 industrial agriculture follows along or they'll say humans
2 aren't lab rats, the petri dish isn't real exposure
3 conditions. We need epidemiological evidence. Oh, you
4 have epidemiological evidence. It's not good enough.
5 It's not what we consider to be proof.

6 It's true, it's very hard to prove anything with
7 epidemiologic evidence. It can show correlation a lot
8 better than it can show causation, and you all know that.

9 I had not before found the Bradford Hill
10 Criteria. But when I did, I checked them off. And every
11 single one was met. The only one that was a little iffy
12 was coherence, whether it agrees with the current
13 knowledge of disease. And I was a school nurse for years,
14 and I can tell you that there is a lot of uncertainty
15 about the causes of learning disabilities, the causes of
16 autism, and the causes of ADHD. There's a lot of
17 controversy around that, but certainly there was some
18 evidence that was highly suspicious.

19 But, yes, there are still some uncertainties, and
20 that's why I disagree with Dow strongly. I think that the
21 uncertainty is the very reason that we need to consider
22 the Precautionary Principle.

23 That is the foundation of what DPR calls new
24 science, which is that there is not a fine line between
25 chemical science and public health. The public health

1 model goes back to Hippocrates, first do no harm. And the
2 public health model is that we have an exposed population,
3 what are the levels of prevention. So in having that
4 exposed population, the canary is already in the coal
5 mine, we're into harm reduction.

6 Dow and big ag may not consciously intend to
7 create and maintain a permanent underclass of learning
8 disabled workers, but actually it's in their economic
9 advantage to do so.

10 Poverty is costly. Special education is costly.
11 Prisons are really costly. We can't let that happen. The
12 costs are too high. DPR has let us down, so we're
13 counting on OEHHA and the DARTIC committee to see that we
14 need incentives to move toward a new model of food
15 production that reduces those chemical inputs, and that
16 protects our children.

17 Thank you.

18 CHAIRPERSON GOLD: Thank you.

19 Any questions for this speaker?

20 Okay. Our next speaker is Woody Rehanek. Again, I
21 apologize if I mispronounced the name.

22 MR. REHANEK: Thank you for having me here. My
23 name is Woody Rehanek. I am a -- excuse me. I'm a
24 retired farmworker. I worked in the orchards of Okanogan
25 County, Washington for 18 years. At that time, they

1 sprayed glutathione was the organophosphate of choice.
2 I've heard that since then in 2014 glutathione has been
3 band as being toxic in wetland environments, and not safe
4 even for applicators with protective equipment.

5 In that 18 years I spent picking apples and
6 propping and so on in glutathione, it makes me wonder
7 about my exposures. But today, it's not about me, it's
8 about chlorpyrifos. And I was then a special education
9 teacher in Watsonville, California with significant
10 chlorpyrifos use for 18 years. I just retired.

11 The -- many of my students, all of my students in
12 fact, were children with learning disabilities, problems
13 paying attention, reading difficulties, hyperactivity,
14 autism, lower IQ, and/or struggles with self-control. Not
15 all of the above at once, but combinations.

16 I was astonished to discover that when I joined
17 Safe Ag Safe Schools in June, that chlorpyrifos, one of
18 the most widely used chemicals in the world is linked not
19 directly causally, but linked to learning disabilities.
20 Is chlorpyrifos to blame for all of my students learning
21 difficulties? Not at all. Yet, many other variables also
22 correlate with these symptoms, but chlorpyrifos is
23 undeniably one of them and one that could be avoided.

24 I just want to share with you as some of the
25 hidden costs of chlorpyrifos -- and I submitted written

1 testimony under that title under my name. Because
2 chlorpyrifos is linked to learning disabilities, there are
3 hidden costs in its widespread use. In the report, the
4 State of Learning Disabilities 2014, the National Center
5 for Learning Disabilities found that 46 percent of working
6 age adults with learning disabilities were employed, 6
7 percent were unemployed, and another 46 percent were not
8 in the labor force at all.

9 Ninety-two percent, they report, of employed
10 adults with learning disabilities made less than \$50,000
11 per year, 67 percent made less than \$25,000 per year.
12 Now, it's not about -- all about money, but it is about
13 longitudinal developmental. Developmental disorders that
14 often happen in utero fleshed out over time -- over a
15 lifetime -- we're talking about life times here.

16 The American Academy of Pediatrics published a
17 paper in May 2010 titled ADHD in Urinary Metabolites of
18 Organophosphate Pesticides. It concluded these findings
19 support the hypotheses that organophosphate exposures at
20 level common among U.S. children may contribute to ADHD
21 prevalence.

22 As a special class teacher, I found that children
23 with ADHD are particularly difficult to teach and engage,
24 because they're not only distracted, but can be distracted
25 and disruptive to others as well.

1 I think that there will come a day when -- I grew
2 up in a time when it was considered a conflict of interest
3 to be paid by a company to give scientific testimony. I
4 do believe that those -- in those values. And I will say
5 that as a society we need to use actionable data to take
6 action to protect our most vulnerable citizens, our
7 children and pregnant women and our farmworker population.

8 The big disappointment of the DPR hearing was
9 that they considered quote bystanders -- standers and did
10 not take into account farm workers. As a farmworker, I
11 take umbrage with this. And I think I speak for all farm
12 workers that it's an environmental injustice that needs to
13 be remedied and you have an opportunity to do that.

14 Thank you very much.

15 CHAIRPERSON GOLD: Thank you.

16 Any questions from the Committee?

17 Thank you.

18 Our final speaker is Lucia Calderon.

19 MS. CALDERON: Hi. Good afternoon. My name is
20 Lucia Calderon. I came here today from Salinas with
21 community members from the group Safe Ag Safe Schools.
22 And we are a community coalition from throughout Santa
23 Cruz and Monterey counties. We have a diverse range of
24 members, such as teachers from Amesti Elementary in
25 Watsonville where in 2015, 300 pounds of chlorpyrifos was

1 applied within the square mile that Amesti sits and over
2 600 pounds looking at combined organophosphates, which
3 concerns us because these U.C. Berkeley CHAMACOS science
4 did tell us that 522 pounds of combined organophosphates
5 applied within a kilometer of pregnant mothers homes
6 correlated with a loss of 2.2 IQ points in her child by
7 the age of seven.

8 We also have members that are in -- from
9 Greenfield -- farmworker families from Greenfield, where
10 some of the highest use of chlorpyrifos around the schools
11 is found within a quarter mile of the Vista Verde Middle
12 School and Greenfield High School.

13 I attend monthly meetings of a group of mothers
14 in Greenfield who have children with a wide range of
15 special needs such as autism, attention problems, learning
16 disorders, and other developmental disorders ranging from
17 mild to very severe who organize themselves, because they
18 were struggling to find support for their children. And
19 unfortunately they can't be here today to speak to you
20 because they're taking care of their kids at home.

21 My office is in Salinas, and many of our Safe Ag
22 Safe Schools community members are from Salinas, where the
23 U.S. EPA air monitors measured average air concentrations
24 of chlorpyrifos at three times the federal health risk
25 limit, and that's low compared to other areas of

1 California, such Shafter and Ripon where -- Ripon where
2 higher concentrations were found.

3 I also got the opportunity to meet with the young
4 adults of the CHAMACOS COSECHA Youth Council who told me
5 about their most recent study last summer where
6 pesticide-sensitive bracelets registered chlorpyrifos on
7 the arms of Latina teens, and that was just last summer.

8 So we really know that it's in the air. The
9 epidemiologic -- epidemiological studies right in Salinas
10 show that exposure is occurring. I really commend your
11 Committee for bringing this issue to a meeting, and for
12 listening to the public's comments. Please use your
13 expertise to list this chemical as a developmental toxin
14 and to do determine truly health protective limits.

15 Thank you.

16 CHAIRPERSON GOLD: Thank you. Any questions from
17 the Committee of speaker.

18 It turns out we have one more speaker. I hope I
19 get the first name correct. Avial or Ariel Garcia.

20 MR. ANGEL GARCIA: Angel.

21 CHAIRPERSON GOLD: Sorry.

22 MR. ANGEL GARCIA: Good afternoon. My name is
23 Angel Garcia. I'm with the Coalition Advocating for
24 Pesticide Safety. We're based in Tulare County, where
25 there's big, big ag going there.

1 First, I'd like to start off with what CAPS is
2 real quick. And that's -- it's a coalition made up of
3 teachers, organizations, groups, and most importantly
4 impacted and concerned community residents in the county.

5 One of the ideas that drives this coalition is
6 this notion of the validation of the lived experience,
7 where everyone's experience, regardless of background is
8 taken into account when making -- when advocating on
9 behalf of community members, especially around pesticide
10 safety.

11 Today, I'm here with my -- with my friends, with
12 my community to support the listing of chlorpyrifos as a
13 developmental toxin, but I'm also here to commend this
14 DARTIC committee for looking into this. We were really
15 disappointed with DPR's recent decision to not do -- or go
16 forth with a ban on chlorpyrifos at the State level.
17 However, today there is an opportunity to remedy this by
18 listing this chemical as a developmental toxin.

19 I work closely with different communities in the
20 different rural unincorporated communities in Tulare
21 County. Some are made of up mothers that have children
22 with developmental delays, others with autism, others with
23 ADHD. And the reality that they live every day it's
24 something that can't be ignored and go unnoticed.

25 Unfortunately, just given their situation,

1 they're not able to come to these hearings. They're not
2 able to come up to Sacramento, but I am. And so I'm here
3 on behalf of them as well to just inform this Committee
4 that there's a lot of mothers out there, especially in
5 rural communities like Tulare County, that are looking for
6 strong pro-health, pro-children decisions, and listing
7 this as a -- again, as a developmental toxin is a step
8 in -- a step forward.

9 So again, appreciate this, and this is an
10 opportunity, so let's make it happen.

11 Thank you.

12 CHAIRPERSON GOLD: Thank you.

13 Any questions for Mr. Garcia?

14 Very well. That concludes the public comments
15 then.

16 At this time, we open the discussion for the
17 Committee to discuss the topic of listing chlorpyrifos.
18 So I'll ask the Committee members if they have any further
19 comments they wish to make at this time?

20 CHAIRPERSON GOLD: Dr. Pessah.

21 COMMITTEE MEMBER PESSAH: I want to address one
22 point that's been brought up several times, and that's the
23 validity of rodent studies in biomedicine and toxicology.
24 We've heard that clearly there's a large number of studies
25 that relied on rodent studies. We also know that the

1 biology of rodents is probably underestimating the
2 potential hazard associated with chlorpyrifos, based on
3 clearly defined scientific evidence. That is that they
4 have very high levels of carboxy esterases. They also
5 lack some of the mutations and polymorphisms found in
6 humans that confer a significant amount of susceptibility.

7 They also typically -- these studies do not
8 incorporate susceptibility genes that have been found in
9 human populations to cause certain types of developmental
10 disorders. And so as a scientist, I have two choices:
11 Ignore all of the rodent studies that have been done to
12 date, both on the toxicology side and also on the drug
13 development side. In which case, I'd be scientifically
14 irresponsible, and that's because most of the scientific
15 literature to date that has led to fundamental differences
16 in how we view science and biology, and one could count
17 the number of Nobels, have depended on rodent studies as a
18 starting point.

19 And obviously, rodents are not humans. They're
20 models. But one cannot ignore 300 studies of which a good
21 portion of them have proper controls, are litter-based
22 design, have looked at different susceptibility windows,
23 and ultimately have come up with the very same conclusions
24 that when compared to their appropriate controls, there
25 are biologically measurable outcomes.

1 And so I just want to point out that I think I
2 would have to disagree with the idea that the animal
3 models are irrelevant in this discussion.

4 CHAIRPERSON GOLD: Okay. I'm going to pause the
5 Committee discussion, because we've had one additional
6 request for public comment from Josephina Alvarado, and I
7 want to give her the opportunity to speak.

8 MS. ALVARADO(through interpreter): Hi. Good
9 afternoon. I am also someone who used to be a field
10 worker. And now, unfortunately, I've been disabled by
11 disease. And I just wanted to say it saddens me to see so
12 many brethren, so many friends, children, becoming ill
13 because of pesticides, particularly because of -- I can't
14 even pronounce this class of pesticides, but I know it's
15 impacting them. They can't study. They're having issues.
16 Their parents can't go to work many times, and so they
17 can't earn the money that they need.

18 You have the power. Help us. We need to be
19 healthier, so that all of us can move forward.

20 Thank you.

21 CHAIRPERSON GOLD: Thank you.

22 Any questions

23 COMMITTEE MEMBER ALLARD: I do actually have a
24 questions.

25 CHAIRPERSON GOLD: Dr. Allard, yes.

1 COMMITTEE MEMBER ALLARD: So my question is
2 actually for Dr. Pessah. I did not see --

3 CHAIRPERSON GOLD: Let me just clarify. So no
4 questions for the public speaker.

5 COMMITTEE MEMBER ALLARD: Sorry, no questions for
6 the -- sorry.

7 CHAIRPERSON GOLD: Okay. So we're returning to
8 Committee discussion.

9 COMMITTEE MEMBER ALLARD: Sorry.

10 My question is for you, Dr. Pessah. So I did not
11 have the opportunity to actually look at the -- I believe
12 you mentioned sudden infant death syndrome babies, as well
13 as in utero. So for me a lot of the considerations has to
14 be whether indeed that chemical is present in the fetal
15 brain, right?

16 And so I just wanted to make sure that I
17 understood clearly that from the studies that you've seen,
18 there's indeed presence in utero in the fetal brain of
19 that chemical.

20 COMMITTEE MEMBER PESSAH: So there are two
21 published studies. One actually shows data, the Frontiers
22 in Neurology paper. I couldn't find the data, but I read
23 the text of the paper. And in both cases, it's not a
24 cause and effect relationship. The question that they
25 answer is can you find chlorpyrifos in fetal brain

1 subsequent to sudden death?

2 And the answer is yes, according to these two
3 papers, both of which have been peer reviewed.

4 COMMITTEE MEMBER ALLARD: Okay.

5 CHAIRPERSON GOLD: Any other comments by the
6 Committee or questions among the Committee?

7 Is the Committee ready to vote?

8 Yes.

9 So the question before the Committee is has
10 chlorpyrifos been clearly shown through scientifically
11 valid testing, according to generally accepted principles
12 to cause developmental toxicity?

13 So can I please see all of those who vote yes.

14 (Hands raised.)

15 CHAIRPERSON GOLD: Eight.

16 I assume no noes -- no noes, because we had
17 eight.

18 Correct.

19 Any abstentions?

20 (No hands raised.)

21 CHAIRPERSON GOLD: Okay. As I see it, we have
22 eight voting yes.

23 (Applause.)

24 CHIEF COUNSEL MONAHAN CUMMINGS: Dr. Gold, maybe
25 we could take just -- sorry, it's me.

1 Hello.

2 Over here.

3 (Laughter.)

4 CHAIRPERSON GOLD: Sorry.

5 CHIEF COUNSEL MONAHAN CUMMINGS: Can we just take
6 one very short break to add another member to the Panel?

7 CHAIRPERSON GOLD: Of course. Yeah. So we'll
8 take a five-minute break.

9 (Off record: 2:39 p.m.)

10 (Thereupon a recess was taken.)

11 (On record: 2:46 p.m.)

12 CHAIRPERSON GOLD: Okay. Can we please
13 reconvene?

14 Okay. Can we please reconvene?

15 Everyone take their seats.

16 Can we please reconvene?

17 Thank you. We'd like to get started.

18 Okay. I want to first introduce Dr. Tracey
19 Woodruff, who has joined us. She's from the UCSF Program
20 on Reproductive Health and the Environment, and she's
21 joining us for this discussion of n-hexane.

22 So we'll begin with Dr. Sandy.

23 DR. SANDY: Yes. So the second and last chemical
24 for today for listing consideration is n-hexane. N-hexane
25 is metabolized to methyl-n-butyl ketone and

1 2,5-hexanedione, two chemicals that this Committee
2 considered and listed in 2015. This afternoon, you are
3 considering whether n-hexane should be listed as known to
4 cause reproductive toxicity based on each of the following
5 endpoints, developmental toxicity, male reproductive
6 toxicity, and female reproductive toxicity.

7 I will now hand this over to Dr. Donald to
8 introduce his staff making the presentation.

9 (Thereupon an overhead presentation was
10 presented as follows.)

11 DR. DONALD: Okay. Well, to my left is Dr.
12 Francisco Moran. He's a staff toxicologist in the
13 Reproductive Toxicology and Epidemiology Section. And
14 he's going to briefly review the data that we provided to
15 you on n-hexane.

16 DR. MORAN: Okay.

17 DR. MORAN: Good afternoon.

18 --o0o--

19 DR. MORAN: I would like to start by reviewing a
20 previous DARTIC meeting on methyl-n-butyl ketone, MnBK,
21 and 2,5-hexanedione, 2,5-HD.

22 At the November -- yeah, at the November 9th,
23 2015 meeting the DARTIC reaffirmed the listed the listing
24 of MnBK as a chemical known to the Stated to cause
25 reproductive toxicity based on male reproductive toxicity,

1 and added developmental toxicity as an additional
2 endpoint.

3 Also, at that meeting the DARTIC listed to 2,5-HD
4 under Proposition 65 as known to the State to cause
5 reproductive toxicity for male reproductive endpoint.

6 At that meeting, the DARTIC requested that the
7 OEHHA bring n-hexane before the Committee. The request
8 was made because n-hexane is metabolized to MnBK and
9 2,5-HD as already mentioned.

10 --o0o--

11 DR. MORAN: N-hexane is a widely used industrial
12 solvent, and used also in the process of extracting oils
13 from seeds.

14 --o0o--

15 DR. MORAN: There is a metabolic association
16 among n-hexane, MnBK, and 2,5-HD. In this schematic
17 representation, n-hexane is metabolized by hepatic
18 oxidases to hexanol and subsequently to 2,5-hexanediol.

19 2-hexanol can also be oxidized to MnBK, while
20 MnBK and these other intermediaries -- these other two
21 intermediaries can further be oxidized to 2,5-HD, that is
22 the predominant metabolite for both hexane and MnBK.

23 The type of evidence for n-hexane on DART effects
24 are presented in this slide. In addition to these
25 relevant studies, Attachment 1 provide -- provides the HID

1 MnBK and 2,5-HD, as well as the studies included in it.
2 The data will be presented in this order: Developmental,
3 female and male reproductive toxicity.

4 This is a summary of some aspects of the
5 experimental design for developmental toxicity studies in
6 rats:

7 The exposures and dose in ppm are presented for
8 each cited study. In this set of studies, the exposure
9 was by inhalation at different times during gestation -
10 indicated -- defined as GD. And in two experiments, the
11 exposure was extended to postnatal days, indicated by PND

12 --o0o--

13 DR. MORAN: In the same way here is a summary of
14 the experimental design for the developmental toxicity
15 studies in mice. The study by Marks et al., in 1980 is
16 the only one where the exposure was by oral route. In
17 this study, the animals were exposed during gestational
18 days 6 to 15 to either a single dose or three times per
19 day of the doses indicated in this slide.

20 In the other studies, the exposure was by
21 inhalation at the doses indicated in the right column.
22 There were also two dominant lethal studies.

23 --o0o--

24 DR. MORAN: This is a summary of the results from
25 four studies in rats presenting various developmental

1 toxicity effects, such as decreased fetal weight and birth
2 weight, postnatal growth rate, cerebellar development,
3 number of pups per litter. All of this in the absence of
4 maternal toxicity.

5 --o0o--

6 DR. MORAN: Here are four -- the result of four
7 studies with developmental effects where the animal model
8 is indicated below the author name is a mix of rat and
9 mice. The developmental toxicity effects are decreased
10 fetal weight, as well as birth, placenta, and gravid
11 uterine weight, ossification of sternebrae, frequency of
12 resorptions per litter, frequency of live fetuses per
13 litter, live pups per litter.

14 And these studies also reported some maternal
15 effects such as: dose-dependent lethality, body weight,
16 decreased irritability and aggressiveness at the higher
17 dose in one study.

18 In addition, the two dominant lethal studies
19 already mentioned did not report developmental effects and
20 they were not included in this summary table.

21 --o0o--

22 DR. MORAN: This is a review of the effects of
23 n-hexane and MnBK and 2,5-HD, the three chemicals already
24 mentioned on developmental toxicity.

25 Fetal and birth weight, litter size, and

1 postnatal growth and neurodevelopmental effects are among
2 the three chemicals -- are present in the three chemicals.

3 The citation for n-hexane effects are in the
4 footnotes.

5 --o0o--

6 DR. MORAN: This is a summary of the experimental
7 design for the female reproductive toxicity studies.

8 There are -- these are inhalation studies, where in two of
9 them the exposure was during gestation.

10 --o0o--

11 DR. MORAN: Here are the summary of the results
12 on female reproductive toxicity. The animal's model and
13 dose range is under the author names. There are ovarian
14 effects such as:

15 Abnormal estrous cycle and ovarian morphology and
16 effects on steroidogenesis.

17 There is an increase in apoptosis, cell death --
18 cell death rates and proportion of antral follicles.

19 There is a decrease in the proportion of
20 secondary follicles, number of embryos, first polar body
21 formation, and mitochondrial membrane potential.

22 For systemic toxicity, it was described in two
23 studies and are indicated on the wrong -- on the right
24 column.

25 --o0o--

1 DR. MORAN: This is a review of the effects of
2 n-hexane, MnBK, and 2,5-HD on female reproductive
3 toxicity. There were not female effects reported for MnBK
4 and ovarian effects for both hexane 2,5-HD are consistent
5 among the -- between both.

6 --o0o--

7 DR. MORAN: This is a summary of the experimental
8 design for male reproductive toxicity study in rats. Only
9 one study was by oral route (Linda et al. in '92), while
10 in all the other studies exposure was by inhalation, at
11 different length of times indicated in the exposure
12 column. Exposure ranged from 1 day to several weeks and 1
13 study to near 14 months. The doses ranged from 100 to
14 5000 ppm and from 10,000 to 20,000 milligrams per kilo in
15 the oral route study.

16 --o0o--

17 DR. MORAN: Here is the summary for the results
18 of n-hexane for male reproductive toxicity. The results
19 range from absence of effect to testicular damage,
20 decreased sperm count, and prostate weight, and Leydig
21 cell hyperplasia. Systemic toxicity was reported in study
22 as decrease body weight and atrophic hind limb muscles.

23 --o0o--

24 DR. MORAN: In this slide is the summary of the
25 results for the two dominant lethal studies, where no male

1 reproductive toxicity was reported for this study as well
2 as an absence of systemic toxicity.

3 --o0o--

4 DR. MORAN: Finally, this is a review of the
5 effects of n-hexane, MnBK, and 2,5-HD for male
6 reproductive toxicity, where testicular effects were
7 observed in -- four all three chemicals.

8 That concludes my presentation.

9 CHAIRPERSON GOLD: Thank you. Are there any
10 questions at this time from the Panel to staff?

11 Okay. In that case, I have asked for a
12 discussion of animal studies of developmental effects, and
13 the first discussant is Dr. Woodruff.

14 COMMITTEE MEMBER WOODRUFF: Thank you. Thank you
15 for the presentation. That was very useful. I was --
16 looked also at the same studies that you reviewed.

17 I first wanted to start by discussing the summary
18 that you put together, the summary table. And I think
19 this is something that we have been talking about in
20 previous meetings was the quality of the summary tables
21 and our ability to evaluate the underlying data that comes
22 from the study. So I appreciate the presentation you did
23 today, which is somewhat of an improvement over the tables
24 that were in the health -- the document that we received
25 before the meeting.

1 But I wanted to make an additional point that I
2 would highly recommend using the new software that NTP and
3 EPA is using, the HAWC project software, because I went
4 through the studies myself and was able to actually graph
5 all the endpoints. And I think you will find it a very
6 useful tool to use, because while the arrows are very
7 useful, I don't think they can always represent the actual
8 data itself completely, so I'm going to make this request
9 very specific about this particular software, because I
10 know I've made this comment in the past. And so I just
11 wanted to bring it up.

12 And so it would be, I think, very illuminating
13 for you to do these graphs, and also you can import the
14 data to do meta-analyses now. And I was just having a --
15 actually on the way up here, I was -- or this morning, I
16 was having a conversation with the developer of that
17 software at NIHS, Andy Shapiro, because I think one of the
18 other things that we've discussed at these meetings is the
19 ability to take this animal data and do meta-analyses with
20 him, because as you pointed out in - and I will discuss in
21 my comments - all the -- almost all the studies in animals
22 saw decrements in birth weight pretty consistently.

23 There was various conclusions in the papers about
24 the quote significance of those findings. But I believe
25 if -- several of the studies found significant

1 correlations between -- and in a dose response fashion.
2 And I think if you actually had the meta-analysis
3 capacity, that you would see an overall statistically
4 significant relationship, if you aggregated the findings
5 across all these studies.

6 I would also reference that the National Academy
7 of Sciences just came out with a report this past summer,
8 which used some of this type of analytic tools to also do
9 the same type of thing. The report is evaluating low dose
10 effects of endocrine disrupting chemicals. And that --
11 those tools I think would be really well integrated into
12 what you guys are doing here, because I think it would
13 help us be able to see the data a lot more clearly --

14 So that's my first comment.

15 So I --

16 CHAIRPERSON GOLD: Excuse me --

17 COMMITTEE MEMBER WOODRUFF: Please.

18 CHAIRPERSON GOLD: Dr. Donald has a comment.

19 COMMITTEE MEMBER WOODRUFF: Yes.

20 DR. DONALD: Yes. I just wanted to know that we
21 are aware of your request that we use the HAWC software.
22 We are looking into doing that for future hazard
23 identification documents. In the timeframe we had for
24 n-hexane, and given that we -- it was essentially a
25 continuation of the consideration of methyl-n-butyl ketone

1 and 2,5-hexanedione, we chose to present the data in the
2 same way as we had for those chemicals. But we are -- we
3 are --

4 COMMITTEE MEMBER WOODRUFF: Oh, that's excellent.

5 DR. DONALD: -- as I say, for future hazard
6 identification documents we're investigating it.

7 COMMITTEE MEMBER WOODRUFF: I'm sure excited
8 because the graphs are really -- the graphing capability
9 is very exciting for those of you who like those kinds
10 things, like myself.

11 (Laughter.)

12 COMMITTEE MEMBER WOODRUFF: So -- but I -- yes,
13 and I appreciate also that my first comment was that we
14 did ask to have this chemical brought up, n-hexane brought
15 up, because the metabolites were found to be reproductive
16 and developmental toxicants, or reproductive toxicants in
17 the case of 2,5-HD. So with that premise in itself, it
18 makes a lot of sense to look at n-hexane.

19 I wanted to comment on -- you commented on the
20 findings from all the studies, which was consistent with
21 the -- my own review of the findings. I would say that
22 the studies, unlike maybe a couple years ago where some of
23 the studies were older, I thought the quality of the
24 studies have -- commented on were improved compared to
25 some of the studies that we've evaluated in the past.

1 And I thought that the studies were mostly of
2 reasonable quality I will note that because they're all
3 animal studies and experimental design, this gives them an
4 advantage, because you have both controls and animals
5 dosed.

6 And the other thing I wanted to note was that
7 there was -- while some aspects of the studies were not
8 always well described in terms of, for example, blinding,
9 there were some of the studies that did mention that they
10 had had some randomization for exposure.

11 Also, all the studies had -- almost all the
12 studies had a very well described exposure -- well
13 described the exposures that they were using, and the
14 exposures were generally of high quality.

15 I also wanted to comment on the -- I agree that
16 there was consistently found that all the studies pretty
17 much uniformly found decrements in birth weight after
18 maternal exposures to n-hexane. There was one study, the
19 Mass 1988 study, which was, I think, commissioned, or an
20 NIHS study, who they actually went into evaluate the
21 potential for the relationship to be due to maternal
22 effects. And they did actually look at the extra
23 gestational weight gain, which is the body weight at the
24 time of sacrifice minus the 0 day -- the weight gain at
25 the -- the weight at the when they did -- the weight at

1 the beginning, and they subtracted the gravid uterine
2 weight, and they essentially saw that there was no change
3 in that.

4 So essentially, whatever effects were going on in
5 terms of maternal changes and maternal weight gain were
6 due to effects on the uterus and not on the mother.

7 They also noted that there was lack of
8 treatment-related effects. It was also substantiated by
9 the fact that the weight gain of the virgin females was
10 not affected by exposure to n-hexane.

11 The other item about the summary of your studies
12 is that most of the studies were inhalation studies, and
13 there was -- even if there was some effects at the very
14 highest end of the doses, most of the inhalation studies
15 were below that 5000 ppm, where they did see some type of
16 effects. There was consistency across the rats and the
17 mice. So there was support -- a lot of supporting
18 evidence for effects on birth weight.

19 And the other thing I wanted to say was -- the
20 other thing I wanted to say was there were two studies,
21 which I don't know if you mentioned this, but one study
22 actually was -- or this Stoltenberg-Didinger study looked
23 at prenatal exposures to n-hexane and were evaluating
24 effects on brain development. Did you mention that in
25 your presentation?

1 DR. IYER: (Nods head.)

2 COMMITTEE MEMBER WOODRUFF: I don't think so.

3 DR. MORAN: What exactly that they were prenatal
4 and postnatal?

5 COMMITTEE MEMBER WOODRUFF: They did --

6 DR. MORAN: Is that what you're referring to for
7 those studies?

8 COMMITTEE MEMBER WOODRUFF: Yeah.

9 DR. MORAN: Yes, I mentioned it.

10 COMMITTEE MEMBER WOODRUFF: Yeah. Okay. So --

11 DR. MORAN: Are the two highest doses, I mean,
12 the median and the high does it was prenatal and postnatal
13 for 20 and 30 days --

14 COMMITTEE MEMBER WOODRUFF: Right.

15 DR. MORAN: -- at 800 and 1000 ppm.

16 COMMITTEE MEMBER WOODRUFF: Right. And they saw
17 the effects on -- by they -- that one was actually look --
18 they looked at effects on the neurodevelopment. And there
19 were -- two of the studies you mentioned, in terms of
20 developmental, they were -- after the prenatal exposures,
21 they looked on the -- at the female reproductive effects
22 on ovarian development in the offspring, and also finding
23 effects.

24 Let me see if there was anything else.

25 You say there was some indication of resorption,

1 but that was not consistent across the studies nor of
2 fetal malformations, not as consistent as there was with
3 the low birth weight findings.

4 That's all I have for now.

5 Thank you.

6 CHAIRPERSON GOLD: Thank you. Any questions for
7 Dr. Woodruff?

8 So our second discussant is Dr. Pessah.

9 COMMITTEE MEMBER PESSAH: So thank you for the
10 summary both of you. The only study that caught my
11 attention, and probably because it was based on possible
12 developmental neurotoxicity was the Stoltenberg. There
13 were two papers, one in 1990 and one in 1991. And I tried
14 to sort of make sense of this. And it took me some time,
15 because I'm not an anatomical pathologist.

16 And, yeah, so there were clear effects in the
17 cerebellum that didn't seem to map onto maternal issues.
18 But I think it was a rather detailed study in 19 -- which
19 one was this? The 19 -- in neurotoxicity in 1991, where
20 they actually showed, not only histopathological slides,
21 but did the analysis in the paper. But I'm used to
22 actually seeing summary data.

23 And all I saw there was a few sections. And so I
24 wasn't sure about how reproducible this all was. And like
25 I said, I can't read the slides, so I just took them at

1 their word.

2 The one thing in the 1990 paper, which was maybe
3 a prelude to the 1991 paper, I noticed that their
4 conclusion -- and I'm going to read it. "As for
5 developmental of the central nervous system, it could be
6 shown that not only prenatal exposure to n-hexane does not
7 induce a reduction in brain weight in the offspring of
8 exposed mothers", which I had to think about that one.

9 (Laughter.)

10 COMMITTEE MEMBER PESSAH: "As for development of
11 the central nervous..." -- I'm sorry. And then it
12 follows, "Considering the reduced body weight of the
13 animals prenatally exposed to n-hexane, it becomes
14 apparent that we are dealing here with harmonious
15 hypotrophy". And maybe you can explain that Charlie, but
16 I can't, which I think means it's just because they were
17 underdeveloped you would expect those effects.

18 COMMITTEE MEMBER PLOPPER: Yeah.

19 COMMITTEE MEMBER PESSAH: That's it. Okay. So I
20 would say that, you know, what caught my attention is that
21 clearly these were delayed in development, but not due to
22 maternal factors is what I'm --

23 CHAIRPERSON GOLD: Okay. Any comments or
24 questions for Dr. Pessah or Dr. Woodruff?

25 Okay. The second topic is studies of male

1 reproductive effects. And our first discussant is Dr.
2 Plopper.

3 COMMITTEE MEMBER PLOPPER: Well, Dr. Moran did a
4 very nice job of summarizing the exposure conditions for
5 the studies that are involved here, as well as the
6 findings. And I want to point out that what we have here
7 are two different conditions for exposure. There's one
8 exposure that was in gavage. The others are all by
9 inhalation. And that two of them, the inhalation studies
10 are for only 4 days or 5 days, and the gavage is only 1 or
11 5 days.

12 And I think one of the things that's key here,
13 and I think the study by boss that looks at what happens
14 to hexane when it's inhaled, and where it's distributed,
15 and how the metabolites shows it, these are key -- one of
16 the key issues here is the time course. And when these
17 studies are for longer periods of time, where the exposure
18 is for an extensive period of time, all of these studies
19 have found some sort of a damage to the -- to something in
20 the testis.

21 And I think that one of the problems I had with
22 this is that the only one of the five studies that looked
23 at either sperm morphology or some sort of testicular or
24 other reproductive pathology, the only one that didn't
25 show any significant changes is the one that was for the

1 very short period of time.

2 And once you start thinking about the dynamics
3 here, and I think it's -- Dr. Pessah already outlined this
4 problem with the previous chemical, the same thing for
5 hexane, it's a metabolized compound that could be
6 metabolized in a variety of places, and it could be
7 distributed to a variety of places.

8 And so when you get an exposure like this,
9 particularly when it's an inhalation exposure, I can
10 assure you from my own past experience the time frame
11 before it actually becomes a toxicant to other organs
12 within an individual is significant.

13 So I would say that there was concern. I had it
14 first, because there was no -- one of these studies found
15 there was no toxicologic response. Well, that's the one
16 at the 5 days. We don't even know if that -- the
17 concentrations of the metabolites that might be toxic
18 would actually get -- be high enough to cause a change,
19 and knowing that what you're focused on is the testis in
20 an adult male, which is actively turning over at very high
21 rates, a short time like that and then an assessment
22 later, it may have already healed itself for the short
23 time. It's the long time that could be the problem.
24 That's my opinion.

25 I was also concerned about the fact that one of

1 these studies, the longest exposure study actually ended
2 up with an hyperplastic response in the testis that didn't
3 necessarily have to do with reproduction, but was even
4 worse, because that's something that's not going to be
5 reversible.

6 I would point out one of the things that I had a
7 problem with with these studies is that the two studies
8 that actually asked the question if you're -- if this
9 exposure occurs, is this going to affect the ability of
10 the exposed male to actually reproduce. It did not
11 actually assess what the impact on the testis was of the
12 animals that were tested for fertility. And, in fact,
13 apparently there was no, what you could say, was there was
14 no reproductive effect.

15 But if you look at the details of what was said
16 about the composition of the damaged testis or the
17 organization of the sperm there, there are always some
18 viable sperm there anyway.

19 So that doesn't -- you know, you would -- what I
20 would have wanted to know from either one of these other
21 two studies, okay, so after they did the breeding and they
22 were successfully impregnated females, what was the
23 pathology of the testis at the time this occurred?

24 And, of course, that isn't in here, so that is
25 sort of -- it makes it a little bit more difficult to

1 assess it, but I just would point out again that except
2 for the two studies, that -- or three studies that used
3 very short time frame for exposures, all the rest of them
4 found some sort of indication that male reproduct -- the
5 male reproductive system was toxicologically damaged and
6 that's it.

7 CHAIRPERSON GOLD: Thank you.

8 Any questions for Dr. Plopper?

9 Okay. The second discussant si Dr. Auyeng-Kim.

10 COMMITTEE MEMBER AUYEUNG-KIM: So I pretty much
11 came to the same conclusions as Dr. Plopper. The other
12 thing that I noted with the studies was that all the
13 studies that had effects were all in rats, and the studies
14 that did not have effects were in the -- in mice.

15 And so I tried to see if there was like maybe a
16 metabolism difference. But it may also be the fact that
17 in most of the -- in 2 of the 3 mice studies that those
18 were the short durations, and so we weren't going to
19 see -- the exposure time period was not appropriate.

20 The other thing that I noted was that in the rat
21 studies was that there was only -- there was no dose
22 response tested. It was just a high and the controls.
23 And so -- and that was something that I saw that was a
24 deficient in the studies, but I do agree that, you know,
25 there was clearly an effect on the male param -- male

1 parameters in the study -- in the rat studies.

2 CHAIRPERSON GOLD: Thank you.

3 Any comments or questions?

4 Okay. The final topic is female reproductive
5 effects and Dr. Luderer is going to be the first
6 discussant.

7 COMMITTEE MEMBER LUDERER: Dr. Luderer is jumping
8 the gun here.

9 So of the papers that we had for review four of
10 them that dealt with the female reproductive -- that female
11 reproductive toxicity of n-hexane were from the same
12 research group at Fujian Medical University in China. And
13 then I'm also -- I'll talk about those first, and then
14 there are two papers that evaluated female reproductive
15 toxicity of 2,5-hexanedione, which we've already heard why
16 that is relevant to the reproductive toxicity of n-hexane.

17 So the -- and I should also note that there are
18 no epidemiological human studies on the female
19 reproductive toxicity of either chemical.

20 So there were two papers by Li et al. that were
21 already mentioned under the developmental toxicity
22 discussion, which appear to refer to the same group --
23 groups of pregnant Wistar rats that were exposed by
24 inhalation, gestational days 1 to 20 to 0, 500, 2500
25 12,500 ppm for 4 hours a day. So one thing that I noted

1 was that there was a table that described the data on live
2 pups per litter, and its numbers, and the standard errors
3 were identical for 0, 500, 2500, and 1250[sic] ppm doses.
4 So I assumed those were the same rats, or else that would
5 have been extremely unlikely to have occurred.

6 They -- the second paper added another dose
7 group, 100 ppm. And there was -- and the other data other
8 than the data on live pups per litter in those two papers
9 were different. So they examined different endpoints,
10 other than that one endpoint.

11 The 2014 paper reports on ovarian follicle counts
12 in the F1 female offspring of those rats that were exposed
13 during pregnancy on postnatal day 56. Unfortunately, they
14 only present the data as percentages of the total number
15 of -- percentage of total follicles in 10 sections per
16 ovary. They provide no indication of how the sections
17 were chosen. And the percentages of primordial plus
18 primary follicles are in those -- in all the groups were
19 much lower than the percentage of secondary follicles
20 which to me indicates that there was probably considerable
21 overcounting of secondary follicles. And they really
22 should have presented the actual follicle count data, but
23 they didn't.

24 And the other two papers on n-hexane, the papers
25 by Liu at al. -- or sorry in the second paper Li et al.,

1 they also harvested the postnatal day 56 ovaries for
2 isolation of granulosa cells, which they cultured. And
3 then they looked at various endpoints, global DNA
4 methylation, using the Nimblegen Promoter plus CpG island
5 array on immunoprecipitated DNA.

6 And then in the other paper, they looked at mRNA
7 and protein expression of various steroidogenic genes, and
8 concentrations of steroids in culture media, as well as
9 using another method to confirm the methylation status of
10 promoters for those genes specifically.

11 So in the 2014 paper, they identified many
12 differentially methylated genes among the different
13 inhalation exposure groups, focused -- but they focused
14 down on genes involved in apoptosis and steroid
15 biosynthesis. And then those steroid biosynthesis genes
16 were the focus of the other paper.

17 One thing I noted about the data that were
18 presented on those steroid biosynthesis genes is that they
19 showed strikingly similar patterns of change for all the
20 endpoints. Progesterone measured in the media
21 decreased -- was increased at 100 and 500 ppm and
22 decreased at 1250. And they saw very similar estradiol
23 concentrations were decreased at the two higher
24 concentrations, 22,500 and 1250 ppm.

25 And when they looked at the granulosa cell

1 protein and mRNA levels of Star, cytochrome P450 11a1,
2 which is side-chain cleavage and cytochrome P4507a1, the
3 17 alpha-hydroxylase, 17,21-lyase --

4 THE COURT REPORTER: Slow down, please.

5 COMMITTEE MEMBER LUDERER: Sorry.

6 They saw exactly the same patterns increased at
7 500 ppm, decreased at 1250, which is what you would
8 perhaps expect. However, they provided very little
9 information about the number of replicates per group for
10 this granulosa cells endpoints. And they're also looking
11 at -- one of the genes that they looked at is the gene
12 that's expressed in theca cells. And these were
13 ostensibly granulosa cell cultures, which is the
14 cytochrome P450 17a1. And yet, they were reported
15 upregulation of this gene in granulosa cells.

16 Moreover, generally, when you're culturing
17 granulosa cells, since we know that both theca and
18 granulosa cells are required for estradiol synthesis in
19 order to elicit estradiol synthesis in a granulosa cell
20 culture, generally you provide androstenedione to the
21 cells, so that they can convert the androgen to estradiol.

22 And they didn't mention anything about having
23 added androstenedione. So all of these kind of things
24 make me wonder about the validity of the data.

25 The two papers by Li et al. -- Liu et al. from

1 that same group are also of quite -- were of very poor
2 quality. I thought there was no mention of randomization
3 blinding. Low -- I had low confidence in the outcome
4 measures, particularly again the follicle count
5 methodology, was -- even though I read it multiple times,
6 it really did not make sense the way they described it.
7 The follicle counts were only reported as percentages
8 again. They used inappropriate statistics for those
9 percentage data.

10 And in the 2013 paper, they appear to have done
11 in vitro exposures to oocyte -- of oocytes, based on the
12 text and the figure legends, but the methods only describe
13 in vivo exposures. And the figure labels on those figures
14 use the doses from the in vivo exposures, so -- and this
15 was already noted in the OEHHA documents. So I think
16 those -- basically the data are not interpretable because
17 of all of those inconsistencies.

18 So that brings me to the one paper that I thought
19 was of relatively high quality, which is on the
20 2,5-hexanedione. That was the paper by Siracusa et al.,
21 and they use CD-1 mice. The mice were randomized to one
22 and half percent hexanedione in the drinking water or
23 vehicle. And they didn't have -- they didn't have
24 different concentrations in the drinking water, but they
25 did expose the mice for different lengths of time,

1 depending on the endpoint, 1 week, 4 weeks, and 6 weeks.

2 And they chose their dose to cause mild
3 peripheral neuropathy based on motor conduction velocity
4 distal latency time on the tail nerve, which I thought was
5 nice. They actually reported those data.

6 They collected the ovaries for total DNA and
7 protein, which were decreased with the 6-week hexanedione
8 exposure, and the ovarian weights were not significantly
9 decreased. And then they also did follicle counts using
10 appropriate methods at the end of the exposure intervals.

11 So they additionally assessed fertility. So
12 there was a nonsignificant decrease in the total number of
13 follicles, both in the small primordial plus primary
14 follicles, and in the antral follicles in both of the 4
15 week and 6 week exposure groups, and a statistically
16 significant decrease in secondary follicles after the 6
17 week exposure, and they used a non-parametric test to make
18 the pairwise comparisons at each time point.

19 One thing that they didn't notice in here, the
20 graphing software that was just discussed would have been
21 useful, the total follicle numbers were decreased by 7
22 percent after the 1-week exposure, 18 percent after the
23 4-week exposure, and 25 percent after the 6-week exposure.

24 But they didn't do any kind of a regression
25 analysis looking at maybe the interaction between time and

1 the exposure, which would have been nice, because it's
2 very suggestive of a dose response.

3 In the breeding assay, they had not differences
4 among groups in terms of the number of offspring produced
5 during the first 13 weeks. But thereafter, the mice were
6 treated -- that had been treated for 6 weeks had a
7 significantly more rapid age-related decline in litter
8 size by regression analysis, which might be what you would
9 expect if you had a depletion in the follicle pool, which
10 would then lead to early ovarian aging.

11 The final study was a study just of -- from the
12 first group again, the same group that had the first --
13 the papers on n-hexane. And they cultured granulosa cells
14 with very high concentrations of 2,5-hexanedione in the
15 millimolar range, and they observed increases in
16 apoptosis, and decreases in cell viability. But the very
17 high concentrations I think limit the utility of that
18 study as well.

19 So, in summary, there were 4 female reproductive
20 toxicity studies of n-hexane in two species, rat and
21 mouse. However, I thought that those have a very high
22 probability of bias for the reasons I outlined.

23 And then there's one in vivo study of
24 2,5-hexanedione in mouse -- mice, which I think was well
25 done and has a low risk for bias, and which provided

1 evidence for cumulative dose-dependent depletion of
2 ovarian follicles with developmental exposure, with
3 statistically significant decreases in secondary -- sorry,
4 that one was not -- second follicles or oral dosing, not
5 developmental exposure.

6 So altogether, I think there's limited
7 experimental database that supports that n-hexane may be a
8 female reproductive hazard, but I don't think there's
9 sufficient evidence to conclude that n-hexane that is
10 presumed to be a female reproductive hazard in humans
11 based on just the one study in one species that's good.

12 CHAIRPERSON GOLD: Thank you. Any questions for
13 Dr. Luderer?

14 Okay. Dr. Woodruff is the secondary discussant.

15 COMMITTEE MEMBER WOODRUFF: Thank you. That was
16 excellent. I did note though, I think in the Li 2015
17 article, and maybe in the other ones, they said they
18 randomized the ovaries just in the toxicological letters
19 one. Anyway.

20 I'm not -- that doesn't take away from the other
21 issues that you explained, but

22 COMMITTEE MEMBER LUDERER: The Li papers did.

23 COMMITTEE MEMBER WOODRUFF: Okay. I did have
24 a -- some additional questions because when I read the
25 papers, I noticed there were some references to two

1 studies that said that they had a clinical -- the Li
2 studies were motivated because they had some occupational
3 exposures that lead to female reproductive problems. I
4 know I asked you for these studies which are in Chinese,
5 but I was wondering if you had looked at those studies at
6 all. I know they're not in our group of studies.

7 DR. SANDY: Well, we -- based on the fact that
8 they were reported only in Chinese and they we're just
9 summarized in that paper, by Li et al., I believe it was,
10 or Liu et al., we mentioned -- we acknowledged the fact
11 that there were some reports in humans, but we had no --
12 no studies. These were case reports. We have --

13 COMMITTEE MEMBER WOODRUFF: Well, no. Actually,
14 you sent me the studies. So --

15 DR. SANDY: And we have looked at -- we asked
16 staff to try to find out what was in those studies. And
17 one of them there was one line that mentioned that
18 there -- that women had menstrual abnormalities. And the
19 other paper that purported to have reports of reproductive
20 effects, we could not find any report in that paper.

21 COMMITTEE MEMBER WOODRUFF: Okay. Thank you.

22 I just would comment that it's sometimes helpful
23 though in the search, because I just picked that up, even
24 if it's in another language. I mean, it could be that
25 it's not a very good study. But it could be that there's

1 something useful, so it would be helpful to have that in
2 the literature.

3 The other, also again in this Li toxicological
4 letters, they note two other papers a Huang 2011 paper,
5 the impact of n-hexane on the secretion of mouse estrogen
6 and progesterone. And this Cao study experimental
7 research and sex glands of SD rats after n-hexane
8 inhalation, which I didn't know if they would be relevant.
9 I just looked at their abstracts actually on the way up.

10 And they -- so I don't -- they seem like they
11 might be relevant to reproductive health effects. But
12 since they weren't included in the group of studies that
13 we evaluated, I was wondering -- I mean I don't know that
14 we need them to make a decision, but I would just note
15 that there might be other studies out there that once
16 checking in the reference list of the studies that you
17 have, sometimes that's how I found these.

18 I just note that when they're abstracts they said
19 they found that -- didn't one of the studies show a
20 progesterone effect. This -- one of the -- this Huang
21 study also found a relationship between n-hexane on mice
22 and progesterone, but not estrogen.

23 Sorry. I didn't have time to read it, because I
24 found them on the way up in the reference listed, so I
25 would just comment that it would be useful to check the

1 references next time on the papers.

2 DR. DONALD: We generally do. It's quite
3 possible that we overlooked something. And, of course,
4 that's why we give the Committee the opportunity to
5 request any relevant papers that we have missed that
6 they're aware of.

7 CHAIRPERSON GOLD: Okay. Any questions for Dr.
8 Woodruff?

9 Dr. Luderer.

10 Comments.

11 Okay. Hearing none. We'll take this opportunity
12 to look at public comments.

13 I have one request. Are there any additional
14 ones?

15 And the one request has also asked for additional
16 time, and we've given 10 minutes. And that's for Jay
17 Murray to speak on behalf of the National Oilseed
18 Producers Association, Institute of Shortening and Edible
19 Oils, and the Grocery Manufacturers Association. So 10
20 minutes.

21 DR. MURRAY: Thank you. I'm Dr. Jay Murray
22 speaking on behalf of all those organizations that Dr.
23 Gold just described. It's the National Oilseed Processors
24 Association, the Institute of Shortening and Edible Oils,
25 and the Grocery Manufacturers Association, which submitted

1 written comments to you. And thank you for reading both
2 our written comments as well as all the other materials
3 that you were provided.

4 Also, feel free to interrupt me and ask questions
5 as we go through.

6 N-hexane is before you today, because no
7 authoritative body has formally identified it as causing
8 developmental or reproductive toxicity. And this first
9 slide is an example. This was EPA's IRIS review of
10 n-hexane in 2005. And EPA noted some evidence of
11 developmental effects in the Mast studies, which was assoc
12 -- at the high dose 5000 parts per million, which was
13 associated with maternal toxicity.

14 And Dr. Woodruff, I'm may have misheard what you
15 said, but I thought this was -- you were describing this
16 study and said that the decrease in maternal weight was
17 explained by the uterine content. So I just pulled the
18 study, and I wanted to make sure I remembered it right.

19 And so what it said was that extra gestational
20 maternal weight gain was reduced by 23 percent, and 45
21 percent at a 1000 and 5000 parts per million.

22 So the extra gestational maternal weight is the
23 weight after removing the uterine contents. Okay. That's
24 not -- that's not explained by a decrease in fetal body
25 weight. That's a true effect on the moms.

1 Back to the slide. EPA also described all
2 these -- also, evaluated all these other studies, many of
3 these are ones that you are looking at as well, and
4 concluded that these studies do not indicate that n-hexane
5 exposure produces adverse reproductive and developmental
6 effects.

7 So many authoritative bodies have identified
8 n-hexane as a neurotoxicant. And if the issue before you
9 was, is n-hexane a neurotoxicant, you'd have an easy
10 decision to make.

11 But the issue for you today is reproductive and
12 developmental toxicity. There are no epidemiologic
13 studies of n-hexane, and -- at least none that have been
14 identified. So it really comes down to the animal
15 studies.

16 And before getting into the animal studies on
17 n-hexane, I'm going to show you one study that was not
18 included in the H -- in the hazard identification
19 document.

20 --o0o--

21 DR. MURRAY: This is a -- and this was a study
22 that we submitted during the data call-in. This is a
23 two-generational reproductive toxicity study of commercial
24 hexane, not n-hexane, by Daughtery, which showed no
25 evidence of reproductive or developmental toxicity.

1 And the study is relevant, because the commercial
2 hexane that they used for this study contained 52 percent
3 n-hexane. Male and female rats were exposed by inhalation
4 up to -- at levels up to 9000 parts per million for 6
5 hours a day for 5 or 7 during gestation days per week over
6 two generations.

7 The 9000 parts per million commercial hexane
8 means about 4500 parts per million n-hexane. There was no
9 evidence of any effect on fertility or reproductive
10 capacity on the histology of the reproductive organs or
11 any other endpoint of developmental or reproductive
12 toxicity in this study.

13 So again, this is not a study of n-hexane, but it
14 adds to the weight of evidence that n-hexane does not
15 cause reproductive toxicity.

16 --o0o--

17 DR. MURRAY: This slide summarizes the studies of
18 male reproductive toxicity on n-hexane. And it's well
19 understood that n-hexane is metabolized to methyl-n-butyl
20 ketone and to 2,5-hexanedione, or 2,5-HD for short, two
21 metabolites that are listed as male reproductive
22 toxicants, but -- and there -- it certainly provides a
23 rationale for taking a look at n-hexane, but it's overly
24 simplistic to assume that n-hexane causes male
25 reproductive toxicity because some of its metabolites

1 cause male reproductive toxicity.

2 The potential for n-hexane to produce
3 reproductive toxicity is likely to depend on whether the
4 rate of exposure and internal dose of these metabolites
5 are sufficient to cause male reproductive toxicity.

6 In fact, there's a clear difference between
7 n-hexane and 2,5-HD regarding the potential to cause male
8 reproductive toxicity.

9 This first study Linder and colleagues at EPA
10 conducted a short-term screening assay for
11 spermatotoxicity in both n-hexane and 2,5-HD were
12 evaluated in the same assay under the same conditions.
13 Both compounds given by gavage for a total dose of 20
14 grams per kilogram per day, but the results were markedly
15 different. Exposure to 2,5-HD produced substantial
16 spermatotoxicity after just 1 to 5 doses. Whereas,
17 n-hexane was negative in this test.

18 And I appreciate Dr. Plopper's comments about the
19 duration of exposure. But, you know, this clearly
20 indicates that there's, at least on a short-term basis,
21 there's a difference between those two compounds.

22 Four of the studies on this slide were negative
23 for male reproductive effects, and only two showed some
24 evidence of reproductive toxicity. DeMartino being of one
25 of those two showed adverse effects on spermatogenesis,

1 and an exposure level with neuropathy and weight loss
2 serious enough to trigger premature sacrifice of the -- of
3 some of the animals.

4 And the other one is the study by Nylen reported
5 atrophy at the seminiferous tubules at a dose that caused
6 quote, "severe atrophy of the muscles of the hind limbs
7 and reduced body weight". So -- which sure sounds a lot
8 like peripheral neuropathy, and at least partial paralysis
9 of the hind limbs.

10 So these studies don't add up to n-hexane as
11 clearly shown to cause male reproductive toxicity.

12 --o0o--

13 DR. MURRAY: This is female reproductive
14 toxicity. And as Dr. Luderer mentioned, the evidence for
15 n-hexane is limited to the four studies all done at the
16 Fujian Health College. These studies had serious
17 limitations. Dr. Luderer has done a fine job of
18 describing those, so I'm going -- I'm going to breeze over
19 this slide.

20 The one thing I will mention from this one is
21 that there was some serious toxicity reported in two of
22 the studies, the first study by Liu and the first study by
23 Li. And then both of them did a second study. And in the
24 second study, they used at least the same concentrations
25 at the high dose, if not higher, but never bothered to

1 look at maternally systemic toxicity.

2 One of the slides that you saw earlier said there
3 was no maternal toxicity observed in Li 2015. The reason
4 is is they never looked for it.

5 --o0o--

6 DR. MURRAY: So developmental toxicity. This
7 n-hexane showed little or no evidence of developmental
8 toxicity, except at doses that produced overt maternal
9 toxicity and even death. For example Marks found little
10 evidence of developmental toxicity in mice at daily
11 gava -- at total daily gavage doses of 8 and 10 grams per
12 kilogram per day. Grams per kilogram per day.

13 Okay. Massive -- these are massive dose levels
14 that cause maternal deaths and certainly exceeded the --
15 any recommendation regulatory agencies make about what the
16 top dose should be.

17 Many of these studies do not represent
18 scientifically valid testing because the limitations, such
19 as unknown composition of the test material, inadequate
20 group size, insufficient number of doses, lack of detail
21 in methods and results, and improper statistical analysis.

22 So these studies do not demonstrate that n-hexane
23 has been clearly shown to cause developmental toxicity.

24 And I'm going to show you two more slides very
25 quickly here.

1 CHAIRPERSON GOLD: Yes.

2 COMMITTEE MEMBER ALLARD: I was wondering in your
3 commercial hexane study, did you -- were you able to
4 determine the LD50 for that particular commercial hexane?

5 DR. MURRAY: Certainly not in that study they
6 would have -- not have determined the LD50. My
7 understanding is the LD50 is probably higher for
8 commercial n-hexane than it is for n-hexane, but I don't
9 remember the numbers. But in that study they did not look
10 at LD50. That was a two-gen repro study.

11 CHAIRPERSON GOLD: Other questions?

12 Thank you.

13 DR. MURRAY: Thank you.

14 CHAIRPERSON GOLD: Dr. Sandy.

15 DR. SANDY: If I could just clarify that in the
16 HID on page three, we do address that commercial hexane
17 study. And we talk about the other compounds that were in
18 that mixture, and point out that we are -- your question
19 before you today is does n-hexane cause reproductive
20 toxicity? And this was a mixture.

21 CHAIRPERSON GOLD: Dr. Murray, you wish to
22 address that. You get one minute.

23 DR. MURRAY: I'll take half a minute. Thank you
24 for allowing me to come back up.

25 It makes sense. If you were -- you know, that a

1 study that's a complex mixture, it has other six-carbon
2 entities. If it had been a positive study, there's no way
3 you could have said it's clearly shown to be n-hexane,
4 because of the other constituents in that mixture.

5 On the other hand, it's a negative study. And
6 not seeing something gives you -- has some value and some
7 utility. Now, you can hypothesize that maybe one of those
8 other chemicals inhibits the reproductive toxicity of
9 n-hexane, but I think there's still value in knowing about
10 that study.

11 Thank you.

12 CHAIRPERSON GOLD: Thank you.

13 Any other comments or questions. The topic of
14 n-hexane is now open for discussion by the Panel.

15 Comments, anyone?

16 Dr. Woodruff.

17 COMMITTEE MEMBER WOODRUFF: Yeah. I just -- one
18 thing I forget to mention when I was discussing the
19 studies that I wanted to point out was that the Bus study,
20 which is a study done by the chemical industry, did look
21 at maternal and fetal exposures to n-hexane, and they did
22 find that the fetus is exposed to the metabolites that
23 were already listed by the DART Panel. I'm just looking
24 for the table here. MnBK and 2,5-HD.

25 So the fetus is -- I just wanted to make sure

1 that we also had on the record that there is documented
2 fetal exposures to those two chemicals.

3 CHAIRPERSON GOLD: Thank you.

4 Dr. Plopper.

5 COMMITTEE MEMBER PLOPPER: Yeah, I just wanted to
6 make a comment on the fact that the Nylen study that
7 looked at reproductive toxicity in rats also exposed these
8 rats, some of them, to toluene or to xylene to other. And
9 in both of these cases, there was no testicular problems
10 with the ones that were exposed together. And I think
11 that that's an interesting problem that needs -- that I
12 would have -- were I doing this, I would have been
13 exploring that further, because what it means is if you
14 have a mixture of chemicals that are in these classes, and
15 hexane is one of them, the others may be inhibiting
16 whatever the impact of hexane actually is. So I think
17 that's worth of consideration here.

18 CHAIRPERSON GOLD: Thank you.

19 Any other comments?

20 Are we ready to vote?

21 Okay. So first, we'll take up male reproductive
22 toxicity. So the question before you is has n-hexane been
23 clearly shown through scientifically valid testing,
24 according to generally accepted principles to cause male
25 reproductive toxicity?

1 All those voting yes, please raise your hand?

2 (Hands raised.)

3 CHAIRPERSON GOLD: I see six.

4 Those voting no?

5 (Hand raised.)

6 CHAIRPERSON GOLD: Two.

7 No abstention -- any abstentions?

8 (hand raised.)

9 CHAIRPERSON GOLD: One abstention.

10 Sorry. Thank you.

11 That's right. We've got one more person. Thank
12 you.

13 Okay. Next, female reproductive toxicity.

14 Has n-hexane been clearly shown through
15 scientifically valid testing, according to generally
16 accepted principles to cause female reproductive toxicity.

17 All those voting yes, please raise your hand?

18 (No hands raised.)

19 CHAIRPERSON GOLD: I see none.

20 Voting, no?

21 (Hands raised.)

22 CHAIRPERSON GOLD: Four, five, six, seven, eight,
23 nine. Nine.

24 And abstentions?

25 (No hands raised.)

1 CHAIRPERSON GOLD: None.

2 And then finally, has n-hexane been clearly shown
3 through scientifically valid testing, according to
4 generally accepted principles to cause developmental
5 toxicity?

6 All those voting yes, please raise your hand.

7 (Hands raised.)

8 CHAIRPERSON GOLD: Two, three.

9 Those voting no.

10 (Hands raised.)

11 CHAIRPERSON GOLD: Four, five.

12 Those abstaining.

13 (No hands raised.)

14 CHAIRPERSON GOLD: I missed something.

15 All right. Can we please redo.

16 Those voting yes, please raise your hand.

17 (Hands raised.)

18 CHAIRPERSON GOLD: Three.

19 Those voting no.

20 (Hands raised.)

21 CHAIRPERSON GOLD: One, two, three, four, five,
22 six.

23 Okay.

24 Any abstentions?

25 (No hands raised.)

1 CHAIRPERSON GOLD: No. Thank you.

2 So does the reporter need a break.

3 THE COURT REPORTER: (Shakes head.)

4 CHAIRPERSON GOLD: Okay. All right. So the next
5 item on the agenda is the consent item. And Ms. Monahan
6 Cummings is going to speak to us first.

7 (Thereupon an overhead presentation was
8 presented as follows.)

9 CHIEF COUNSEL MONAHAN CUMMINGS: All right. So
10 we're trying to make this as painless for you as possible.
11 This item has to do with changes to the second list that's
12 required under Prop 65, which we call the section 2700
13 list of chemicals that either State or Federal agencies
14 are required to be tested for cancer or reproductive
15 toxicity endpoints.

16 So for this item, we sent the Committee a staff
17 report ahead of the meeting, and also posted that report
18 on our website. The report looks like this.

19 --o0o--

20 CHIEF COUNSEL MONAHAN CUMMINGS: Hopefully you
21 were able to take a look at it. There's also a copy in
22 the back of the room for the public if they wish to view
23 it. The items -- the item that you're voting on is our
24 suggested amendments to that report. The item is on the
25 agenda for your consent. And this means that you just

1 need to vote yes or no concerning the changes that OEHHA
2 is recommending that we make to the Section 2700 list of
3 chemicals that need further testing, that has been --
4 that's based on information that we've obtained from the
5 Department of Pesticide Regulation and U.S. EPA.

6 Section 2700 list is informational and has no
7 regulatory effect.

8 --o0o--

9 CHIEF COUNSEL MONAHAN CUMMINGS: So the next four
10 slides summarize that changes that we would like to make
11 to the list. This first slide would be these three
12 chemicals would be removed from the list because the
13 testing has been fully satisfied.

14 --o0o--

15 CHIEF COUNSEL MONAHAN CUMMINGS: This chemical,
16 we would remove this particular endpoint of reproductive
17 toxicity from the list, based on information from DPR that
18 they have that testing now.

19 --o0o--

20 CHIEF COUNSEL MONAHAN CUMMINGS: These are the
21 chemicals that we would like to add to the list, and these
22 are the endpoints for which there needs to be further
23 testing as reported by DPR.

24 --o0o--

25 CHIEF COUNSEL MONAHAN CUMMINGS: And lastly,

1 there's a fairly long list of chemicals that now have been
2 fully tested as required by U.S. EPA. So does anybody
3 have -- let's see, let me see this other thing.

4 So OEHHA staff is recommending that you vote yes,
5 so that we can make the necessary changes to the list
6 described in the staff report. Does anyone have questions
7 before Dr. Gold requests a vote?

8 Dr. Woodruff.

9 COMMITTEE MEMBER WOODRUFF: So does that mean for
10 example on this list --

11 CHIEF COUNSEL MONAHAN CUMMINGS: I'm sorry. I
12 can't hear you.

13 COMMITTEE MEMBER WOODRUFF: Do you think -- does
14 that mean that on this list, for example benzenesulfonyl
15 chloride, that there would be sufficient data for us to,
16 for example, consider it as a -- look at the reproductive
17 and developmental toxicity? What does the data
18 requirements mean?

19 CHIEF COUNSEL MONAHAN CUMMINGS: They're data
20 requirements from either U.S. EPA or DPR. I don't know
21 whether or not that is a reproductive endpoint or not
22 that -- because I don't have the staff report in front of
23 me, but from time to time, we will look at chemicals that
24 U.S. EPA or DPR have reviewed, but we don't really use
25 this list directly for finding chemicals that we should

1 consider for this Committee, is that correct?

2 CHAIRPERSON GOLD: Dr. Donald, do you have
3 something to add?

4 DR. DONALD: No, I think you covered it. Really,
5 all this tells us is that the required testing has been
6 completed. It doesn't tell us anything about the data
7 that were generated by those tests.

8 CHAIRPERSON GOLD: Can I ask for clarification on
9 one thing?

10 Dr. Pessah, do you have something?

11 COMMITTEE MEMBER PESSAH: I do.

12 CHAIRPERSON GOLD: Why don't you go first.

13 COMMITTEE MEMBER PESSAH: Just a point of
14 clarification. You have nicotine and derivatives. Would
15 that include neonicotinoids, like imidacloprid and --

16 CHIEF COUNSEL MONAHAN CUMMINGS: Well, this is
17 just information as reported by U.S. EPA, so it's however
18 they've defined that. But I don't -- I'm not aware that
19 they give us the list of all of the derivatives that they
20 are identifying here. So we could follow up on that, if
21 we --

22 COMMITTEE MEMBER PESSAH: But I think what you're
23 saying is it doesn't make -- it doesn't have an impact on
24 what the Committee ultimately could review?

25 CHIEF COUNSEL MONAHAN CUMMINGS: Correct.

1 Correct. Yeah, this list doesn't really affect you all or
2 anyone else that I can identify. It just happens to be an
3 artifact of the law that has required it for the last 30
4 years. And I'm not aware that anyone uses it. Sorry.

5 CHAIRPERSON GOLD: One question. So the
6 triethylene glycol, it's being suggested to remove it
7 because it's -- the testing is partially satisfied. Could
8 you clarify?

9 CHIEF COUNSEL MONAHAN CUMMINGS: So what this
10 means is that if you look on some of the other slides,
11 there's a whole list of different kinds of testing that
12 needs to be done. So you can see on this last, sodium
13 phenate. There's all these different types of tests, one
14 of them being repro. So if you go back to this one,
15 wherever it is, what DPR is saying to us is that the repro
16 test is finished. There may be other ones that need to be
17 done, but they're saying the repro test is finished.

18 CHAIRPERSON GOLD: I got it. Thank you.

19 Any other questions from the Panel?

20 Are we ready to vote?

21 Okay. So the question is based on the
22 recommendations in the OEHHA staff report, should the
23 Section 27000 of Title 27 in the California Code of
24 Regulations be amended, as indicated in Section 6 of the
25 staff report?

1 So if you are voting yes, please raise your hand.

2 (Hands raised.)

3 CHAIRPERSON GOLD: I see nine.

4 Any noes?

5 (No hands raised.)

6 CHAIRPERSON GOLD: Any abstentions?

7 (No hands raised.)

8 CHAIRPERSON GOLD: So we have nine voting yes.

9 Okay. The next item is staff updates, if we're
10 not taking a break. We're going to try and charge
11 through, right?

12 CHIEF COUNSEL MONAHAN CUMMINGS: Yeah, we're
13 probably five minutes out from being done.

14 CHAIRPERSON GOLD: Okay. Good.

15 (Thereupon an overhead presentation was
16 presented as follows.)

17 MS. RAMIREZ: All right. Since your last
18 meeting, we have added a total of four chemicals
19 administratively for causing reproductive toxicity and
20 five for cancer.

21 The first slide here shows that reproductive
22 toxicity. Vismodegib was added for all three endpoints,
23 developmental, female reproductive, and male reproductive
24 toxicity via the formally required listing mechanism.
25 Pertuzumab was added for the developmental endpoint, also

1 by the formally required listing mechanism. And
2 perfulorooctanoic acid, PFOA, and perfluorooctane
3 sulfonate, PFOS, were both added for the developmental
4 endpoint via the authoritative bodies listing mechanism.

5 --o0o--

6 MS. RAMIREZ: The next slide shows that for
7 cancer, the following chemicals were added:

8 Glyphosate by the Labor Code listing mechanism;
9 pentabromodiphenyl ether mixture, DE-71 technical grade by
10 the authoritative bodies listing mechanism; and
11 N,N-dimethylformamide, 2-mercaptobenzothiazole, and
12 tetrabromobisphenol A by the Labor Code listing mechanism.

13 --o0o--

14 MS. RAMIREZ: This next slide has the chemical
15 under consideration for administrative listing, vinylidene
16 chloride. The far right column indicates that date of the
17 notice of intent to list. That was September 22nd, 2017.

18 --o0o--

19 MS. RAMIREZ: And since your last meeting, eight
20 safe harbor levels have been adopted in regulation
21 effective July 1st, 2017. A no significant risk level has
22 been adopted for styrene. A maximum allowable dose level
23 has been adopted ethylene glycol, ingested, and for oral
24 exposures to each of the six triazine compounds.

25 --o0o--

1 MS. RAMIREZ: On this last slide, as you can see
2 we've also proposed safe harbor levels for three
3 chemicals. No significant risk levels have been proposed
4 for malathion, glyphosate, and vinylidene chloride.

5 And now I'll turn things back over to Carol.

6 CHIEF COUNSEL MONAHAN CUMMINGS: Me again one
7 more time.

8 So this is the litigation update. I'm only going
9 to talk about litigation related to Prop 65. We do have
10 one case in the trial court that is not a Prop 65 case.

11 We have two cases in the California trial courts
12 that are pretty much just waiting for related cases to be
13 resolved because they have to do with Public Records Act
14 requests that are related to other actions. So there's
15 nothing new on those.

16 Just in the last couple of weeks, we were served
17 in a case in the federal trial court. It's the first time
18 that I'm aware that the office has been sued in federal
19 court. The case is National Association of Wheat Growers
20 versus Dr. Zeise and Attorney General Becerra. It's a
21 derivative case of the current State court action
22 challenging the listing of glyphosate under Prop 65. So
23 that case was just filed, and we haven't filed an answer.

24 So all the rest of our cases are on appeal.
25 We're still waiting for a decision in the BPA listing

1 case, which has been -- it's probably our longest running
2 one. It was fully briefed in the appellate court in 2016.
3 It still hasn't been set for hearing.

4 We have the AC -- that's ACC I. That's the
5 American Chemistry Council I. American Chemistry Council
6 II is a challenge to the listing of the phthalate DINP by
7 your sister group the CIC. That one is also ready to be
8 heard in the court, but has been setting since 2016.

9 Syngenta II case has to do with the listing of
10 the triazines. And that is in the court of appeal, and --
11 since 2016.

12 The Mateel versus OEHHA case is a challenge to
13 the current safe harbor level for lead, which is both a
14 carcinogen and reproductive and developmental toxicant.
15 And that is in the court of appeal. It's only been there
16 since mid-2017, so I expect that you'll be hearing about
17 it for several more years to come.

18 And lastly -- or not lastly -- yes, lastly, the
19 other Monsanto case I mentioned is Monsanto versus OEHHA.
20 And that's in the Fifth District. And it was -- we
21 thought it was fully briefed, but then we've gotten a
22 couple of recent requests for groups to file amicus
23 briefs, friend-of-the-court briefs, in that chamber --
24 California Chamber of Commerce, Washington Legal
25 Foundation. So now we're responding to those additional

1 briefs.

2 That case the court has agreed to try and fast
3 track it, so that it would potentially be heard early next
4 year, since the listing will be -- or the warning
5 requirement will come into effect in July of next year.
6 So we'll see if that actually occurs.

7 Anybody have any questions on those?

8 Thank you.

9 CHAIRPERSON GOLD: Dr. Zeise is going to
10 summarize what we've done today.

11 DIRECTOR ZEISE: All right. So the Committee
12 found that chlorpyrifos has clearly been shown through
13 scientifically valid testing, according to generally
14 accepted principles to cause developmental toxicity by a
15 yes vote of 8 to a no vote of 0. So that will be added to
16 the Proposition 65 list for the -- for reproductive
17 toxicity, for the developmental toxicity endpoint.

18 The Committee also voted that n-hexane has been
19 clear shown through scientifically valid testing,
20 according to generally accepted principles to cause male
21 reproductive toxicity by a vote of 6 yes, 2 no, and 1
22 abstaining. And since there are 6 yes votes, the chem --
23 that are required, the chemical will be added to the
24 Proposition 65 list for the male endpoint.

25 The Committee voted unanimously not to list

1 n-hexane for female reproductive toxicity, and voted 3 yes
2 votes to 6 no votes regarding the listing of developmental
3 toxicity for n-hexane. So neither of those two endpoints
4 will be reflected on the Proposition 65 list. So again,
5 n-hexane will be listed as known to cause reproductive
6 toxicity for the male reproductive toxicity endpoint.

7 Then with respect to the Section 2700, the
8 Committee voted on consent unanimously to make the changes
9 indicated in the staff report.

10 And so I believe that is it.

11 And I just want to conclude with some thank you's
12 to the Committee for coming, taking time out of your very
13 busy schedule, and all of the extensive work that was done
14 preparing for this meeting. We really appreciate it. We
15 really appreciate your contributions to the State.

16 I'd also like to thank the members of the public
17 who attended on the web and in the room and participated
18 in the meeting. Very grateful for all the participation.
19 And also, I'd like to thank our staff, the OEHHA staff,
20 both the scientific staff for the -- all of the work done
21 preparing the hazard identification materials, our legal
22 staff preparing us for the meeting, other staff, and our
23 Executive Office and our Proposition 65 implementation
24 staff for all the work they did preparing for this
25 meeting.

1 So thank you all very much.

2 CHAIRPERSON GOLD: Thank you.

3 I want to add my thanks as well for the public
4 participation and their planning and preparation ahead of
5 time, for the staff for all their hard work in getting us
6 ready and providing materials and being very diligent
7 about their work, and finally, for the Panel for their
8 obvious dedication to really doing an extremely detailed
9 and hard work on a voluminous amount of material. So I
10 want to add my thanks.

11 And with that, I think we can be adjourned today.

12 (Thereupon the Developmental and
13 Reproductive Toxicant Identification
14 Committee adjourned at 4:04 p.m.)

15

16

17

18

19

20

21

22

23

24

25

1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the
5 foregoing California Office of Environmental Health Hazard
6 Assessment, Developmental and Reproductive Toxicant
7 Identification Committee was reported in shorthand by me,
8 James F. Peters, a Certified Shorthand Reporter of the
9 State of California, and thereafter transcribed under my
10 direction, by computer-assisted transcription.

11 I further certify that I am not of counsel or
12 attorney for any of the parties to said meeting nor in any
13 way interested in the outcome of said meeting.

14 IN WITNESS WHEREOF, I have hereunto set my hand
15 this 10th day of December, 2017.

16
17
18
19 
20
21

22 JAMES F. PETERS, CSR, RPR
23 Certified Shorthand Reporter
24 License No. 10063
25