

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT

---o0o---

SAFE DRINKING WATER AND TOXIC ENFORCEMENT ACT OF 1986
(PROPOSITION 65)

---o0o---

MEETING OF THE SCIENCE ADVISORY BOARD'S
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT (DART)
IDENTIFICATION COMMITTEE

---o0o---

MONDAY, DECEMBER 17, 2001

---o0o---

HELD AT:

California Environmental Protection Agency
Headquarters Building
1001 I Street
Sacramento, California

Reported By: PHYLLIS MANK, CSR No. 5093

ORIGINAL

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

INDEX

---o0o---

<u>AGENDA ITEM</u>	<u>PAGE</u>
I Committee Election Of Acting Chair	7
II Introduction and Opening Remarks	
By Dr. Joan Denton	7
III Consideration Of Chemicals As Known To The State To Cause Reproductive Toxicity	
A. Metribuzin	
Staff presentation	
By Dr. James Donald	11
By Dr. James Morgan	12
Committee discussion	N/A
Public Comments*	
By Dr. Ghona Sangha	19
Committee discussion and decision	26
IV Consideration Of Chemicals, Listed Via The Authoritative Bodies Mechanism, For Possible Removal From The List	
A. Cyclohexanol	
Staff presentation	
By Dr. James Donald	69
By Dr. Marlissa Campbell	69
Committee discussion	77

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

INDEX (Continued)

---o0o---

AGENDA ITEM

PAGE

Public Comments	N/A
Committee discussion and decision	91
B. 2,4-DP	
Staff presentation	
By Ms. Colleen Heck	34
By Dr. James Donald	36
By Dr. Mari Golub	37
Committee discussion	44
Public Comments	N/A
Committee discussion and decision	46
V Consideration Of Chemicals For Possible Removal From The List Of Chemicals That Have Not Been Adequately Tested	
A. N-methylpyrrolidone (tera), diclofop methyl (tera), dinocap (tera), fluvalinate (tera), nitrapyrin (tera), and triadimefon (repro)	
Staff presentation	
By Ms. Colleen Heck	92
Committee discussion	94
Public Comments	N/A
Committee discussion and decision	95

1 INDEX (Continued)

2 ----oOo----

3				
4	<u>AGENDA ITEM</u>		<u>PAGE</u>	
5				
6	B.	1,6-Hexamethylene diisocyanate (rtox, tera)		
7		Staff presentation		
8		By Dr. James Donald	96	
9		Committee discussion	98	
10		Public Comments		
11		By Dr. Ron Shiotsuka	99	
12		Committee discussion and decision	106	
13				
14	VI	Discussion Item		
15		A.	Request by the Natural Resources Defense Council to Reconsider the National Toxicology Program as an Authoritative Body	107
16				
17	VII	Staff Reports		
18		A.	Chemicals added via the administrative listing mechanisms	
19			By Ms. Cynthia Oshita	122
20		B.	Chemicals proposed via the administrative listing mechanisms	
21			By Ms. Cynthia Oshita	122
22		C.	Prioritization process/ Random selection	
23			By Ms. Colleen Heck	123
24				
25				

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

INDEX (Continued)

---o0o---

<u>AGENDA ITEM</u>	<u>PAGE</u>
D. Proposition 65 litigation and rulings	
By Ms. Colleen Heck	125
E. Public Comment	N/A
VIII Summary Of Committee Actions	
By Dr. Joan Denton	125

1 will be appointing a permanent chair, but for the
2 purposes of this meeting, we need an acting chair and
3 that's the activity that the committee will take up
4 first.

5 So with that, what I'd like to do then is
6 perhaps open it to committee discussion of how you would
7 like to -- would you like to discuss the designation of
8 an acting chair? Would you like to go ahead and
9 nominate someone? That we need to do first.

10 DR. KEEN: I would like to suggest that Dr. Burk
11 be the acting chair of this meeting.

12 DR. JONES: I'd like to second that.

13 DR. DENTON: Well, would you like to discuss
14 that or would you like to go ahead and vote?

15 DR. SAMUELS: Dr. Burk would you accept the
16 nomination?

17 DR. BURK: Well, I've done it before. I think I
18 can do it this one more time.

19 DR. DENTON: Maybe I should say, are there any
20 objections to Dr. Burk being the acting chair?

21 Hearing none, Dr. Burk you are the official
22 acting chair.

23 Now, before I turn over the microphone, the
24 second item is -- under the election of the acting chair
25 is to affirm the agenda, and this is really an activity

1 that the committee chair is responsible for so we won't
2 need a formal vote.

3 But there is one individual, Artie Lawyer, would
4 like to express -- has an opinion about the agenda. So
5 if we could entertain what he has to say and if the
6 committee can consider it, then you can affirm the
7 agenda and then move on.

8 CHAIRWOMAN BURK: Is that acceptable to the
9 committee? All right. Please come forward.

10 DR. LAWYER: I will try to keep it to two
11 minutes. It's a very simple point on the agenda. It's
12 been a point I've wanted to bring up in several of the
13 previous committee meetings.

14 Under the various items where a chemical is
15 considered for listing under the various committees,
16 there are four sub-bullets. There is usually
17 presentation by OEHHA and then a separate discussion by
18 the committee followed by any public comments, including
19 those of people that come prepared from around the
20 country to talk about it, and then another committee
21 discussion.

22 Just over the years I've seen several of the
23 discussions after the OEHHA one being of substance
24 before they get to the additional points of science that
25 sometimes come up with all the chemicals.

1 I'm presuming that, as in the past, the
2 committee is going to ask questions during the
3 presentation of OEHHA staff and, of course, any of the
4 members of the public, but it would just seem a little
5 more appropriate scientifically if we could have the
6 public comments immediately following staff
7 presentation, just make sure that comments are welcome
8 at any time, and then get into the discussion about the
9 consideration by the committee for listing.

10 CHAIRWOMAN BURK: Does anyone on the committee
11 have an opinion on this? I personally would want to
12 preserve the ability to follow-up with questions right
13 after the staff presentation because sometimes we have
14 burning questions.

15 I don't think we would want to get into the meat
16 of the discussion before we heard the public comments,
17 if that's your concern.

18 DR. LAWYER: That's been -- that has sometimes
19 happened in the past, and that's what I was trying to
20 make sure we avoid.

21 CHAIRWOMAN BURK: Any other comments about
22 that? We'll try to work that way this time.

23 Do you have any further introductory comments,
24 Joan?

25 DR. DENTON: No, I think it's just a matter of

1 affirming the agenda and then moving forward.

2 CHAIRWOMAN BURK: Let me ask one more time if
3 there is anybody on the committee that would like to
4 change the agenda order for any reason?

5 Not hearing anything, I think we will follow it
6 as stated.

7 So the first order of business is consideration
8 of a chemical as known to the State to cause
9 reproductive toxicity, and the first one is metribuzin.
10 We will have the staff presentation by Dr. Jim Morgan of
11 OEHHA.

12 DR. DONALD: Actually, if I could very briefly
13 introduce that, I'm Jim Donald, also of OEHHA.

14 Metribuzin is coming before the committee
15 because it was a candidate for listing through an
16 administrative mechanism but ^{*}dropped out of that
17 mechanism after notice of intent to list had been
18 published and, as required by regulation, it has
19 therefore been referred to this committee.

20 So irrespective of the mechanism how it was
21 referred to this committee, it is up for consideration
22 as any other chemical would be that comes before you.

23 Now, Dr. Jim Morgan of the Reproductive
24 Toxicology Unit is very briefly going to present an
25 overview of the information that was presented to the

1 committee.

2 DR. MORGAN: Good morning. My first slide has
3 already been introduced for me by Jim Donald here, so
4 I'll move along to the second slide.

5 Metribuzin is an asymmetrical triazine herbicide
6 which is used on numerous food crops, flowers and in
7 landscaping. It is slightly soluble in water and
8 somewhat soluble in organic solvents.

9 It is fairly rapidly absorbed by the oral route,
10 although the extent of that absorption has not been
11 quantified. It produces numerous metabolites and
12 distributes to all organs which have been examined.

13 It has especially high concentrations in the
14 thyroid, liver and kidney and relatively low
15 concentrations in the testes and ovaries. No data was
16 found regarding distribution to the placenta or fetus.
17 Metribuzin and its metabolites are excreted also fairly
18 rapidly in urine and feces.

19 As far as non-DART toxicities are concerned, the
20 acute oral LD 50 has varied by a factor of almost 10
21 between different species which have been tested.

22 Typical subchronic and chronic toxicities
23 include reduced body weight and body weight gain and
24 increased liver weight.

25 There are also complex effects on thyroid

1 function and circulating thyroid hormone levels and
2 transient neurobehavioral effects.

3 Turning now to studies with data relevant to
4 developmental toxicity, we were unable to find any human
5 data. However, there are several industry-sponsored
6 studies, mostly for pesticide registration purposes,
7 some dating from the early 1970s.

8 There are two developmental studies in rats and
9 two developmental studies in rabbits which are
10 supplemented by two rat reproductive studies.

11 In the earlier rat developmental study which was
12 conducted in FB 30 rats, there were no indications of
13 developmental toxicity, and there was a slight reduction
14 in maternal weight gain which was not statistically
15 significant and occurred at the high dose.

16 In the later rat developmental study which was
17 performed in Sprague-Dawley rats, reduced fetal weight
18 was observed in the low, middle and high doses and these
19 effects were statistically significant and dose
20 related.

21 There was also delayed fetal ossification and
22 increased wavy, curved or bulbous ribs which occurred at
23 the high dose only. There was reduced maternal food
24 consumption, lower body weight than controls and reduced
25 weight gain at the low, middle and high doses.

1 In the earlier rabbit developmental study which
2 was performed on New Zealand white rabbits, there were
3 increased abortions and early resorptions, reduced fetal
4 weight and increased incompletely ossified sternebrae,
5 none of which were statistically significant at the high
6 dose.

7 There was also slightly reduced fetal weight at
8 the middle dose which was not statistically significant.
9 There was actual maternal weight loss during treatment
10 at the high dose which was statistically significant in
11 comparison to controls.

12 In the later rabbit developmental study, which
13 was performed in American Dutch rabbits, reduced fetal
14 weight and delayed ossification was observed at the
15 middle dose but not at the high dose, and there was
16 reduced maternal weight gain* at the high dose.

17 In the earlier rat reproductive study which was
18 performed in FB 30 rats, the birth weights were
19 generally lower than controls at all three
20 concentrations in the F2 and F3 generations, but none of
21 these effects were statistically significant, and there
22 were no indications of parental toxicity.

23 In the later rat reproductive study performed in
24 Sprague-Dawley rats, there were reduced implantations
25 and litter size in the F1 and F2 litter at the middle

1 and high concentrations, and there was reduced maternal
2 weight at the high concentration in the F0 group and
3 middle and high concentrations in the F1 group.

4 Turning now to studies with data relevance to
5 female reproductive toxicity, there were no human data
6 found. We have the two rat reproductive studies, a
7 mouse female dominant lethal study, and several
8 subchronic and chronic studies in mouse, rat, rabbit and
9 dog.

10 It should be noted these studies were not
11 focused on female reproductive effects but were rather
12 standard design studies which examined ovary weight and
13 pathology among other endpoints.

14 I've already described the effects in the rat
15 reproductive studies, and I won't repeat that data
16 here. There is no additional effects relevant to female
17 reproductive toxicity.

18 In the female mouse dominant lethal study, there
19 were no dominant lethal or other adverse reproductive
20 effects observed and mild maternal drowsiness was
21 observed.

22 In the subchronic and chronic studies, most
23 studies found no effects on ovarian weight or gross or
24 histopathology.

25 There were two studies in rats which found

1 increased relative but not absolute ovary weight in the
2 presence of reduced body weight.

3 There was a chronic study in dogs which found
4 reduced absolute and relative ovary weight at severely
5 systemically toxic concentrations.

6 Turning to studies with data relevance to male
7 reproductive toxicity, again, no human data were found.

8 There were the two rat reproductive studies,
9 there were two male mouse dominant lethal studies and
10 several subchronic and chronic studies in mice, rats,
11 rabbits or dogs.

12 Again, these studies were not focused on male
13 reproductive effects but were standard design studies
14 which examined testes weight and pathology among other
15 endpoints.

16 I've already described* the effects in the two
17 rat reproductive studies. No other additional male
18 reproductive type effects were observed.

19 In the male mouse dominant lethal studies, there
20 were no consistent dominant lethal type effects or other
21 adverse reproductive effects observed. Mild maternal
22 drowsiness was observed.

23 In the subchronic and chronic studies, most
24 studies found no effects on testicular weight or gross
25 or histopathology.

1 Two studies in rats found increased relative but
2 not absolute testes weight in the presence of reduced
3 body weight, and the chronic study in dogs found reduced
4 absolute but not relative testes weight in, quote,
5 immature, end quote, testes at severely systemically
6 toxic concentrations.

7 To briefly summarize the possible indications of
8 developmental toxicity, in the Sprague-Dawley rat
9 developmental study, there was reduced fetal weight,
10 delayed ossifications and rib anomalies at the high dose
11 and reduced maternal food consumption, lower body weight
12 and reduced weight gain at all doses.

13 In the Sprague-Dawley rat reproductive study,
14 there were reduced implantations and litter size in the
15 F1/F2 generations at the middle and high concentrations,
16 and there was reduced maternal weight in the F0
17 generation at the high concentration and the F1
18 generation at the middle and high concentrations.

19 In the New Zealand White rabbit developmental
20 study, there were increased abortions, absorptions,
21 incompletely ossified sternbrae and reduced fetal
22 weight at the high dose and there was maternal weight
23 loss at the high dose.

24 To briefly summarize the possible indications of
25 female reproductive toxicity, in Sprague-Dawley rat

1 reproductive study, there were reduced implantations in
2 litter size in the F1/F2 generations at the middle and
3 high concentrations, but no other indications of female
4 reproductive toxicity.

5 There was reduced maternal weight in the F0
6 generation at the high concentration and F1 at the
7 middle and high concentrations.

8 There were also two rat subchronic studies which
9 found increased relative but not absolute ovary weight
10 in the presence of reduced body weight, and the dog
11 chronic study with reduced absolute and relative ovary
12 weight in the presence of severe systemic toxicity.

13 To briefly summarize the possible indications of
14 male reproductive toxicity, in the Sprague-Dawley rat
15 reproductive study, there were reduced implantations and
16 litter size in the F1/F2 generations at the middle and
17 high concentrations, but no other indications of male
18 reproductive toxicity.

19 It should be pointed out that both males and
20 female were exposed and reduced maternal weight in the
21 F0 at high concentrations and F1 at middle and high
22 concentrations were observed.

23 In the two rat subchronic studies, there was
24 increased relative but not absolute testes weight in the
25 presence of reduced body weight, and in the dog chronic

1 study there was reduced absolute testes weight and,
2 quote, immature, unquote, testes in the presence of
3 severe systemic toxicity.

4 That concludes this presentation. I will be
5 glad to respond to questions at this time.

6 CHAIRWOMAN BURK: Thank you very much, Jim, for
7 your excellent report. We really appreciate getting
8 these very detailed reports to study.

9 Does anyone on the committee have a question for
10 Jim at this time?

11 I guess we will go to the public comments. Do
12 we have any? Thank you.

13 We have Ghona Sangha from the Bayer Corporation
14 who would like to speak.

15 DR. SANGHA: Thank you very much for giving me
16 this opportunity to make some comments. I'm Ghona
17 Sangha from Bayer Corporation. The next slide, please.

18 I think Dr. Donald has already mentioned --
19 already has gone through it. I just want to point out
20 one thing, the last one, that in 2000 OEHHA mentioned
21 this is no longer under consideration for listing as a
22 mechanism or technicality, therefore, to the DART
23 committee.

24 What I would like to do is go over the points I
25 think we conclude out of this last presentation that

1 there are four major concerns, and I would just focus on
2 that. If I can have the next slide, please.

3 The first issue that was brought up or that is
4 of concern is reduced implantation and litter sizes in
5 the two generation reproduction study, and this was
6 reduced implantations and litter size at the middle and
7 high concentrations in the second generation. Next
8 slide, please.

9 If you look at this table -- I think people have
10 some handouts given to you, also -- issue one, the table
11 shows that reduced implantation size seen in F0 and F1
12 generation, the only statistical significance was seen
13 at the middle dose and not at the lower or the high
14 dose.

15 Now, when one looks at this table, it shows that
16 this is not really a dose response. It's not there. If
17 you look at the numbers, 13 and 13.54 in the mid and
18 high dose, they're very similar to the controls seen in
19 the F0 generation, which sort of brings the point that
20 F1 controlled numbers of implantation size are much
21 higher than you normally see, but they are still within
22 the historical control. So this effect is -- basically
23 shows up as an anomaly because of very high numbers in
24 the control group.

25 Also, one sees that these numbers are really

1 within the historical control, so it's not considered
2 that it's really a compound-related effect, but due to
3 high controls -- the number in the high controls. If I
4 can have the next slide, please.

5 This one, basically what I mentioned, is
6 mentioning that in the text and from the records.

7 Now, the next slide shows the effect on the
8 litter size. The effect on the litter size is really
9 related to the implantation size. We have reduced
10 implantation size, so it's going to reflect the same way
11 in the litter size.

12 So looking at the table here, one sees that in
13 the middle dose, again, the same F1 generation, the
14 middle dose, shows up as statistical significance, which
15 is again related to its high dose response.

16 It's again showing up^{*} as the control being
17 higher than the control in the F0 generation, and the
18 numbers in the mid and high dose and the reduced litter
19 sizes are really due to that the control is high, but
20 they're very similar to the control in the first
21 generation.

22 If you look at all the numbers from every other
23 group, they're pretty much in the same range. So it's
24 basically the control being very high in the F1
25 generation, both the implantation size and litter size

1 is reflected.

2 This, also, if it was really a compound effect,
3 it would show in the reduced implantations in the litter
4 size with the post-implantation losses. These were only
5 the pre-implantation numbers that are being shown here.

6 If you go to the next table, which shows the
7 post-implantation loss, it shows that they were not
8 different at all in both F0/F1 generation than any of
9 the concentrations, including control, which again
10 reflects that these effects are due to just by chance
11 lower implantation size more than compound effect, which
12 would have shown in the post-implantation losses, also,
13 if it was a developmental toxicant.

14 So we conclude on this basis that these effects
15 are not really compound related and it is not a
16 developmental toxicant, and EPA and the California
17 toxicology group has mentioned that it's not a
18 developmental toxicant, and we believe that it should
19 not be consider as one.

20 Now, going to the next issue which was brought
21 out, the reduced ovary weight in the dog, it was
22 mentioned in the chronic feeding dog study there was
23 reduced body weight at severely maternally toxic
24 concentrations and in other studies no effects were
25 seen.

1 We believe this is not a toxicologically adverse
2 effect and not significant based on the weight of only
3 one dog as the other three dogs died at that
4 concentration, the concentration was so high, and this
5 just happened to be at the high dose, one dog showing
6 that, and it would just be a normal variation, and one
7 cannot conclude that this would be a compound effect,
8 and we believe that this effect is not really compound
9 related.

10 Now, going to the next slide, the issue three
11 which concerned reduced fetal weights and delayed
12 ossification and rib anomalies in the rat teratology
13 studies, you can say that the reduced fetal weight was
14 seen at all dosages, which was 6, 6 and 16 percent.
15 The lower two dosages were not statistically
16 significant.

17 There was no reduction in the birth weight in
18 any of the reproduction toxicity studies at any of these
19 dose levels, which would have indicated that it's some
20 kind of a compound effect.

21 If you go to the next slide, the other point was
22 that there was a reduced fetal weight, delayed
23 ossifications, and we see that these bulbous ribs at
24 high dose, we don't consider that toxicologically
25 adverse because they are associated with extreme

1 maternal toxicity, and these are also -- one can say
2 that one can do variation on the large malformations,
3 which a lot of times these variations exist at extremely
4 maternally toxic dosages. And the statistic increase is
5 only at high dose and seen when it's based on the number
6 of pups.

7 However, all these studies are looked at as a
8 litter as the unit for statistical analysis, and when
9 one looks at the litter to be an experimental unit,
10 there's no effect seen in dog. So it's, again, showing
11 a variability within a large number of animals that are
12 involved in these studies. Next slide.

13 Another point in this study was the delayed
14 skeletal ossifications, and these are also not
15 considered toxicologically adverse or significant
16 because they are, again, associated with extreme
17 maternal toxicity.

18 And the results also show that there were
19 reduced pup weights, and it has been known in the
20 literature that reduction in fetal weights leads to the
21 delayed skeletal ossification in these developmental
22 studies which, if one carries these investigations to a
23 later time point, they show not to be effective and
24 development is normal.

25 Going to the next slide, the issue of the rabbit

1 teratology, as was mentioned, that showed increased
2 resorptions, reduced fetal weight and increased
3 incomplete ossified sternebrae were observed at the high
4 dose, and even though they were not statistically
5 significant, there was a maternal weight loss during
6 this treatment and abortions were also seen at this high
7 dose. Next slide.

8 In response, I would like to say these are not
9 toxicologically significant because these effects were
10 seen at the extremely high dose of 135 milligram per
11 kilogram.

12 Then we repeated that study because it was
13 extremely high dose to bracket the dosages to go lower
14 to establish clear no effect levels.

15 So in that study when the high dose was reduced
16 from 135 to 85 milligrams per kilogram, even though it
17 was still a maternally toxic dose, we saw a 58 percent
18 decrease in the body weight during gestation period, no
19 compound related embryotoxicity or teratogenicity
20 effects were seen.

21 So on that basis, we can concluded that these
22 effects were seen at extremely high dosages, and they
23 were not the effect of the compound. They were due to
24 maternal toxicity.

25 And as I mentioned, these effects are not

1 declared to be effects by the EPA or by the Cal EPA and
2 not listed as a developmental or reproductive toxicant,
3 and we propose that it should not be listed.

4 Thank you very much.

5 CHAIRWOMAN BURK: Thank you.

6 Are there any other public comments?

7 Then we will begin our discussion. As I
8 understand this, we're looking at this as any other
9 candidate that we're determining whether it should be
10 listed or not, which means we should look at
11 developmental male and female reproductive toxicity.

12 So, if anyone wants to start with any of those,
13 jump in. Otherwise, I'll pick one.

14 Let me ask it a different way: Are there any of
15 those endpoints that we can eliminate from discussion?

16 Marion.

17 DR. MILLER: Can we go backwards and start with
18 male?

19 CHAIRWOMAN BURK: I would be thrilled. Let's
20 start with the male.

21 DR. MILLER: I think there's really very little
22 evidence to support the idea that this compound would
23 act as a male reproductive toxicant. The lack of any
24 pathological findings, other than the one dog, would
25 suggest that there really is no strong evidence that

1 this is a male reproductive toxicant.

2 CHAIRWOMAN BURK: Thank you.

3 Does anyone else have any comments on male
4 reproductive toxicity?

5 How about female reproductive toxicity?

6 DR. MILLER: To continue --

7 CHAIRWOMAN BURK: Please do.

8 DR. MILLER: I think the studies are similar
9 between the male and female. Again, there looks like
10 little evidence to support this being a female
11 reproductive toxicant, again, based on the lack of
12 pathology and any significant change in the ovary and
13 pathology. And, again, that one dog study seems to be a
14 little unusual because of the high dose levels and the
15 mortality associated with it.

16 CHAIRWOMAN BURK: Any^{*} other comment on female
17 reproductive toxicity?

18 All right. So that takes us to the
19 developmental toxicity, which I think has more to
20 discuss. We have at least three studies that we should
21 consider closely.

22 Does anyone want to comment? I'm kind of
23 looking at Steve to start with. I don't like to pick on
24 people. I just want to make sure we cover all the
25 bases. Any statistical issues that you see as

1 significant? Particularly, I'm addressing perhaps the
2 Miles '86 Sprague-Dawley rat study that's Tables 3 and
3 4.

4 DR. SAMUELS: First of all, it's obvious in
5 Table 3 that it appears that there was an effect on
6 maternal weight gain which was dose related throughout
7 the table even if the individual finding of statistical
8 significance was only at the highest dose. So I think
9 that was an active consideration to behold through most
10 of the table.

11 It appears -- unless you can tell me why the
12 thyroid is a reproductive organ -- it appears to be
13 systemic rather than a particularly -- in that table a
14 reproductive organ finding.

15 In Table 4, there was also -- again, without
16 concern about statistical significance, I certainly did
17 see dose response findings in fetal weight, high number
18 of fetuses per litter, placental weight.

19 And I think I agree with the speaker, though I
20 wasn't sure -- I lost the reference at some point during
21 her presentation -- that the analysis with the number of
22 fetuses doesn't mean that ribs wavy or curved was the
23 wrong unit for analysis.

24 So it appears to me, and I'll leave it to my
25 laboratory colleagues, that these findings look like

1 what are certainly strong dose responses, they certainly
2 are present -- in the presence of the same dose response
3 on the maternal body size.

4 So I don't see anything -- they are strong dose
5 responses, but they may not be relevant to actual
6 developmental toxicity, as far as I'm concerned.

7 DR. JONES: So, Steve, what you're suggesting is
8 it's all maternal toxicity?

9 DR. SAMUELS: We'll see in the second
10 presentation where there was a more detail of how much
11 one could attribute to maternal toxicity, and I haven't
12 done the calculations, but here it seems that there was
13 certain maternal toxicity throughout the study at the
14 lower doses.

15 So, yeah, it's very questionable to me whether
16 we could -- I can't make a finding that this is
17 independent maternal toxicity.

18 CHAIRWOMAN BURK: I agree with you that, in
19 fact, we have developmental toxicity, but only in the
20 presence of maternal toxicity.

21 Does anyone have any thoughts about the thyroid
22 issue? Any further insight of what the mechanism might
23 be?

24 But I agree that that seems like a plausible
25 mechanism, but it's not necessarily an inherently

1 reproductive problem.

2 Any other comments?

3 DR. SAMUELS: I would just like to ask, if there
4 are speakers after the presentations, would you please
5 when you show us tables, refer to the tables that we
6 have because those are the ones in which we've made our
7 notes and it difficult to switch from study to study
8 when there are many studies.

9 CHAIRWOMAN BURK: I appreciate that. I tried to
10 prepare in that way, so I wrote down each study and what
11 table it was on so I could cross-reference. That's how
12 I knew we were talking about Tables 3 and 4, 6 and 11
13 and 12.

14 So if we want to make one quick perusal, Table 6
15 was the New Zealand white rabbit study with what was
16 considered to be -- actually*, that goes with Table 5 as
17 well -- fairly -- let me make sure I have this correct.

18 DR. SAMUELS: Fairly consistent maternal weight
19 gain effects.

20 DR. JONES: And nothing statistically
21 significant.

22 DR. SAMUELS: There's nothing statistically
23 significant reported in Table 6.

24 CHAIRWOMAN BURK: Right, and even though we did
25 have some maternal toxicity at the high dose.

1 Linda, maybe you could just comment, nothing to
2 do with this in specific, but I'm curious about the
3 statement that it's a known fact that the wavy ribs and
4 so forth are considered just variations.

5 DR. ROBERTS: I don't automatically discount
6 substantial maternal toxicity in the rabbit study in
7 Tables 5 and 6. What I wanted to point out is --

8 DR. DENTON: I'm sorry, Linda, they can't hear
9 you.

10 DR. ROBERTS: In Table 5, if you look under
11 weight gain gestation day 6 to 18, which covers the
12 dosing period, the animals at the top dose actually lost
13 300 grams. That's a substantial amount of weight
14 loss, and that would normally be quite a bit higher than
15 you would want to have in a study because it can impact
16 the interpretation.

17 In the rat study, in the absence of other
18 findings -- other skeletal findings, I am less inclined
19 to see wavy ribs as a clear indication of developmental
20 toxicity on its own.

21 Does that make sense to everyone?

22 CHAIRWOMAN BURK: Yes, it makes sense to me.

23 DR. MILLER: I seem to remember in a previous
24 DART committee meeting, when Andy Hendrickx was asked
25 exactly this question, he also indicated that these were

1 variations and could be associated with the malaise in
2 the mother.

3 DR. ROBERTS: One other thing about the rat
4 study, again, if you look at the period of dosing and
5 the weight gain that the animals had, the control group
6 gained about 49 grams, which is fairly typical. And the
7 25 and 7 milligram per kilogram group gained about 30
8 each. So the high dose gained approximately 40 percent
9 of the weight gain that the control group was having.

10 CHAIRWOMAN BURK: Are there any other comments
11 on developmental toxicity?

12 Are we ready to vote? Okay. I have an
13 official, I guess, voting statement here that I will
14 read through for each of the possible endpoints.

15 So please indicate by a show of hands if, in
16 your opinion, metribuzin has been clearly shown through
17 scientifically valid testing according to generally
18 accepted principles to cause developmental toxicity.

19 I see no hands. Okay. Then the record should
20 reflect zero votes to add metribuzin to the Proposition
21 65 list as causing developmental toxicity.

22 Okay. Second, please indicate by a show of
23 hands if, in your opinion, metribuzin has been clearly
24 shown through scientifically valid testing according to
25 generally accepted principles to cause female

1 reproductive toxicity.

2 Again, I see no hands. So the record should
3 reflect zero votes were cast to add metribuzin to the
4 Proposition 65 list as causing female reproductive
5 toxicity.

6 And, finally, please indicate by a show of hands
7 if, in your opinion, metribuzin has been clearly shown
8 through scientifically valid testing according to
9 generally accepted principles to cause male reproductive
10 toxicity.

11 Again, the record should reflect zero votes were
12 cast to add metribuzin to the Proposition 65 list as
13 causing male reproductive toxicity.

14 I didn't mention this, but a majority of five of
15 the eight appointed members is required to add a
16 chemical to the list. So, therefore, accordingly,
17 metribuzin is not added to the Proposition 65 list.

18 All right. Are we ready to move on to the next
19 agenda item? Agenda item IV, consideration of chemicals
20 listed via the authoritative bodies mechanism for
21 possible removal from the list.

22 The first one is cyclohexanol.

23 DR. DONALD: After you actually affirmed the
24 order of the agenda, we'd like to ask if we can change
25 it.

1 Dr. Campbell, who is going to make the
2 presentation, apparently has been delayed. So with your
3 permission, we'd like to change the order and have the
4 presentation of 2,4-DP first.

5 Prior to that, our Chief Counsel, Colleen Heck,
6 is going to make a few comments.

7 CHAIRWOMAN BURK: Okay. Colleen.

8 MS. HECK: This is the first time this committee
9 will be reconsidering -- or considering chemicals for
10 possible removal from the list of Proposition 65
11 chemicals known to the State to cause reproductive
12 toxicity.

13 I should briefly note that your counterpart
14 committee, the CIC, has done so on one occasion,
15 considering five chemicals for possible removal from the
16 list, and, in fact, voting in a manner that did remove
17 four of those five.

18 But I wanted to briefly put your decision in
19 procedural context in case there's any confusion about
20 whether you're voting to put it on, keep it on, take it
21 off, et cetera. So let's see if we can try to prevent
22 any confusion before we actually get to any discussion
23 and voting.

24 I think the best way to look at this decision
25 you'll be making and the way your votes are cast and

1 counted is as follows.

2 The sole reasons cyclohexanol and 2,4-DP are on
3 the Proposition 65 list is because they were formally
4 identified by an authoritative body as causing
5 reproductive toxicity.

6 Those same authoritative bodies no longer
7 formally identify the chemicals as causing reproductive
8 toxicity. Therefore, unless this committee
9 independently concludes that the chemical should remain
10 on the list, it will, in fact, be removed from the
11 list.

12 So under the regulation, the chemical is
13 required to be referred to this committee. I think it's
14 a policy statement that the regulation drafters made
15 that before we take something on and then perhaps have
16 this committee separately decided, well, we would have
17 kept it on, to keep the list from being on again, off
18 again, you have the pass over on a chemical before any
19 action is being taken.

20 Jim has reminded me that, in fact, there are two
21 provisions under the regulation that call for a
22 chemicals removal. There's the authoritative body no
23 longer considers, and there is a related provision in
24 the same subdivision that says there is no substantial
25 evidence that the chemical actually causes.

1 We're into another procedural subnuance where a
2 court of appeals decision limited the evidence that we
3 could take into account in determining whether or not
4 there was, in fact, substantial evidence. Limiting
5 ourselves to what the court told us we can look at, we
6 have now concluded that there is no substantial
7 evidence. So I'm sorry for the confusion.

8 The bottom line kind of rule that applies is the
9 same unless this committee would vote to keep the
10 chemical on based on the evidence that you'll hear, a
11 kind of de novo presentation, it will, in fact, come
12 off.

13 So you will be voting just as you do on
14 independent initial listings whether or not the chemical
15 has been clearly shown through scientifically valid
16 testing according to generally accepted principles to
17 cause reproductive toxicity.

18 Just as with your last vote, and Dr. Burk's
19 observation, unless there are five votes for that
20 proposition, the chemicals will come off the list.

21 Thank you. Thank you for that clarification,
22 Jim.

23 DR. DONALD: Dr. Mari Golub is going to make the
24 presentation on 2,4-DP.

25 Just another minor introductory note. As

1 Colleen has already pointed out, there is a current
2 listing for 2,4-DP, that's for dichloroprop, the racemic
3 mixture of 2,4-DP, which has a CAS number of 50-29-3.

4 The committee today will vote on whether or not
5 that listing will continue. The current listing is
6 based entirely on developmental toxicity, but the
7 committee has the option to continue the listing on the
8 basis of any form of reproductive toxicity or any
9 combination of forms.

10 As another point of clarification, in the hazard
11 identification document that was provided to the
12 committee, there are data on the (+) enantiomer of
13 2,4-DP, and those data are in there because we consider
14 them relevant as a potential of the racemic mixture to
15 cause developmental and reproductive toxicity.

16 But just to clarify for everyone's benefit, the
17 committee will not vote today on whether or not to list
18 the (+) enantiomer, but it is an option for the
19 committee to request that information on the (+)
20 enantiomer be brought back to them for consideration at
21 a future meeting after appropriate notice and comment
22 periods on the (+) enantiomer have taken place.

23 Mari.

24 DR. GOLUB: Thank you.

25 My name is Mari Golub, and I'm with OEHHA. I'm

1 going to be presenting an overview of the HID on
2 2,4-DP.

3 2,4-DP, or 2,4-dichlorophenoxypropionic acid,
4 is a member of the widely-used chlorophenoxy acid
5 herbicide family which includes the acetic acid, the
6 butyric acid, the propionic acids, their salts and
7 esters and structural derivatives.

8 The chlorophenoxy acid herbicides are
9 structural analogs of the plant hormone auxin. This is
10 thought to be the basis of their herbicidal action.
11 They are broad-leafed herbicides. They're not effective
12 against grasses. So they're most widely used for lawns
13 and landscaping. There is little agricultural use.
14 Several 2,4-DP salts and esters are registered for use
15 in California.

16 2,4-DP is a stable molecule that shows minimal
17 soil absorption and bacterial breakdown in soil. It has
18 a long half-life in ground water.

19 Some information on pharmacokinetics. The
20 chlorophenoxy acid herbicides show a high
21 gastrointestinal absorption, high protein binding and a
22 high volume of distribution. They're excreted largely
23 unchanged by the kidneys. 2,4-DP has been determined to
24 have a serum half-life of ten hours in rats.

25 This pharmacokinetic information and all of the

1 rest of the toxicity information I'll be presenting is
2 from animal studies. We weren't able to identify any
3 relevant human studies.

4 2,4-DP has a characteristic pattern of chronic
5 toxicity which includes hepatotoxicity, kidney toxicity
6 and anemia. Interestingly, although it is not
7 metabolized by the liver, it induces P450 enzymes and it
8 has been identified as a peroxisome proliferator.

9 Due to its effects on lipid metabolism, there
10 are typically changes in circulating cholesterol and
11 tryglyceride in chronic and subchronic studies.

12 There are a number of animal developmental
13 toxicity studies for 2,4-DP, that is, studies in which
14 2,4-DP was administered during organogenesis, and the
15 fetuses were examined at term. All the studies used an
16 oral route of administration.

17 As Jim mentioned, 2,4-DP is a racemic mixture,
18 it's an optically active molecule, and here that's
19 represented as 2,4-DP.

20 There are also toxicity studies on 2,4-DP(+),
21 there was a dextrorotatory enantiomer, because both of
22 these agents are used commercially and must have been
23 tested for their toxicity.

24 A mouse study appeared in the peer reviewed
25 literature in 1983 using a broad range of doses of

1 2,4-DP and 2,4-DP(+).

2 In addition, there are a number of studies that
3 were performed for pesticide registration purposes in
4 rats and rabbits: a pair of rat and rabbit studies of
5 2,4-DP in 1979 and '80, and a pair for 2,4-DP(+) in
6 1993. I'm going to go through the effects that were
7 seen in these studies.

8 At the highest dose in the mouse study for
9 2,4-DP, there was a spectrum of developmental toxicity
10 including intrauterine growth retardation, intrauterine
11 lethality and fused ribs and cleft palate. This study
12 reported a decrease in pregnancy weight gain of 18
13 percent in terms of maternal toxicity.

14 At the next highest dose, decreased fetal weight
15 and fused ribs were seen. At 300 milligrams per
16 kilogram, only the decreased fetal weight. And 200
17 milligrams per kilogram was the NOEL for this study, no
18 effects on maternal or fetal toxicity.

19 In the 2,4-DP(+) study, the NOEL was also 200
20 milligrams per kilogram. The developmental toxicity
21 showed a slightly more severe profile at higher doses.

22 Moving on to the rat studies, there are three
23 rat studies. The first two, using doses of 100 and 125
24 milligrams per kilogram, found no effects on maternal or
25 fetal toxicity as reported in the study. You'll note

1 that these doses are lower than the NOEL identified in
2 the mouse study.

3 The third study using 2,4-DP(+) at 160
4 milligrams per kilogram identified decreased fetal
5 weight and skeletal ossification, increase in extra ribs
6 and hydroureter, and maternal toxicity in this study was
7 reported as a 13 percent lower pregnancy weight gain.
8 The NOEL for this rat study then was 80 milligrams per
9 kilogram.

10 Now finally we have the two rabbit studies. The
11 first rabbit study performed in Dutch-belted rabbits,
12 the high dose of 75 milligrams per kilogram, there was a
13 report of decreased fetal weight and of three
14 multiply-malformed fetuses in the high dose group.

15 This study is difficult to interpret because of
16 a high maternal mortality rate throughout the study. In
17 all of the dose groups there was a high maternal
18 mortality rate.

19 In addition, the litter size in the control
20 group was unusually small and analysis indicated that
21 the reduced fetal weight may have been associated with
22 this problem with the concurrent controls.

23 The second rabbit study with 2,4-DP(+) found,
24 using Himalayan rabbits, an increase in extra ribs,
25 decreased skeletal ossification, and maternal toxicity

1 here was a decrease in maternal weight gain very early
2 in dosing only.

3 So, in summary, the dose-dependent effects on
4 developmental toxicity was seen in the mouse study, and
5 there's support for this in the rat and rabbit studies
6 in a similar dose range.

7 Now I'm going to talk about female reproductive
8 toxicity a little bit. The most relevant studies are
9 two rat multigeneration studies. I'm going to be
10 presenting information only from the second study. The
11 first study was in agreement with the second study.

12 There were no effects on fertility -- on the
13 female fertility indices in the study.

14 The perinatal effects were seen at the high
15 dose, and they were the most striking effects in the
16 study. There was prolonged gestation and dystocia in
17 the dams. In the fetuses, increased still birth, lower
18 litter size and a lower birth weight.

19 In addition, in the observational data, a
20 greater incidence of insufficient maternal care was
21 reported and a failure to cut the umbilical cord and
22 consume the placenta.

23 The parental toxicity, the genotoxicity
24 in the study followed the characteristic 2,4-DP pattern
25 with liver and kidney effects and serum cholesterol

1 changes.

2 In terms of weight gain during the premating
3 period, there was about a 20 percent lower weight gain
4 in the breeders that were treated at the high dose with
5 2,4-DP.

6 As is the case for most of our chemicals, there
7 are a number of chronic and subchronic studies that we
8 looked at to try to get what information we could on
9 reproductive organs, and we did not find consistent
10 effects on ovarian weight or pathology in the studies
11 that were available.

12 The same two rat multigeneration studies are
13 relevant for male reproductive toxicity. No effects
14 were found on the male fertility indices in these
15 studies.

16 There was a report of decreased absolute testes
17 weight in the breeder males in the F0 and F1 generation.
18 One dominant lethal study in rats was available and no
19 effects were found.

20 And then in the chronic and subchronic studies,
21 in a 13-week rat study, a decrease in absolute and
22 relative testes weights was reported. A longer study
23 using the same doses did not find these effects. At the
24 end of the study, however, there was a report of an
25 increased incidence of prostatitis.

1 So to summarize, developmental effects have been
2 reported in mice, rabbits and rats. Female reproductive
3 toxicity was seen as paripartum effects in rats in
4 multigeneration studies. And for male reproductive
5 toxicity, effects on testicular weight in rats.

6 At this point, I would be glad to answer any
7 questions about this data set.

8 CHAIRWOMAN BURK: Are there any questions for
9 Mari?

10 I want to thank you very much for a beautiful
11 report.

12 Linda.

13 DR. ROBERTS: Mari, in rabbits you referenced a
14 paper that looked at food restriction in rabbits as
15 well?

16 DR. GOLUB: Yes, in the HID I tried to find
17 papers that were relevant in an empirical way to the
18 relationship between maternal and developmental
19 toxicity, so that was the paper that I presented in
20 terms of changes in maternal food restriction, effects
21 on weight gain and consequent fetal toxicity.

22 DR. ROBERTS: In those papers, did they look at
23 effects upon weight gain or weight loss early in
24 organogenesis similar to --

25 DR. GOLUB: You're talking about the rabbit food

1 restriction study?

2 DR. ROBERTS: Yes, did that paper provide that
3 data?

4 DR. GOLUB: I don't think I'm going to remember
5 that. If I didn't report it in the study, I doubt that
6 that was the case. I think they just gave it over the
7 period of treatment as the most -- as the finest level
8 of analysis.

9 DR. ROBERTS: In the mouse study, two questions
10 on it. One, for fetal weight, that is on the basis of
11 mean fetal weight per litter and then the analysis?

12 DR. GOLUB: The analysis doesn't state that.
13 The section on statistical analysis only says that they
14 used P test and chi-squared. It doesn't say what the
15 basis was. I believe the study was from the early
16 1980s. So we don't know for sure because it doesn't say
17 it was a report in the open literature.

18 I would imagine that the litter -- based on
19 other studies done during that time, that the litter was
20 used for the fetal weight, but that the pool fetuses
21 were used for the variations and malformations.

22 DR. ROBERTS: Was there any other data reported
23 in that for maternal effects other than the pregnancy
24 weight gain?

25 DR. GOLUB: No, the only information was a table

1 on pregnancy weight gain.

2 CHAIRWOMAN BURK: Any other questions for Mari?
3 Did we have any public comments?

4 DR. ROBERTS: Mari, can I ask you one more
5 question?

6 The subchronic study that was referenced earlier
7 for rats, it had adverse effects reported at 94
8 milligrams per kilogram per day. Was that a 13-week
9 study?

10 DR. GOLUB: The study that showed the testicular
11 effects was a 13-week study. It was done in preparation
12 for the chronic study.

13 CHAIRWOMAN BURK: We have John Pearson of JP
14 Registration and Regulatory Services. No? I guess we
15 don't.

16 Any other public comments? No.

17 Okay, let's continue the discussion. As I
18 understand this, we're open to look at all endpoints,
19 although the initial listing was for developmental
20 toxicity.

21 Does anyone want to say anything about the male
22 or female reproductive toxicity?

23 Well, Marion you have to say something.

24 DR. MILLER: Again, similarly to the last
25 chemical, there isn't anything -- I don't think there's

1 data to clearly indicate that there is an adverse effect
2 on male reproductive capabilities.

3 There is inconsistency in some of the studies in
4 terms of the testes weight, but on the basis of lack of
5 changes in fertility or any defined changes in testes
6 pathology, I don't really think this has been clearly
7 shown to have any effect on the male reproductive
8 capabilities.

9 CHAIRWOMAN BURK: Okay. Any comment on the
10 female?

11 DR. MILLER: To continue, my perception of the
12 female, I think there is a little bit more room for
13 discussion, in that, again, there really could be
14 something going on in terms of gestation time, and I
15 would appreciate some feedback from the committee.

16 Again, these effects* tends to be happening at
17 high doses, so the dose level where maternal effects
18 could be coming in may be important in terms of defining
19 whether or not there's female reproductive toxicity, or
20 whether we really just have a toxicity to the female in
21 terms of systemically.

22 CHAIRWOMAN BURK: That's correct. I think Table
23 10 maybe is what we're looking at here now.

24 DR. GOLUB: I think I have that slide here,
25 too.

1 DR. MILLER: My note to myself on that table is
2 the effects we were seeing were at 226 milligrams per
3 kilogram, which was a very high dose level.

4 CHAIRWOMAN BURK: I wish I knew more about the
5 female system as far as whether you would expect to see
6 these type of things in the very high dose such as
7 this. Does anyone have -- like the prolonged gestation
8 and so forth.

9 Linda.

10 DR. ROBERTS: I don't know. That's why I asked
11 the question about the subchronic study because the
12 usual 13-week study is, in essence, the same as the
13 prenatal exposure period plus the gestation, and we were
14 seeing effects at 94 which is less than half.

15 DR. GOLUB: I can go over the toxicity in the
16 study. There were no deaths*. The weight gain, as I
17 said, was about 20 percent lower. During gestation, it
18 was about 12 percent lower.

19 There were changes in lower serum cholesterol
20 and circulating triglycerides, a lower statistically
21 significant MCB in hemoglobin, although not in the
22 anemia range, and some urinary crystals indicating a
23 little bit of a kidney problem and enlarged livers.

24 CHAIRWOMAN BURK: Any other comments on this
25 issue?

1 DR. KEEN: Mari, do you remember what the food
2 intake was in that study at the high end?

3 DR. GOLUB: In the multigeneration studies they
4 do food intake every week, so it's a little bit
5 difficult to summarize.

6 But as I recall, there was reduced food intake
7 early in the premating segment of the study, and I think
8 there may have been at odd weeks during gestation.

9 Now, during lactation, because of the serious
10 postnatal mortality -- some of the litter -- I think the
11 average litter size was one and two and perhaps four in
12 some of the generations, so they were nursing a much
13 smaller litter, and the food intake was lower, also,
14 during that time.

15 DR. ROBERTS: Mari, there is a comment
16 underneath Table 8 in the text that says parental
17 effects were almost entirely confined to 2000 ppm. Was
18 there anything significant at the 400 ppm group?

19 DR. GOLUB: Not statistically significant as
20 reported in the study.

21 DR. ROBERTS: Were there any other behavioral
22 measurements noted?

23 DR. GOLUB: No, there were no neurobehavioral
24 measurements. This was from cage-side observation.

25 CHAIRWOMAN BURK: Well, I don't know where we

1 should go with this at the moment. This is very
2 intriguing, but whether it's sufficient, I don't know.

3 DR. MILLER: There is obviously a lot going on
4 with those animals at the 226 milligrams per kilogram
5 per day systemically in terms of their cholesterol,
6 hematocrit, urine crystals, et cetera.

7 I don't think in any of the other studies --
8 even if they were done at a similarly high dose, they
9 never developed that level of maternal toxicity.

10 DR. GOLUB: That's very typical of the toxicity
11 of 2,4-DP. The chronic and subchronic studies, of
12 course, are done in different rats, different periods of
13 time, different durations of dosing, but the pattern is
14 very similar with the anemia, enlarged liver, enzyme
15 reduction and the signs of kidney toxicity.

16 DR. MILLER: As would be typical of a
17 peroxisomal proliferator.

18 DR. GOLUB: Right. It's not an unexpected
19 pattern from what we know about the biological activity
20 of the peroxisomal proliferators.

21 DR. MILLER: It seemed that that group of rats
22 responded more severely than any other group. Am I
23 right?

24 DR. GOLUB: It's difficult to compare the
25 chronic and subchronic studies with the animals that are

1 mated and go through pregnancy and lactation. So I
2 would find it difficult to compare the quantities of the
3 severity except to say that the pattern is very similar
4 to that type of toxicity. It looks like the -- a
5 pattern that's not unusual for that agent and that
6 classification of agent.

7 DR. MILLER: I think there is a possibility that
8 there is some relationship that's more direct than the
9 consequence of maternal effects.

10 But at the same time, there are so many maternal
11 events going on, that I find it a little difficult to
12 tease out that these changes in gestation, duration, et
13 cetera, may be more associated with the systemic event
14 going on in the whole animal, which ultimately may
15 translate into a female reproductive effect, but I can't
16 quite see that as a direct link.

17 DR. KEEN: I guess I'd like to agree with you,
18 Marion. I'm underwhelmed with the firmness of the data.
19 They're intriguing, they're provocative, but is there
20 definitive evidence? It doesn't pass that test for me.

21 DR. MILLER: I would tend to agree.

22 CHAIRWOMAN BURK: Let's move on to discussion of
23 developmental toxicity. I think Table 9 summarizes
24 this. We have a lot of studies here, which is nice,
25 and -- but, unfortunately, in most of the cases you have

1 developmental toxicity at the same level as maternal
2 toxicity except for this mouse study. So I think we
3 should really look closely at the mouse study to start
4 with.

5 Does anybody have any comments on that or any
6 aspect of developmental toxicity?

7 DR. SAMUELS: Mari, the one number, looking at
8 Table 2, I was trying to calculate total litter weight
9 and couldn't quite do it.

10 DR. GOLUB: I went through that calculation,
11 too, to try per animal the amount, considering the
12 smaller litter size and the decrease in weight, how that
13 compared to the maternal weight gain.

14 And I don't know if that's a legitimate thing to
15 do, but I did do it, and I took some notes on it -- I
16 don't know if I brought them with me -- but I believe
17 that it was -- that what you would estimate from the
18 less production of fetal tissue, it was actually more
19 than the difference in the maternal weight gain.

20 But it's hard to know if that's a legitimate
21 thing to do. There are other weights involved in the
22 pregnancy besides the fetus. There's the placenta and
23 the uterine weight gain and so forth.

24 DR. SAMUELS: Thank you.

25 CHAIRWOMAN BURK: We'll give people time to

1 mull over some of this.

2 DR. SAMUELS: The strongest evidence to consider
3 is in those 300, 400 milligram per kilogram groups where
4 there are no noted maternal effects and yet there are
5 fetal effects.

6 One question I had, though, unrelated, is
7 clearly the sensitivity in the rats and the mice were
8 very different. Is that generally the case for this
9 kind of comparison?

10 DR. GOLUB: It is difficult to make that
11 comparison because the only rat and rabbit studies that
12 found effects were with the 2,4-DP(+). The rat studies
13 used lower doses. So we don't know with the 2,4-DP
14 racemic mixture where the effect levels would have
15 been.

16 It's -- we don't have a good set of LD50 values
17 to make general statements about species differences on
18 acute toxicity.

19 DR. ROBERTS: Mari, in the subchronic studies
20 that were done in mice, were there any findings at any
21 dose levels that we can use for comparison?

22 DR. GOLUB: Once again, it's hard to compare the
23 studies because the durations and the strains of mice
24 and so forth were different. I think there's a no
25 effect level -- I had a no effect level in non-DART

1 toxicity.

2 In a three-month study -- I'm reading my own
3 sentence in which I have complete confidence -- effects
4 were seen at doses as low as 600 ppm or 60 milligrams
5 per kilogram per day based on food intake of ten percent
6 body weight. So that's in a mouse study.

7 Here again, they're looking at clin chems and
8 CBCs and so forth, and I think that would probably be
9 the most sensitive endpoints in those studies, although
10 I don't have a record of it here.

11 DR. SAMUELS: Perhaps this has been asked, but
12 are the effects on cleft palate, fused ribs using,
13 again, the individual fetus as the unit of analysis?

14 DR. GOLUB: Don't know for sure; but from the
15 presentation in the table, it looks like it was the
16 pooled fetal evaluations.

17 DR. SAMUELS: Which is, unfortunately, the wrong
18 unit, I think.

19 DR. GOLUB: Yes, it's not on a per litter
20 basis.

21 DR. ROBERTS: Mari, one other question. On page
22 11, looking at the same paragraph on subchronics, there
23 was a three-week pilot in mice, and the 600 ppm comes
24 out to about 60 milligrams per kilogram per day. Would
25 it be approximately correct to assume that 2700 ppm

1 would be about 270 milligrams per kilogram per day?

2 DR. GOLUB: That's an assumption that's often
3 used in risk assessment is the ten percent. It's kind
4 of a metric. It helps us compare studies. We don't
5 know for sure.

6 DR. JONES: I don't know whether you can answer
7 this question or whether anyone can shed any light on
8 it.

9 I must admit I'm rather intrigued by quite a bit
10 of the data here, and I'm also intrigued by the fact
11 that 2,4-DP is a peroxisome proliferator. So from the
12 standpoint of both biologic plausibility and biologic
13 action of this agent, can you comment on that relative
14 to --

15 DR. GOLUB: It's always something that's
16 interesting to think about, and I could present some
17 information if people would like to hear it. I've
18 prepared a couple slides.

19 CHAIRWOMAN BURK: Please do.

20 DR. GOLUB: The chlorophenoxy acid herbicides
21 are really very well-known peroxisome proliferators, and
22 many of them have been studied. There's been several
23 different nuclear receptors, and they seem to have
24 different functions in different life stages.

25 So it's -- particularly the gamma and the delta

1 that are found in embryos. The alpha -- PKR alpha is
2 more the classic liver or hepatic peroxisome
3 proliferator.

4 But we do know a little bit about peroxisome
5 proliferators in steroid metabolism. We know that
6 consistent with the hypocholonemic effects, that some of
7 the cholesterol synthesis do occur in peroxisomes.

8 We know that 17 beta estradiol dehydrogenase, HSD-4
9 it's sometimes called, is up regulated in connection
10 with PPAR activation, and we know that the mouse
11 specific CYP2C11 is down regulated.

12 There hasn't been a lot of study of 2,4-DP
13 directly and not as much as you'd like to see on steroid
14 hormone production, but in terms of the possible
15 consequences, you can imagine that there would be
16 increased estrogen activity in males because of the
17 failure of the CYP2C11 to deactivate the estrogen and
18 perhaps a decreased estrogen activity in females because
19 of the more rapid conversion and more thorough
20 conversion of the estradiol to the less effective
21 estrogen estrone.

22 Those are some possible considerations. As
23 always, we'd like to have a five- or ten-year mechanism
24 study to help us along, but we can at least think about
25 it, I guess.

1 DR. JONES: So you would see this far more from
2 the standpoint of male and female reproductive toxicity
3 than developmental toxicity; is that what you're
4 saying?

5 DR. GOLUB: It's hard to know. Certainly the
6 male steroids are important during pregnancy and
7 parturition. There's changes in the balance of estrogen
8 and progesterone and so forth.

9 We don't have any data on those circulating
10 levels to know whether there were even changes in those
11 hormones and to what extent you can use those changes to
12 make a functional conclusion.

13 CHAIRWOMAN BURK: Very interesting. Also,
14 perhaps we should have a discussion about the effects of
15 maternal toxicity in this case since we have a number of
16 studies that have a co-occurrence of developmental and
17 maternal toxicity. And Mari provided us with some
18 information that was -- perhaps I'll put it into
19 context. Let me make sure I understand.

20 Your conclusion, Mari, was that food intake
21 reduction would not be expected to be the cause of the
22 developmental toxicity?

23 DR. GOLUB: Well, you wouldn't know that without
24 testing that hypothesis, but just to try to line up
25 studies with food restriction with this study and

1 compare the consequences of food restriction alone
2 without the other effects of the toxic agent, that's
3 what I tried to do. But, of course, it's a project in
4 itself to come up with a definitive conclusion.

5 CHAIRWOMAN BURK: In this particular case, I
6 think it would be more interesting to get at the
7 mechanism of the peroxisomal proliferators and so forth.
8 Because I have a feeling that, if one could understand
9 that, it would make a lot more sense.

10 Are there any more comments, discussion on any
11 aspects of developmental toxicity?

12 DR. MILLER: Can I make one more comment?

13 In the previous discussion of the metribuzin, we
14 saw maternal toxicity and decreased maternal weight gain
15 associated with lower fetal weight.

16 In some ways we're looking at a not dissimilar
17 situation here. Except it seems to me, particularly in
18 the mouse study, you have no effects on the mother, no
19 maternal effects, and a pattern of effects that is much
20 broader than what we saw with metribuzin.

21 Again, I would ask Linda if she would like to
22 comment, and it seems that the developmental endpoints
23 cover a wider range of toxicities that may be less
24 nonspecific than skeletal variations. These may be a
25 little more substantive. Can you comment on that?

1 DR. ROBERTS: I would still tend to put them
2 towards the sorts of things that can be associated with
3 maternal toxicity: the reduction in fetal weight, the
4 increase in resorptions and cleft palate. The malformed
5 vertebrae and the fused ribs could be. I'm not sure.

6 The amount of body weight gain/reduction that is
7 recorded is not particularly extreme. We're looking at,
8 I think, 16 grams versus 20 or 21. So about 80 percent,
9 75 percent, or so.

10 That's one of the reasons I asked about the
11 subchronic studies as well because we're seeing this at
12 dose levels of 300 to 500 over about a ten-day period of
13 exposure and a couple days to recover. The closest we
14 can get is a subchronic study done for three weeks and
15 at 270 we had findings.

16 I guess part of it -- I'm not certain if what
17 findings that are there are possible -- are plausible
18 for maternal toxicity. I think they're plausible for
19 not being maternal toxicity.

20 I'd like to pass the question back to Steven as
21 to how well he believes that what he sees as
22 statistically significant, the fetal body weight and
23 resorptions, is accurate.

24 DR. SAMUELS: Well, resorptions would have been
25 done on a per dam basis, so I believe they're probably

1 accurate, but I can't tell without looking at the
2 original document.

3 Mari has obviously taken a good look at it to
4 see if there is any indication they did a correct
5 analysis.

6 DR. GOLUB: There is no more information in this
7 table as far as the statistical analysis. And as I
8 said, the methods section just said they used
9 chi-squared.

10 DR. SAMUELS: Well, chi-square is usually a red
11 flag because it implies that they're simply doing
12 counts. And if they're counts of cleft palate, for
13 example, then it's the wrong unit, and the P value is
14 too extreme. And with these dam sizes, I believe that
15 the P values are probably not as significant as
16 reported, but then that's not based on good evidence.

17 DR. ROBERTS: Mari, one other question on this
18 study. I noticed with the group sizes that there's a
19 lot of variation in the number of animals.

20 Is there any indication from the methods that
21 all of these animals were done at the same time or the
22 reason why the highest dose would have just ten?

23 DR. GOLUB: It's difficult to know. The study
24 also included several other chlorophenoxy acid
25 herbicides. I don't know if they were able to estimate

1 different group sizes, you know, if they did successive
2 studies, or quite what the reasoning was for the
3 difference in group sizes.

4 But that's true for the other agents, too. They
5 have different group sizes. I don't know if they did
6 pilot studies. There's no indication.

7 DR. ROBERTS: Did the data suggest that they
8 might have used a single control group other than the
9 multiple studies, which would be okay if you're doing
10 the studies at the same time?

11 DR. GOLUB: That's a good question. I don't
12 have the study here, so I'm afraid I can't answer it for
13 you. I don't recall that I had that impression. That
14 information is in the report, but I don't have it here.

15 DR. ROBERTS: It does surprise me, looking at
16 that, that the high dose and low dose has only ten
17 animals as opposed to the others being at least double.

18 DR. KEEN: Fifty-nine.

19 DR. ROBERTS: That suggests to me that there are
20 multiple control groups pooled together.

21 As I said, if they're doing two studies in the
22 same room and there are really only two control groups
23 on paper, then it's okay to have the data from all those
24 animals used at the same time. If they're not doing it
25 that way, they're doing it sequentially, then it should

1 be reported separately.

2 DR. GOLUB: The control groups were different
3 sizes for the 2,4-DP and 2,4-DP(+). They used the same
4 vehicle. It's hard to speculate because we just don't
5 have that information.

6 DR. ROBERTS: Was there a description of the
7 malformed vertebrae?

8 DR. GOLUB: Most of the information was in
9 tabular form. I think it was a general category that
10 they used in the skeletal examination.

11 DR. MILLER: Can I make one more comment?

12 CHAIRWOMAN BURK: Please.

13 DR. MILLER: It's interesting that there's more
14 in developmental effects after the (+) isomer in that
15 this may suggest that maybe there is a receptor type
16 mechanism involved with the developmental changes, and
17 that would fit with the PPAR or some sort of receptor
18 that was isomer specific, and maybe that would support
19 that there is something going on specific to development
20 rather than nonspecific with the -- nonmaternal with the
21 mother.

22 DR. GOLUB: I got that information on the
23 control group size for the MCPA and MCPP, which are two
24 of the peroxisome proliferator sites. They have the
25 same control group size for the mixture of 24 and for

1 the dextrorotatory of 59. So it looks like possibly the
2 mixtures were done at a different time than the
3 isomers -- than the (+) isomers and the enantiomers and
4 they used pool controls.

5 CHAIRWOMAN BURK: To get back to the mechanism
6 for just one second, Mari, the -- you discussed that
7 there's a classic peroxisomal proliferator, clofibrate,
8 and also there's some resemblance to valproic acid in
9 terms of -- can you comment more? Have there been
10 studies on clofibrate as to developmental toxicity?

11 DR. GOLUB: Just a few small studies. Of
12 course, DEHP would be sort of the most studied
13 peroxisome proliferator for developmental toxicity.

14 Again, we don't know the specific binding
15 patterns of all the agents, the specific binding
16 patterns, and whether we can* make -- you know,
17 generalize too much.

18 It's good to think about it, I think, but
19 there's no -- for some agents, like the ethylene
20 glycols, there's been structure activity studies across
21 the class where you have a better idea about them.
22 That's not the case for peroxisome proliferators.

23 CHAIRWOMAN BURK: As you can tell, I like to
24 have mechanisms because that's the only way I can really
25 actually feel confident.

1 DR. MILLER: One of the intriguing things about
2 peroxisome proliferators is that they are such a diverse
3 chemical class -- not even a class -- they're a diverse
4 group of chemical structures.

5 CHAIRWOMAN BURK: We cannot make any assumptions
6 here based on any others, so we have to go with what we
7 have.

8 Is there any further discussion? I'm not
9 rushing anyone. Are we ready to -- no one is nodding
10 yes or no.

11 In this particular case, I'm speaking for myself
12 now, I know that we have a number of studies which only
13 show developmental toxicity concurrent with maternal
14 toxicity, but there is one that doesn't, and so for that
15 reason I -- and there are quite a few studies, so it's
16 not like we don't have data.*

17 So it really seems important to me to know if
18 the committee always intends to dismiss developmental
19 toxicity in the presence of maternal toxicity. That's a
20 weird way to put it, I guess, but -- in other words, we
21 just write that off, or if there would ever be a case
22 where we would list on that mechanism -- or on that
23 basis. And maybe this is it. But I wish I understood
24 more about the biological plausibility, and that would
25 help.

1 DR. KEEN: If I can just comment. I would have
2 no difficulty with some cases if maternal toxicity is
3 going to be running parallel. In some situations we
4 have mechanisms where we know the maternal toxicity is
5 representing a very specific developmental insult.

6 I guess where I am, again, underwhelmed, what
7 we have are two lines of data, I would argue as the
8 weight data, particularly for the non(+) isomer, in a
9 study that we're actually having some difficulty even
10 knowing how they conducted it. There seems to be a lot
11 of, not necessarily confusion, but we're kind of reading
12 into the trial.

13 So I don't see it as very definitive. It's
14 another case where you would like to see somebody go
15 back in and do a very clean study.

16 That's where the hesitation is. You almost get
17 a sense, gee, there might be something there, but I sure
18 don't find it very definitive.

19 DR. ROBERTS: Dottie.

20 CHAIRWOMAN BURK: Yes.

21 DR. ROBERTS: As to the mechanism of the
22 peroxisome, definitely ethanol sort of answers for me
23 the question of whether or not we can list something as
24 a developmental toxicant or not.

25 CHAIRWOMAN BURK: Absolutely.

1 DR. ROBERTS: What is problematic for me is that
2 the only study that shows developmental effects
3 occurring in the absence of maternal effects is the one
4 study that doesn't seem to have been reported very
5 thoroughly; and that's why, for me, it's not a clear
6 threshold.

7 CHAIRWOMAN BURK: All right. I sense we're
8 ready to take a vote here. Remember, in this case we
9 are voting to remove the chemical from the list, so it's
10 slightly different.

11 Please indicate by a show of hands if, in your
12 opinion --

13 DR. DENTON: Can we wait just one minute? Jim's
14 got a clarification.

15 MS. HECK: Just to revisit the notion of what
16 you're actually voting on, depending on the outcome of
17 the vote, it may come off the list. It is on the list,
18 as we speak, but the call of the roll is to see whether
19 or not it should remain on the list.

20 CHAIRWOMAN BURK: So we should turn it around.

21 MS. HECK: I think the text you have been
22 provided by Cynthia Oshita is properly phrased in terms
23 of what you're getting at.

24 But we're actually asking, just as we would in
25 an initial listing, whether or not the evidence supports

1 this chemical being on the list. So you don't need to
2 reverse anything.

3 CHAIRWOMAN BURK: Wonderful. All right. I'll
4 read it as it's written.

5 Please indicate by a show of hands whether, in
6 your opinion, 2,4-DP has been clearly shown through
7 scientifically valid testing according to generally
8 accepted principles to cause developmental toxicity and,
9 therefore, should be maintained on the list.

10 The record should reflect one vote was cast to
11 maintain 2,4-DP on the Proposition 65 list as causing
12 developmental toxicity.

13 Please indicate by a show of hands if, in your
14 opinion, 2,4-DP has been clearly shown through
15 scientifically valid testing according to generally
16 accepted principles to cause* female reproductive
17 toxicity and, therefore, should be maintained on the
18 list.

19 The record should reflect zero votes were cast
20 to maintain 2,4-DP on the Proposition 65 list as causing
21 female reproductive toxicity.

22 And finally, please indicate by a show of hands
23 if, in your opinion, 2,4-DP has been clearly shown
24 through scientifically valid testing according to
25 generally accepted principles to cause male reproductive

1 toxicity and, therefore, should be maintained on the
2 list.

3 The record should reflect zero votes were cast
4 to maintain 2,4-DP on the Proposition 65 list as causing
5 male reproductive toxicity.

6 A majority of five of the appointed members is
7 required to maintain a chemical on the list.

8 Accordingly, 2,4-DP does not remain on the Proposition
9 65 list.

10 Did I do that properly?

11 MS. HECK: Just to address the hesitancy in your
12 statement, that's correct, since it did not garner five
13 votes for any of the three endpoints, it will be taken
14 off the list.

15 We would take the administrative step of doing
16 that on the committee's behalf if the committee's
17 decision is to remove the chemical.

18 CHAIRWOMAN BURK: Okay. Do we need to take a
19 break? We'll take a 15-minute break, and then we'll
20 continue with the agenda.

21 (Recess taken.)

22 CHAIRWOMAN BURK: We'll continue with the
23 agenda, and I've been asked to remind everyone to please
24 speak up. The microphone is your friend. That's a
25 quote.

1 The next agenda item, again, agenda item IV,
2 consideration of chemicals, listed via the authoritative
3 bodies mechanism, for possible removal from the list,
4 will be cyclohexanol, and we have a staff presentation
5 by Dr. Marlissa Campbell.

6 First, Jim Donald.

7 DR. DONALD: Again, very brief introductory
8 comments. Cyclohexanol is another chemical which is
9 currently on the list. It was listed on the basis of
10 male reproductive toxicity.

11 Here again, the committee has the opportunity,
12 if they choose, to maintain it on the list on the basis
13 of any form of reproductive toxicity.

14 Now, Dr. Campbell will do the presentation.

15 DR. CAMPBELL: Today's presentation will be a
16 brief overview of the information presented in the
17 hazard identification document, evidence on the
18 developmental and reproductive toxicity of
19 cyclohexanol.

20 Cyclohexanol is used in the production of nylon,
21 lacquers, paints, varnishes, degreasers, plastics and
22 plasticizers, soaps and detergents, textiles and
23 insecticides.

24 Exposure to cyclohexanol may occur through
25 ingestion of contaminated food or drinking water,

1 inhalation of contaminated air or dermal contact with
2 contaminated water.

3 There are no toxicokinetic data in humans and no
4 quantitative data on absorption and distribution of
5 cyclohexanol in animals. However, there is evidence
6 from acute and chronic studies in several animal species
7 of toxicologically relevant absorption by the oral,
8 inhalation and dermal routes.

9 Cyclohexanol is primarily oxidized by hepatic
10 NAD-dependent alcohol dehydrogenase. Following oral or
11 inhalation exposure of rabbits to cyclohexanol, most of
12 the compound was excreted in the urine as cyclohexyl
13 glucuronide, but sulfate conjugation also occurs.

14 The metabolic disposition of cyclohexanol is
15 thought to be relatively rapid with a half-life of about
16 12 hours and without prolonged retention in the animal.

17 This slide just shows a comparison of lethal
18 doses of cyclohexanol by different routes in various
19 species. The main points to note here are that,
20 firstly, cyclohexanol is not highly toxic. It takes
21 very high doses. Many of those doses are in grams.

22 Also, to note is that in rabbits the minimum
23 lethal dose was approximately five to ten times higher
24 than the minimum lethal oral dose in that species. The
25 sequence of symptoms preceding death was similar with

1 exposure by either of those routes.

2 Turning to developmental toxicity of
3 cyclohexanol, in one study cyclohexanol was given in the
4 diet of female mice of the TB or NMRI strains.

5 Treatment was begun prior to mating and conception and
6 continued throughout gestation and lactation.

7 Weaned young of 21 days postnatal age were
8 continued on the treated diet. By postnatal day 21 43
9 percent of the NMRI pups had died as compared to 12
10 percent among controls. No statistical evaluation of
11 the data was reported in this study.

12 For TB mice, 14 percent of cyclohexanol treated
13 pups had died by postnatal day 21 as compared to 12
14 percent of the control pups.

15 Treatment of TB animals was continued for an
16 additional generation, and the mortality of the second
17 generation was increased to 53.5 percent. No data were
18 presented for a second generation of control animals.

19 The pup weights between postnatal days 21 and
20 110 were considered to have been inhibited in the first
21 and second generation females. The growth of male
22 offspring was less affected. And, again, no statistical
23 analysis was reported for these data.

24 In a supplementary study of the developmental
25 toxicity of cyclohexanol, the chemical was added to

1 cultures of 8-cell stage zebrafish embryos. There were
2 no deaths or morphological changes observed in untreated
3 control embryos, and the NOEL for cyclohexanol in this
4 study was three millimoles per liter culture media.

5 Effects observed at higher concentrations
6 included edematous enlargement of the pericardial space,
7 skeletal and muscle abnormalities and retardation of
8 body development. Effects were seen in 100 percent of
9 the embryos exposed to a concentration of 16 millimoles
10 per liter.

11 Turning to a consideration of female
12 reproductive toxicity, as discussed in a previous slide,
13 the female mice of the NMRI or TB strains were exposed
14 to cyclohexanol during mating, gestation and lactation.

15 TB animals were treated into the second
16 generation. No data were presented on fertility,
17 weights of female reproductive organs or other standard
18 endpoints of female reproductive toxicity.

19 While effects on pup postnatal mortality and
20 growth rates might have been at least partially due to
21 effects on their dams lactational capacity, the data do
22 not directly address this possibility. Alternatively,
23 the pup effects may have been due to direct exposure to
24 cyclohexanol.

25 Turning to male reproductive toxicity, in one

1 study cyclohexanol was given by the subcutaneous route
2 to 20 adult male gerbils and 20 adult male rats.

3 The treatment periods were 21 days for gerbils
4 and 37 days for rats with evaluations conducted at 24
5 hours following the final dose.

6 Exposure was stated to have had no effect on
7 body weight in either species, but these weight data
8 were not presented.

9 Significant weight reductions were reported for
10 testes, epididymides and ventral prostate in both
11 species. Seminiferous vesicle weights were also reduced
12 in both species, but the difference was reported to be
13 statistically significant only in the rat.

14 At the histological level, degenerative changes
15 in the seminiferous tubules were reported for both
16 species. The paper reports loss of type-A
17 spermatogonia, spermatocytes, spermatids and
18 spermatozoa, as well as vacuolation of sertoli cell
19 cytoplasm.

20 The chemical changes reported for the male
21 reproductive organs included decreased protein, RNA,
22 sialic acid and glycogen, as well as increased
23 testicular cholesterol and alkaline phosphatase
24 activity.

25 In another study, cyclohexanol diluted with

1 olive oil was given orally to male rabbits at a dose of
2 25 milligram per kilogram for 40 days. The final mean
3 body weights and relative adrenal weights did not differ
4 among the groups of rabbits.

5 For five animals which were evaluated at 24
6 hours following the final dose of cyclohexanol,
7 significant reductions were found in relative testes and
8 epididymal weights of the treated rabbits.

9 Histopathological examination of the testes
10 revealed loss of type-A spermatogonia, spermatocytes,
11 spermatids and spermatozoa. The epididymal luminal
12 epithelium was reported to be reduced in diameter as
13 were the diameters of seminiferous tubules and Leydig
14 cell nuclei.

15 Chemical changes included reduced testicular and
16 epididymal protein, RNA, sialic acid, glycogen and acid
17 phosphatase.

18 For five treated animals which were evaluated
19 following a 70-day recovery period, spermatogenesis,
20 organ weights and seminiferous tubule and Leydig cell
21 dimensions were returned to normal. Biochemical
22 parameters had either returned to control or near
23 control values.

24 In a third study, cyclohexanol was given to 12
25 30-day old Sprague-Dawley rats. This was at a dose of

1 450 milligrams per kilogram per day by the gavage route
2 for seven days. Control animals were given corn oil,
3 and evaluations were performed at 24 hours following the
4 last dose.

5 Relative liver weights of cyclohexanol treated
6 animals were significantly increased as were the
7 specific activities of hepatic biphenyl 4-hydroxylase,
8 7-ethoxycoumarin o-deethylase and aniline 4-hydroxylase,
9 as well as cytochrome P-450 content. Cyclohexanol had
10 no effect on relative kidney or testes weights.

11 There was no mention in the study of a
12 histological evaluation of testicular tissue from the
13 cyclohexanol treated animals in this study.

14 Then just to summarize the data on developmental
15 and female reproductive toxicity, there were no data
16 from human studies relevant to the potential
17 developmental or female reproductive toxicity of
18 cyclohexanol.

19 In the developmental study conducted in mice,
20 cyclohexanol was given continuously from prior to
21 conception throughout pregnancy and lactation into the
22 postweaning period and in some cases into a subsequent
23 generation. Data on growth and mortality were collected
24 only after postnatal day 21.

25 In a supplementary study of zebrafish embryos,

1 cyclohexanol exposure was associated with morphological
2 abnormalities.

3 The only animal study involving treatment of
4 females during reproduction was the mouse study
5 described above for developmental toxicity.

6 In that study, the observed postnatal pup
7 mortality and growth deficits might have been at least
8 partially due to effects on the maternal reproductive
9 system such as lactational insufficiency, but the data
10 do not directly address that possibility, and the
11 findings could alternatively have been due to direct
12 effects on the growing pups.

13 The last slide is a summary of information on
14 male reproductive toxicity. As for the other endpoints,
15 there were no human data relevant to the potential male
16 reproductive toxicity of cyclohexanol.

17 The findings of two animal studies on the male
18 reproductive system were substantially in agreement
19 despite the use of different species and routes of
20 exposure.

21 In both studies, the observations included
22 adverse effects on epididymal weights and histological
23 appearance of male reproductive tissues as well as
24 biochemical alterations. In a rabbit oral study, a
25 70-day recovery period allowed for significant reversal

1 of effects.

2 In a third study, cyclohexanol was given to
3 30-day old male rats at a higher dose for a shorter
4 period of time.

5 This treatment had no effect on relative kidney
6 or testes weights, although exposure was reported to be
7 associated with liver enlargement and induction of some
8 hepatic drug metabolizing enzymes. There were no
9 histological findings reported for testicular tissue in
10 that study.

11 That concludes the presentation, and I would be
12 happy to entertain any questions.

13 CHAIRWOMAN BURK: Thank you very much for your
14 report.

15 I see no blue cards. Is there anyone from the
16 audience that wishes to speak?

17 I guess we can begin our discussion. Maybe I
18 can simplify it.

19 Is there anyone that wants to say anything about
20 developmental or female reproductive toxicity?

21 We have some data, but it's just not sufficient,
22 in my opinion. I like the zebrafish thing. It would be
23 great if it was supporting something.

24 So that brings us to the male reproductive
25 toxicity, which is the basis for the listing at this

1 time.

2 Any comments on that?

3 DR. MILLER: I must admit I am quite surprised
4 that such different dose levels produced such different
5 responses in that the study by Tyagi et al., which was
6 in gerbils and rats, was producing an effect at 15
7 milligrams per kilograms; whereas the study by Lake et
8 al. produced no testicular damage -- no overt testicular
9 damage at 455 milligrams per kilogram.

10 There's clear differences in the studies in that
11 the Tyagi study treated for 37 days in rats, and the
12 Lake study treated the animals for seven days. The
13 37-day treated animals were for the adults. The Lake
14 et al. animals were 30 days old. So there are
15 definitely some major differences in the study.

16 I should note that the gerbil and rat Tyagi
17 study and the rabbit study by Dixit et al. are
18 essentially coming from the same laboratory, same group
19 of people. Such diverse response in terms of -- in
20 15-plus fold differences in responding to toxicity.

21 It's very unclear to me whether another
22 additional study carried out in the adult animal with
23 the same starting material or some verified -- some
24 verification that we really are working with
25 cyclohexanol would be appreciated in these studies.

1 I thought the Tyagi et al. and Dixit et al. took
2 the cyclohexanol and distilled it in order to assert
3 purity. I wonder if something chemically might have
4 happened in that step. I think there's a real need to
5 verify the chemical starting material when you see such
6 diversity.

7 CHAIRWOMAN BURK: So you have a real concern
8 that they're not really testing cyclohexanol?

9 DR. MILLER: Well, the Lake et al. group
10 probably really had cyclohexanol. Either there is a
11 huge difference in terms of adult versus 30-day old
12 animals or the starting material is under question.

13 CHAIRWOMAN BURK: I don't know how we're going
14 to deal with that. Say, assuming that the Tyagi and the
15 Dixit, I know it's the same lab, but they essentially
16 agree at least in finding the pathologic effects.

17 DR. MILLER: They are seeing testicular damage.

18 CHAIRWOMAN BURK: Yes. Assuming that it was
19 cyclohexanol, what would you make of it then? To me,
20 it's quite clear, but I'm worried.

21 DR. MILLER: If it is cyclohexanol, then there
22 is that study reporting male reproductive damage. I
23 just find the disparity between the two studies so
24 marked.

25 DR. ROBERTS: Marion, is it possible that the

1 seven-day period is just too short?

2 DR. MILLER: The seven-day period is just too
3 short. The half-life of the compound was 12 hours,
4 yes?

5 DR. ROBERTS: Yes.

6 DR. MILLER: So if you think of the half-life of
7 the compound in terms of 12 hours, the seven-day period
8 could well be too short in the accumulation that
9 ultimately can build up over the longer time.

10 With a 12 hour half-life, you're only going to
11 lose 75 percent of the dose in 24 hours, so that would
12 keep building so that the exposure level is increased.

13 So, yes, the duration of exposure and potential
14 for accumulation makes that relatively long -- 12 hours
15 isn't that long -- relatively long within a 24-hour time
16 period half-life, but that could provide an explanation.

17 CHAIRWOMAN BURK: You may know more about this,
18 but what is considered a good study in terms of male
19 reproductive toxicity. Seven days doesn't seem long
20 enough to me to make sure you've got the entire kind of
21 cycle of spermatocytes and so forth.

22 DR. MILLER: Well, within the testes, there are
23 different stages of development in terms of stages of
24 spermatogenesis.

25 But 30-day old animals are just getting past --

1 you're beginning to see ram spermatids, maybe a couple
2 of them may be beginning to elongate. That's all. So
3 you really don't have the full spectrum of the
4 spermatogenesis reflected. So there's multiple
5 questions.

6 CHAIRWOMAN BURK: There are, but I'm still
7 curious why -- I know you feel the Lake study is good
8 because they're a reputable group. But seven-day and
9 30-day olds, I don't understand what the reasoning was
10 for doing --

11 DR. MILLER: I think they were doing studies
12 where there's a difference in sensitivity.

13 DR. ROBERTS: Were 30-day old rats a sensitive
14 subgroup of rats?

15 DR. MILLER: Yes, there's juvenile sensitivity.

16 DR. ROBERTS: I notice in here that they did get
17 reproductive effects with one of the materials that they
18 were testing during that seven-day period.

19 DR. MILLER: Yes.

20 DR. SAMUELS: Excuse me, Marion.

21 Again, I'll ask my colleagues, this study
22 puzzled me and I'm glad we had the original document to
23 look at because cyclohexanol was a metabolite of the
24 main compound of interest in the Lake study. So that
25 the effects that they found from the other compound they

1 do not attribute to the -- by inference they do not
2 attribute to the cyclohexanol metabolite?

3 DR. MILLER: Yes, that would be the design of
4 the study to identify the more active metabolite.

5 So I think there are possibly multiple causes
6 for the disparity in the two data sets. One, the age of
7 the animal; two, the half-life, the duration of
8 exposure, so that they allowed -- accumulation would
9 have occurred in the longer durations; or three, the
10 purity and the nature of the chemicals that were
11 administered.

12 CHAIRWOMAN BURK: So is that enough to -- the
13 two Tyagi and Dixit studies that seem to support each
14 other, to me, appear to be sufficient unless -- I guess
15 I need more compelling evidence to say they weren't
16 really using cyclohexanol. If they were, to me, it
17 seems pretty straightforward.

18 DR. KEEN: Marion, since I think we are at a
19 dilemma here, in the absence of the Lake paper, would
20 you have been as concerned about potential purity of the
21 compound being tested? Are there other experimental
22 issues you would find in these papers?

23 DR. MILLER: In the absence of the Lake paper, I
24 would not have been so concerned, even though in any
25 good study you would have checked, and I don't think

1 that was done here.

2 Also, the rats were not identified by strain.
3 They were house rats. So did they catch them?

4 DR. KEEN: Actually, that's an easy thing to
5 almost laugh over, but it's not a trivial issue because
6 it would be next to impossible to go back and ask the
7 question whether or not there was something unusual
8 about the models that were used here.

9 I was just curious as to whether there were
10 other issues as to experimental design.

11 DR. MILLER: There are multiple issues
12 potentially with the experimental design. I have no
13 issue with the pathology that they saw.

14 DR. ROBERTS: One other possible question about
15 it, I guess, is the usual models that we look at -- I
16 don't know what kind of rabbits these were, but they're
17 about half the size of the typical rabbits used in
18 developmental studies.

19 They must have been full grown because I think
20 they were about one-and-a-half grams at the start of the
21 study, and 130 days later recovering animals were still
22 about one-and-a-half kilograms at the end of that study.
23 So they're approximately half the size of rabbits we
24 normally see.

25 DR. SAMUELS: I had -- a question arose with the

1 Tyagi study. I was puzzled by the statement that the
2 house rat was more potent -- the effects on the house
3 rat were more potent than on the gerbils, which appeared
4 to be because of the weight of the seminal vesicle.

5 So I went back and calculated the P value, and
6 it appears, at least for the seminal vesicles and for
7 the ventral prostate, that they are not statistically
8 significant, at least according to the standards that we
9 have here. They are not significant at .05.

10 I'm also always amused that in this paper and
11 the Lake paper the word randomized is used. I guess
12 it's just understood by toxicologists.

13 CHAIRWOMAN BURK: Any other comments? I
14 understand some of criticisms with the study. It's hard
15 to deny the pathology that they saw, in my opinion.

16 DR. KLONOFF-COHEN: I just want to discuss a
17 metabolic issue that Marion brought up. I can
18 understand what she is saying, that the rat strains
19 would be very critical.

20 In terms of age, I guess I'm having the same
21 problem in that I saw those two studies and was kind of
22 taken by them. If you're not sure about the rat strain,
23 then that certainly makes sense to me.

24 In terms of the purity and nature of the actual
25 substance, that's worrisome. I don't know how to

1 address that. I guess I took it at face value that that
2 was pure.

3 Are there any other issues that we should be
4 aware of in terms of those particular studies that would
5 be limitations?

6 Because of the fact that the Lake study you feel
7 is -- or that lab is such a good lab, are there other
8 studies or other things that I'm missing?

9 DR. MILLER: I think one of the more important
10 issues is the duration of the study, which is, if the
11 half-life is 12 hours, so that within a 24-hour time
12 period is an opportunity for 75 percent of the material
13 to be excreted, then with multiple daily dosing there is
14 a potential for accumulation over that time period, so
15 ultimately a toxic dose level could be reached.

16 I think that's a very plausible reason for the
17 differences in response based on the kinetics and the
18 actual dosing actually accumulating over the seven days
19 versus and 70. Then the juvenile or the young animals
20 sensitivity may also be another issue. And the third
21 thing is the nature and the purity of the starting
22 material.

23 So three unknowns, but the pathology is real.

24 CHAIRWOMAN BURK: Are the unknowns enough in
25 your mind to discredit the studies or make them

1 invalid?

2 DR. MILLER: I really would like to have seen
3 something else, some other -- maybe a developmental
4 study for the female.

5 DR. KEEN: Marion, if I could just reask that
6 slightly differently, rather than say it invalidates the
7 study, what I'm hearing you suggest is there's enough
8 confusion that it's not clear? That's different than
9 saying it is a discredited study.

10 DR. MILLER: Well, it's not a discredited study,
11 but it is -- Prop 65 is meant to list based on
12 scientifically acceptable testing and principles -- I'm
13 not quite sure of the wording -- and I'm not quite sure
14 this would be scientifically acceptable.

15 CHAIRWOMAN BURK: That's sort of what I'm asking
16 here. If it's determined to be shown through
17 scientifically valid testing, to me, it's clear. But if
18 the studies aren't valid, then that part is
19 questionable.

20 DR. SAMUELS: Let me ask: What was the source
21 of the cyclohexanol? Do you know that?

22 DR. MILLER: I can't remember. Anybody?

23 DR. SAMUELS: Would distillation itself change
24 the compound in a way that would make what would be
25 analyzed not cyclohexanol?

1 DR. MILLER: It would depend on a lot of
2 circumstances. How they distilled it -- I really
3 can't --

4 DR. SAMUELS: I understand. I mean, that's the
5 question you brought up.

6 DR. ROBERTS: Marlissa, going back to the Lake
7 study, cyclohexanol didn't have the testicular atrophy,
8 but the MCHP did.

9 One of the questions, of course, is the
10 half-life of the material. You wouldn't happen to know
11 the half-life of the other metabolite?

12 DR. CAMPBELL: No. I'd have to check that.

13 CHAIRWOMAN BURK: I guess we have two options.
14 One, we could defer this longer; or the other, we can
15 take our poll.

16 The thing we have to* remember, too, at least if
17 I understand this correctly, is that this chemical is
18 already listed. I don't know if that makes any
19 difference. It really shouldn't. We're really
20 basically asking the same question.

21 DR. KEEN: Again, I'm underwhelmed. It's been
22 that sort of day, I guess.

23 In looking at this, we have conflicting data.
24 In one of the data sets, it appears that there is a
25 positive male reproductive toxicity. There is

1 information within the papers that are not clearly
2 defined.

3 And, thus, it would seem as though it is not
4 clear using the standards of science that typically
5 we're asked to evaluate the papers from. At the end of
6 it, it seems to be not enough.

7 CHAIRWOMAN BURK: Are there any other comments?
8 It's a difficult decision.

9 DR. MILLER: I suppose we could look at the data
10 we have and look at it at face value and not trying to
11 read in too many possibilities, but I find that a little
12 difficult.

13 The data sets from the Tyagi and Dixit groups
14 do make me a little unsure about what really went into
15 the animal. But it's only speculation.

16 CHAIRWOMAN BURK: Does anyone else want to say
17 anything? One more chance to make the case one way or
18 the other.

19 As I suggested before, the other possibility is
20 to defer the decision. The question is: What further
21 information could we actually get that would aid in this
22 decision?

23 DR. MILLER: Some chemical stability
24 information.

25 DR. SAMUELS: My concern is that it's a compound

1 closely related to chemicals that are known to have a
2 very strong effect, and it was exonerated in the study
3 of the juvenile rats over a shorter period of time. So
4 could it have been transformed something like the
5 chemical that it's related to?

6 DR. MILLER: The chemical you think it's related
7 to, I assume, is toxiethanol?

8 DR. SAMUELS: Would the distillation itself have
9 changed the compound, the stability question.

10 DR. ROBERTS: I think that might also be
11 dependent on what they distilled it out of and what they
12 ended up distilling it into as well.

13 Is there any other tox information out there?
14 Is this the one that had information that you were told
15 not to use?

16 DR. CAMPBELL: No, this is everything.

17 DR. ROBERTS: Okay.

18 CHAIRWOMAN BURK: Well, I need to know, do you
19 want to vote? Do you want to defer? But I would have
20 to have a good reason for doing that, I suppose.

21 DR. ROBERTS: I guess my problem is, I don't
22 know if we're going to get anything else better. It
23 doesn't sound like there is any other tox data.

24 I'm sure I could ask chemists what could happen
25 in the distillation process. Their answers are probably

1 going to be ambiguous.

2 CHAIRWOMAN BURK: I think the problem now is
3 that's pure speculation. My feeling -- this is
4 personal -- but I think maybe we have to take this at
5 face value. This is the information we're given. If
6 you really think the studies are not valid, then that
7 can make your decision.

8 I guess, myself, I still feel the results are
9 fairly clear. I understand the conflict. But, to me,
10 unless I knew something more -- I don't think the
11 studies in and of themselves are, for the time period
12 they were done, poor studies.

13 There's specific male effects and not anything
14 else. In other words, it seems like it was a target.
15 If it's some other chemical, then that would be nice to
16 know, but assuming it's cyclohexanol --

17 DR. MILLER: And you do have a biological reason
18 for why you see different sensitivities at the two
19 different dose levels, which is based on the multiple
20 durations of exposure and the half-life.

21 CHAIRWOMAN BURK: And the age.

22 DR. MILLER: So there are those two biologically
23 clear reasons.

24 DR. SAMUELS: Sure.

25 CHAIRWOMAN BURK: We always do a weight of the

1 evidence type of thing. When you have conflicting data,
2 you have to weigh them somehow and give that
3 consideration.

4 Okay. I think no one has made a case for
5 deferring, so I think we'll just have to go ahead. I'll
6 go down the list here so we have the easy ones first.

7 Please indicate by a show of hands if, in your
8 opinion, cyclohexanol has been clearly shown through
9 scientifically valid testing according to generally
10 accepted principles to cause developmental toxicity and,
11 therefore, should be maintained on the list.

12 The record should reflect zero votes were cast
13 to maintain cyclohexanol on the Proposition 65 list as
14 causing developmental toxicity.

15 Please indicate by a show of hands if, in your
16 opinion, cyclohexanol has been clearly shown through
17 scientifically valid testing according to generally
18 accepted principles to cause female reproductive
19 toxicity and, therefore, should be maintained on the
20 list.

21 The record should reflect zero votes were cast
22 to maintain cyclohexanol on the Proposition 65 list as
23 causing female reproductive toxicity.

24 Please indicate by a show of hands if, in your
25 opinion, cyclohexanol has been clearly shown through

1 scientifically valid testing according to generally
2 accepted principles to cause male reproductive toxicity
3 and, therefore, should be maintained on the list.

4 The record should reflect four votes were cast
5 to maintain cyclohexanol on the Proposition 65 list as
6 causing male reproductive toxicity.

7 A majority five of the appointed members is
8 required to maintain a chemical on the list.
9 Accordingly, cyclohexanol does not remain on the
10 Proposition 65 list.

11 Next agenda item, Agenda item V, consideration
12 of chemicals for possible removal from the Section 14000
13 list of chemicals that have not been adequately tested.
14 First, "A," is a bunch of chemicals and Colleen will
15 speak.

16 MS. HECK: This is a^{*} seldom noted provision of
17 Proposition 65. Probably very few people in the room
18 know that Proposition 65 actually mandates the creation
19 of two lists.

20 One, those chemicals known to the state to cause
21 cancer or reproductive toxicity, and another requires
22 publication of a list, an annual revision of chemicals
23 which are required by state or federal law to have been
24 tested for their potential to cause cancer or
25 reproductive toxicity but which the respective committee

1 finds has not been adequately tested.

2 That list is published in regulation in Title 22
3 of the California Code of Regulations at Section 14000.
4 That's why it's indicated on your notes as a Section
5 14000 list.

6 This is an important task that has been assigned
7 to a committee. However, in the past, this
8 responsibility has been somewhat downplayed.

9 In fact, this is the first time that this
10 committee in its current constitution will be looking
11 at the Section 14000 list. And, oddly enough, you're
12 looking at it not for addition of chemicals to this list
13 but, just like the last agenda item, for possible
14 removal.

15 The reason for that is as follows. The
16 regulation indicates that a chemical cannot
17 simultaneously be on the list of chemicals that are not
18 yet adequately tested and at the same time known to the
19 state to cause for the same endpoint.

20 So we have gone through and compared side by
21 side Section 14000, the not yet adequately tested
22 chemicals and those known to cause, and under "A," the
23 six chemicals you see do at this time simultaneously
24 appear on both lists.

25 So it's somewhat of an administrative task for

1 you, largely a housekeeping matter, if you will. But it
2 is the committee's responsibility, task, duty to direct
3 us, if you are so inclined, to remove these chemicals
4 from Section 14000 because they do, in fact, appear in
5 the Section 12000 list of chemicals known to cause
6 reproductive toxicity.

7 Just to step you briefly -- introduce you to
8 "B," and then you can go back and take probably the
9 proper vote on "A," Jim Donald is going to address quite
10 a different procedural context; that is, the chemical
11 that he'll address is not simultaneously on both lists,
12 but we received a petition asserting that the tests that
13 are required under federal law have, in fact, been
14 conducted and, therefore, it should come off, which
15 requires something more of a substantive undertaking on
16 your part as opposed to "A," which I would characterize
17 as almost extensively or exclusively procedural.

18 That's it unless there are any questions at this
19 time.

20 CHAIRWOMAN BURK: Are there any questions?

21 Linda.

22 DR. ROBERTS: Colleen, as you know, I did
23 contact you earlier to confirm that there wasn't a
24 conflict of interest. I did not do that for these
25 materials. Would it be the most appropriate thing to do

1 to recuse myself?

2 MS. HECK: Well, that would be the absolutely
3 ultra-conservative abundance of caution, but I'm not
4 going to advise you, though, that's in any way required,
5 having no reason to believe that you have a financial
6 conflict of interest, as that term is legally applied to
7 your duties here, but I certainly can't tell you not to
8 do that. Ultimately, it's your professional judgment,
9 what you're comfortable with.

10 DR. ROBERTS: Okay. I can tell you, for most of
11 these, I've never heard of them before. For
12 N-methylpyrrolidone I have. While Chevron is not a
13 manufacturer of it, I do believe we use it in
14 processing, so I would like to recuse myself on that
15 one.

16 MS. HECK: It's certainly your prerogative.

17 CHAIRWOMAN BURK: Are there any public comments?
18 I didn't receive any.

19 Committee discussion?

20 It seems pretty much of a procedural thing. I
21 think I understand it. It makes sense. Do you need a
22 formal vote?

23 MS. HECK: I think that would be good for the
24 record. If you can quickly do a call for a show of
25 hands to remove those under "A" all in one lump, that

1 would be more than enough.

2 CHAIRWOMAN BURK: So the motion would be to
3 remove all of these chemicals in "A" from the Section
4 14000 list that required them to be adequately tested.

5 All in favor, raise your hand.

6 DR. SAMUELS: I guess my question is: Is it the
7 conclusion of the staff that they have been adequately
8 tested?

9 MS. HECK: We did not weigh in on that. That
10 will be the issue, if you want to put it to staff, as to
11 "B." "A" is that a chemical cannot, as a matter of law,
12 if you will, co-exist on both lists at the same time.
13 There's no need to delve into the merits of adequately
14 tested or not.

15 CHAIRWOMAN BURK: Are there any of these that
16 you think we should consider? I mean, they're already
17 listed by whatever mechanism.

18 Okay. Well, back to the motion. A show of
19 hands to approve the motion. It's six in favor and one
20 abstaining. So that passes.

21 Okay, part "B," could you remind us again --

22 MS. HECK: I'll let Dr. Donald take it from
23 here.

24 CHAIRWOMAN BURK: Jim.

25 DR. DONALD: As Colleen mentioned, we received a

1 petition to remove 1,6-Hexamethylene diisocyanate from
2 the Section 14000 list based on the assertion that
3 testing required under the Toxic Substances Control Act
4 had been completed.

5 What you have in front of you is a slide showing
6 a slightly abbreviated version of the relevant page from
7 a U.S. EPA website for 1,6-Hexamethylene diisocyanate.

8 As you can see, it makes reference to a consent
9 order which was published in the Federal Register in
10 1997. It also makes reference to the status of the
11 chemical as being closed, all required tests have been
12 completed.

13 I apologize, this slide is rather hard to read,
14 but this is taken from the consent order. And, again,
15 it's very much abbreviated, but it identifies the
16 studies that were required that are relevant to
17 reproductive and developmental toxicity under that
18 consent order.

19 Basically, there was one developmental toxicity
20 study to be conducted by inhalation in rats. One
21 reproductive and developmental screen with functional
22 observation, also by inhalation, to be conducted in rats
23 and dependent on the outcome of the second test, EPA
24 also has the option of requiring a two generation
25 reproduction study.

1 With reference to the statement -- let me go
2 back to the original slide. This is the web page notes
3 that all required tests had been completed. I also
4 noted the test results had been forwarded to the Risk
5 Assessment Division for review and disposition.

6 A table is provided under the TSCA section of
7 the EPA's website showing the results of the two
8 relevant tests.

9 In the last couple of days we received
10 confirmation from U.S. EPA that, in fact, all the
11 required tests had been received, had been evaluated and
12 had been accepted. So as far as EPA is concerned, all
13 the required testing has been done.

14 So the only question that remains is -- given
15 the wording of the statute that this is relevant to
16 chemicals that are required by state or federal law to
17 have been tested for potential to cause cancer or
18 reproductive toxicity but that the state's qualified
19 experts have not found to be adequately tested as
20 required, the question now is: What would the
21 committee's desire be in terms of determining that the
22 testing that the EPA has accepted is actually adequate?

23 DR. ROBERTS: I had a question, Jim. I'm
24 sorry. I'm still a little bit confused. If EPA
25 considers it adequately tested for their purposes, are

1 we -- that means our purposes?

2 The reason I'm asking is that what they
3 conducted was a reproduction screening, and the purpose
4 of that screening is not to say if the material is or is
5 not a reproductive toxicant. Its purpose is to indicate
6 whether or not it should be a high priority for a full
7 guideline type of study.

8 So it's, to me, inadequate to say it's been
9 thoroughly evaluated for reproductive toxicity. It may
10 be adequately tested for the purposes of this list --

11 MS. HECK: Let me see if I can take a stab at
12 it.

13 The end of the phrase is "adequately tested as
14 required." So you don't have to weigh in with, we know
15 everything we need to know about the compound, but
16 whether or not the legally required tests have been
17 concluded.

18 CHAIRWOMAN BURK: We have one public comment.
19 Ron Shiotsuka from Bayer Corporation.

20 DR. SHIOTSUKA: I'd just like to offer a few
21 comments in support of removal of HDI from Section
22 14000(c). Next slide, please.

23 I'm Ron Shiotsuka, toxicologist for Bayer
24 Corporation. I'm speaking today on behalf of the
25 American Chemistry Council's hexamethylene diisocyanate

1 panel. I'll try not to reiterate what was already
2 presented by staff.

3 The HDI panel made the following request that
4 the Section 14000(c) list of chemicals for which EPA has
5 already required testing under TSCA Section 4.

6 HDI was listed for, as said earlier,
7 reproductive toxicity screening and teratology testing.
8 Testing has been completed. No reproductive or
9 developmental effects were identified. I'll go into a
10 little bit more detail on that in my subsequent slides.
11 Therefore, we request that HDI should be deleted from
12 Section 14000(c). Next, please.

13 The testing. EPA proposed HDI based on an
14 exposure finding but not a hazard finding. That was the
15 basis for their request for testing. The panel members
16 entered into an enforceable consent agreement in 1997.

17 Testing included the studies that were already
18 mentioned. There were two studies. Testing was
19 completed in 1999. Journal articles were published from
20 these studies in 2000 and hard copies were submitted to
21 the committee in the original request from ACC for
22 de-listing.

23 I'll briefly go over the two studies. The
24 reference for the publication is shown there.
25 The study was the standard developmental toxicity study

1 by inhalation exposure. It's a GLP study.

2 Sprague-Dawley rats were used, 30 females per
3 group. Test concentrations are shown there. The 0
4 means air exposure group. Three exposure concentrations
5 to HDI. The HDI tested was analyzed and found to be
6 99.7 in terms of purity.

7 The exposure regimen was six hours per day daily
8 for days zero through 19 day of gestation. Day 0 in
9 this case was the day there were found to be sperm
10 positive. Exposures were by inhalation.

11 The results. Maternal toxicity was evident at
12 the mid and high concentrations. This was based
13 primarily on the histopathological lesions in the nasal
14 turbinates.

15 The respiratory tract has been determined
16 through a series of other studies. The subchronic,
17 chronic inhalation toxicity studies of HDI that the
18 respiratory tract is clearly the target organ, it's a
19 portal entry effect. And here, too, we saw evidence of
20 acutely irritating effects of HDI. We saw hyperplasia.
21 Most significantly we saw degeneration of the olfactory
22 epithelium.

23 In a publication in reviewing the findings of
24 the chronic study -- and I'll show you the reference in
25 a minute -- Foreman and others concluded that

1 degeneration of the olfactory epithelium is clearly an
2 adverse effect.

3 It was based on the relationship between
4 exposure concentration and incidence of that lesion and
5 severity of that lesion, and the lesion certainly is not
6 a reversible effect.

7 So that lesion, a degeneration of olfactory
8 epithelium which we saw here, too, at the mid and high
9 doses, was considered an adverse effect.

10 Also, in this study there was a statistically
11 lower body weight at the high concentration of .3 ppm.
12 There were no compound-related effects on reproductive
13 parameters, embryonic endpoints including pre- and
14 post-implantation loss and resorption, no effects on
15 litter size, number of fetuses per implantation site,
16 fetal or placental weights.

17 There were no compound-related effects on fetal
18 external, visceral or skeletal findings. There were no
19 compound-related effects on fetal or litter incidence or
20 total malformation or variations.

21 Therefore, the conclusion is there is no
22 evidence of developmental toxicity or teratology based
23 on this study. Next slide, please.

24 This is a study where the reproductive toxicity
25 was screened, and the reference shown there, you have a

1 hard copy of that reference.

2 The test was conducted according to OECB
3 guideline 422. It's a GLP study. Sprague-Dawley rats
4 were again used, 15 per sex per group, three test
5 concentrations, including the air exposure control.
6 Again, the same batch of HDI was used for this study as
7 was used for the developmental toxicity study. It was
8 analyzed to be 99.7 percent pure.

9 Exposure regimen is shown here. Six hours per
10 day daily for two weeks of premating. Exposure
11 continued during the mating phase and through gestation
12 to day 19. Exposures were by inhalation exposure.

13 The findings. Maternal toxicity, again, the
14 same lesions in the nasal turbinates were observed here
15 at the mid and high concentrations.

16 In addition, statistically significant lower
17 body weight was observed for females, and 6.5 percent is
18 a difference between the high concentration and the
19 control group.

20 Reproductive and liver parameters. There
21 were no compound-related effects on mating, fertility or
22 gestational indices. There were no compound-related
23 effects on liver size, mean pup weights, gender
24 distribution, nor on live birth.

25 There were no histopathologic findings in male

1 or female reproductive organs. In conclusion, no
2 compound-related effects on reproduction, gestation or
3 early neonatal development. Next, please.

4 There is an additional study, and this is the
5 chronic toxicity study, again, by inhalation. The first
6 reference is that of the final report from the study.
7 The second reference there by Foreman et al. used the
8 study that -- or was the manuscript that I referred to
9 earlier where the non-neoplastic lesions from the
10 chronic study was evaluated for any adverse effect.

11 I have a hard copy of that which I don't think
12 we have forwarded to the committee, but I will leave
13 with the committee.

14 In this chronic study, Fisher 344 rats were
15 used, 60 rats per sex per group. Test concentrations,
16 as you see there, are 0, .005, and the high
17 concentration of .16 ppm, slightly lower than the .3
18 concentration used in the other studies that was
19 mentioned earlier. Exposure regimen, six hours per day
20 five days per week for two years. Again, inhalation
21 exposure. Next slide, please.

22 Results. There was a slight weight loss of
23 about five percent and anemia in the females. Again,
24 the primary site of compound-related lesions was the
25 respiratory tract. I already described those lesions,

1 so I won't go into that again.

2 Reproductive system. There were no
3 compound-related changes in organ weights or histopathic
4 lesions for males or females. Therefore, we conclude
5 that this provides supportive evidence for the absence
6 of HDI-related effects on the reproductive system even
7 after chronic exposures. Next, please.

8 EPA status. This was described by the staff
9 presentation, I think, and I will not go into the
10 details here. It's consistent with what you heard
11 earlier.

12 The last two points is that EPA's results showed
13 no reproductive or developmental effects observed. EPA
14 listed the status of HDI testing as closed.

15 Conclusion. HDI should be deleted from Section
16 14000(c); that is, to maintain accuracy, that EPA no
17 longer requires testing, no further EPA action is
18 anticipated, results indicate HDI does not pose a
19 reproductive or developmental toxicity hazard.

20 Thank you.

21 CHAIRWOMAN BURK: Thank you.

22 Were there any questions for Dr. Shiotsuka?

23 Colleen, how do you want us to proceed?

24 MS. HECK: I'll let Jim weigh in as well.

25 Again, you can have extended or limited discussion, as

1 you please. At some point, it is an action item to poll
2 the committee and see if they are comfortable removing
3 the chemical from the list. So the three options are to
4 keep it on, take it off or decide that you don't have
5 enough information to act one way or the other.

6 CHAIRWOMAN BURK: Jim, do you agree?

7 DR. DONALD: I don't have anything further.

8 CHAIRWOMAN BURK: Discussions by the committee?

9 DR. ROBERTS: It's not really discussion. It's
10 just a comment. In this case, the dose levels in both
11 studies are limited by the localized effect -- the
12 respiratory effect. It's one of those cases where we
13 probably could not get up to a systemic toxicity level.
14 You see that for humane reasons in animal research.

15 I did want to take a slight issue with the last
16 statement of the presenter that it's been shown not to
17 be a developmental reproductive hazard. Because it's a
18 repro screen, it has not been show to be a reproductive
19 hazard.

20 CHAIRWOMAN BURK: I agree. We're not determining
21 whether it is or isn't. All we're determining is
22 whether it has been adequately tested and meets the
23 criteria for being removed from Section 14000. If
24 anyone wanted to put it on our list of chemicals to
25 consider later, we certainly could.

1 Let's make a motion, I guess, that we remove
2 1,6-Hexamethylene diisocyanate from the Section 14000
3 list of chemicals that have not been adequately tested.

4 All those in favor of removing it from that
5 list, raise your hands. It's seems to be unanimous.

6 All right. The next agenda item is item VI, a
7 discussion item. This is a request by the Natural
8 Resources Defense Council to reconsider the National
9 Toxicology Program as an authoritative body, and Dr.
10 Denton will speak on that first.

11 DR. DENTON: This item is on the committee's
12 agenda because on November 26 I received a letter from
13 Gina Solomon of NRDC in which -- the letter states that
14 she would like to petition us, or me, to add this item
15 to the agenda.

16 We're bringing it to you not only because it was
17 petitioned we put this on the agenda, but because we're
18 looking for a directive that the committee wants to take
19 on this.

20 Essentially, just to remind you, in 1998, the
21 National Toxicology Program establish the Center for
22 Evaluation of Risks to Human Reproduction. At a July
23 1998 meeting, this committee reconsidered NTP along with
24 other authoritative bodies for either retaining NTP as
25 an authoritative body or not retaining NTP as an

1 authoritative body.

2 As you know, the authoritative body provision is
3 another way that chemicals get on the Proposition 65
4 list, and it's this committee's authority,
5 responsibility to designate which bodies are considered
6 authoritative bodies for that purpose.

7 During that discussion in July, it was brought
8 to your attention that NTP was probably designated as an
9 authoritative body because of its expertise in cancer
10 identification.

11 At that time, this committee decided to remove
12 NTP as an authoritative body -- or de-designate NTP as
13 an authoritative body for repro toxicity until such time
14 as the Center for Evaluation of Risks to Human
15 Reproduction was operational. When that Center was
16 operational, then you would reconsider the designation
17 of NTP as an authoritative body.

18 Thus, we come to this letter from Dr. Solomon
19 requesting now that the committee consider the
20 designation or consider re-designating NTP as an
21 authoritative body based upon this Center.

22 In looking at this, OEHHA -- we have kept in
23 close contact with where this Center is because we were
24 directed by this committee to keep informed about the
25 Center and to bring it back for your consideration.

1 The Center is up. I guess it's just the
2 terminology of the term operational. We were, I guess,
3 giving the Center enough time to have a body of
4 information so we could bring it back to you so that you
5 would be able to make a judgment whether or not the
6 Center should be an authoritative body or whether NTP
7 should be an authoritative body. Dr. Solomon believes
8 it is time now to bring the Center back.

9 I think there are two things before the
10 committee. First of all, whether or not you would like
11 to consider at your next meeting, this Center or even
12 NTP as a whole as an authoritative body.

13 And secondly, if you do want that put on the
14 agenda, what kind of information would you like to be
15 brought before you to make sure you deliberate and make
16 sure you have enough information to make that decision.

17 Also, it goes without saying, but I will say it,
18 that this decision there are many individuals,
19 associations, people that are interested in your opinion
20 and your designation of this or not as an authoritative
21 body. So we would need to do a public process and
22 solicit public input on this proposal.

23 So with that, I would like -- and with your
24 approval, I would like George or Mari just to give a
25 quick update where the Center is, perhaps to aid you in

1 deciding whether or not you believe it's time now to
2 reconsider this for designation; and, if so, what
3 information you would like to see at the next committee
4 meeting.

5 DR. GOLUB: I can speak a little bit from my
6 experience because I was on the first of the committees
7 that met.

8 So the process involved was, first of all, the
9 program had to be established within the agency and a
10 structure was created for the program. And secondly, a
11 contract was let to an outside vendor to support the
12 committee meetings. A process was also established to
13 nominate chemicals and prioritize them for consideration
14 by the committee. So the CERHR is basically a panel of
15 experts that's convened, a different panel for each
16 chemical.

17 And so far one panel has been convened and
18 completed its process, which was to review the
19 information on, I believe it was, five phthalate agents,
20 to produce review documents and to produce a
21 conclusionary statement about the developmental and
22 reproductive toxicity of these agents.

23 A second panel has been created and has met
24 concerning methanol. The draft document has been
25 produced but not finalized.

1 A third panel has been selected but has not yet
2 met, and I don't recall what the chemical that they're
3 going to be considering is.

4 So the process has been established. It has
5 been gone through completely once, and there are two
6 other reviews underway. I think that is the current
7 status of the Center.

8 It has a website. They're trying very hard to
9 have complete transparency and accountability. So the
10 website does tell you exactly where they are with the
11 process for all the chemicals. It also contains the
12 documents that they've produced and the conclusions that
13 they've reached.

14 Are there any questions that I can answer on
15 that?

16 CHAIRWOMAN BURK: One question. The documents
17 that come out, there's a conclusion, and is it in a
18 particular format that would be consistent from one
19 document to another?

20 DR. GOLUB: There's no formula that's part of
21 the process. I don't know if that will evolve. In the
22 panel that I was on where the phthalates were
23 considered, we did try to frame the conclusions on each
24 of the phthalates in a similar manner in terms of a
25 level of concern. So it would be low concern, medium

1 concern or high concern.

2 I don't know if that has been picked up or will
3 be picked up by later panels, but it isn't specified in
4 the process. They don't get a script to read like you
5 do to convey their conclusion.

6 But it is intended that the panel will reach a
7 conclusion that will be helpful for public health
8 decision making.

9 CHAIRWOMAN BURK: And it would be something that
10 could translate into Prop 65 terms? Because, you know,
11 if you just have low, medium, high, we'd have to decide.

12 DR. GOLUB: I think in all of the authoritative
13 bodies a judgment has to be made whether it's suitable
14 for Prop 65 on a case-by-case basis. So that always has
15 to be done one chemical at a time.

16 DR. DONALD: Just as point of information, the
17 other chemicals are 1-Bromopropane and 2-Bromopropane,
18 and actually that committee has met and there is an
19 initial draft of each of those documents available at
20 the website.

21 DR. DENTON: Also, I forgot to mention that we
22 received a letter on Friday from the Commonwealth group,
23 and they essentially support the listing of NTP as an
24 authoritative body and would like the DART committee to
25 act quickly so that California could benefit from the

1 work. They essentially sent a letter that is
2 essentially along the same lines.

3 DR. JONES: Mari, what's their motivation for
4 doing this?

5 DR. GOLUB: I believe that there are several
6 public health issues where they thought that this kind
7 of an expert review would be helpful at the federal
8 level and that the National Toxicology Program was an
9 appropriate place for it to come forward.

10 I think it has to do with concern about
11 reproductive health in the public health community and
12 the need for guidance at the federal level or the desire
13 to provide it.

14 DR. JONES: Could you give at least me just a
15 thumbnail sketch of the philosophy and political
16 motivations, et cetera of the Natural Resource Defense
17 Council?

18 DR. GOLUB: I'm sorry. I --

19 DR. DENTON: The individual who was to be here
20 to testify is, unfortunately, not here because of
21 illness. So there is no one who can speak for that
22 group here. What we have before us is the letter.

23 DR. GOLUB: It's an advocacy group, I think,
24 would be fair to say.

25 CHAIRWOMAN BURK: I think it's clear we need to

1 decided whether, one, we want to consider it; and then
2 if we do, we decide what information we want to have.

3 So can I get a consensus whether we want to
4 take this up -- obviously, not right now, but at a
5 future meeting? I see nodding of yes.

6 So the issue would be then what sorts of
7 information would we want to have in order to make our
8 decision.

9 DR. DENTON: If I might just chime in here,
10 too, would the committee want us to consider the
11 re-designation of NTP or the Center within NTP? That's
12 one element here which needs to be also laid out.

13 DR. KEEN: I would like to echo back to the
14 meeting where we initially suggested they should not be
15 considered an authoritative body until this was done
16 because the composition of the committees were not
17 necessarily what was appropriate.

18 So I'm very much in favor of considering this
19 new particular unit as at least being considered as an
20 authoritative body. I would suggest that the rationale
21 for why they were not considered an authoritative body
22 is still in place.

23 DR. SAMUELS: I think there was concern
24 originally that NTP did publish reports on occasion,
25 studies of substances which had reproductive endpoints,

1 but the publication of the report didn't meet our
2 criteria for what an authoritative body was simply
3 because their staff did research.

4 So I agree that -- unless NTP publishes the
5 conclusions as their own, in other words, that that's
6 the next step in the process, then I would like to see
7 two things.

8 One is, I certainly would like to see what the
9 reports look like and a description of the process so
10 that we know high concern is a similar designation to
11 what we consider clearly shown by scientific principles
12 or close to it.

13 Number two, as to whether NTP or the Center
14 itself is the designated body, we need to know, and
15 perhaps we already do know, whether or not NTP will take
16 the statements from these reports and list the chemicals
17 in some fashion as IARC would or as we would. So we
18 need that information. So I agree.

19 CHAIRWOMAN BURK: Any other suggestions for
20 information that we'd like to have.

21 DR. ROBERTS: From an administration of programs
22 point, I would like to have an understanding, since this
23 is contracted out, on what that relationship is in terms
24 of long-term and criteria guidance that any contractor
25 would be having so that we know that the quality of what

1 we might see now from a first contractor is going to be
2 something that will be consistent in the future.

3 DR. MILLER: I think it's important that we keep
4 in mind what the criteria are we use for listing under
5 Prop 65 because our criteria can be quite different from
6 others, such as NTP.

7 I think their program, they're looking at --
8 Mari, correct me if I'm wrong -- they're looking for an
9 evaluative] review of the different chemicals. They're
10 looking at potential risks and deficits, deficiencies in
11 terms of data that's available and whether or not that's
12 clearly shown and whether they're even attempting to say
13 whether something is clearly shown or whether they're
14 putting together a more evaluative document.

15 Would you like to comment on that?

16 DR. GOLUB: I don't think that any of our
17 authoritative bodies make a statement about clearly
18 shown. It's something we have to deal with in trying to
19 use the work that they do, and I think that's the
20 purpose of the law is, to make sure that we're not
21 redoing what has already been done.

22 I don't know if that -- they certainly will not
23 make a statement about clearly shown. They do have a
24 process that they follow. It's very similar to the U.S.
25 EPA in terms of guidelines for evaluating the toxicity

1 studies.

2 The documents that they produce will review the
3 literature, and they typically do contain -- the drafts
4 that I've seen on the phthalate, they do typically
5 contain critique of the study, strengths and weaknesses
6 of the study and so forth.

7 The statements that they make at the end are not
8 going to line up with our process necessarily. For
9 example, I think exposure is more of a concern in their
10 statements than it is here. We don't talk much about
11 exposure, but there is an entire exposure section in
12 their documents, and it is part of the discussion and
13 goes probably into the final statement.

14 So it certainly is not the case that they're
15 going to produce a list of categories that are parallel
16 to Prop 65 so that we can say, yes, it's like ours, or,
17 no, it isn't. It's not going to be that type of an
18 output. At this point, it doesn't look like it.

19 The statements that they make are quite long.
20 There's no fill in the blank type of a format. I
21 imagine it will continue like that. Certainly I can't
22 speak to what the plans are for the future, just what's
23 happened so far.

24 DR. SAMUELS: I guess we have to see more
25 examples. If this program actually does an evaluation

1 of the quality that the staff here does, even if it
2 doesn't make the decision that we would make, it
3 certainly would help us avoid duplication --

4 DR. GOLUB: In the previous use -- I think what
5 you're saying is that we might be able to use the
6 documents for deliberations without the decision. I
7 don't think that's very consistent with the
8 authoritative body process.

9 Maybe Colleen would like to say something about
10 that.

11 MS. HECK: I think Dr. Samuels is on the right
12 track. Any document that would come out of the Center
13 or NTP would have to be compared side by side against
14 our regulation to see if it meets several requirements,
15 the formality and finality and then also scientific
16 sufficiency.

17 The lead agency, OEHHA, then performs that task,
18 and then that becomes a chemical that doesn't take up
19 the committee's time and is not revisited de novo.

20 I am sympathetic to Dr. Miller's concern that
21 what they put out actually could lead to a listing. I
22 think the fairest thing to say at this point is nobody
23 knows for certain what percentage of these will.

24 It appears from the limited information and
25 track record that they have it's certainly well within

1 the realm of possibility that the documents could case
2 by case, I don't want to prejudge, support a potential
3 listing.

4 So that, of course, would be the kinds of things
5 you would want to think about if you did, in fact,
6 entertain re-designating NTP in toto or the Center
7 because I can only assume the committee would not want
8 to identify them only to lead to a null set of
9 documents that would support listing.

10 DR. ROBERTS: I think one of the things that
11 would be nice to see prior to final discussion are the
12 final reports that come out of this program or, in lieu
13 of that, draft reports where available.

14 From a practical side, is looking at this as an
15 authoritative body going to have an impact on the
16 chemicals we are going to review at our next meeting?
17 Is this a resource issue with OEHHA?

18 DR. ALEXEEFF: No, it wouldn't have a negative
19 impact on the chemicals you would be considering. At
20 this point, it doesn't appear there is any overlap.

21 The other point that I --

22 DR. ROBERTS: I'm sorry. What I meant was: Are
23 people who would normally be looking at writing up
24 document such as we have be not looking at chemicals
25 that are going to be brought up and instead are looking

1 at the Center?

2 DR. ALEXEEFF: Apparently, it would not be a
3 substantial impact because we would primarily be
4 supplying you with the reports that they have
5 generated.

6 The real question in my mind is whether there
7 are a sufficient number of reports that you can actually
8 make a fully informed decision that the type of
9 information they have, the type of decisions they make
10 are applicable, at least in some general sense.

11 DR. ROBERTS: From that standpoint, Mari, you
12 have been involved with one of the panels and perhaps
13 having some idea of when we might have our next meeting,
14 have you thought of how many of these reports might be
15 final by our next meeting?

16 DR. GOLUB: I don't know for sure, but I
17 wouldn't doubt -- the first panel did five reports, so
18 in a way they're not all independent for your
19 consideration -- but I wouldn't doubt by the next
20 meeting there would have been three panels that had met
21 and produced at least draft documents.

22 CHAIRWOMAN BURK: Okay. Is there anything
23 further? We hope that will be on the agenda for the
24 next meeting.

25 We're up to item VII, staff updates.

1 MR. ROBERTS: Gary Roberts. If this does reach
2 the committee's agenda at the next meeting, it would be
3 my request that the materials made available to the
4 committee be made available at the same time that the
5 hazard identification documents are made available so
6 that everyone has sufficient time to look at them and
7 possibly prepare comments. That's assuming that the
8 undertaking would be examining the Center. I think a
9 greater lead time would be necessary if the undertaking
10 was examining the NTP as a whole.

11 It's my understanding, in response to Dr.
12 Samuel's question, that the documentation related to the
13 Center's process resides with the Center. There is a
14 final transmittal document that the NTP's Center makes,
15 but it is a transmittal document of the Center. So
16 based on all that I've heard today, I don't see any need
17 to re-examine the NTP as a whole.

18 MS. HECK: Well, that would certainly be up to
19 the committee to --

20 CHAIRWOMAN BURK: I think I heard the same thing
21 that we really weren't ready -- in fact, when we made
22 the decision several years ago, it was we would look at
23 it again in light of the Center. I don't think we ever
24 said we'd look at NTP. Maybe that would come out, but
25 it wouldn't be at the next meeting.

1 If I heard the committee correctly, we just want
2 to look at the Center, we want to look at the documents
3 and these other things that were suggested so we can get
4 an idea of the process and the output.

5 As far as I'm concerned, I don't think it would
6 take a whole meeting to do this. I think that's what
7 Linda was trying to say. We don't want it to get in the
8 way of staff or our time in considering chemicals.

9 DR. DENTON: And we would get the information at
10 the same time to the public as we would send it to the
11 committee.

12 CHAIRWOMAN BURK: Are we ready to move on? I
13 think Cynthia Oshita has a report.

14 MS. OSHITA: I'd like to just take a very few
15 moments to brief the committee members on the status of
16 administrative listings under Proposition 65.

17 Since the DART committee last met in June of
18 2000, OEHHA has administratively added 20 chemicals to
19 the Proposition 65 list. We have added nine as causing
20 reproductive toxicity and ten as causing cancer. One
21 chemical was added as causing both reproductive toxicity
22 and cancer.

23 We have included a complete current list of the
24 chemicals within your meeting binders and have
25 highlighted the newly added chemicals for your ease of

1 reference.

2 In addition to that, we also have several other
3 chemicals for which we have received comments, and these
4 chemicals are still under consideration for
5 administrative listing, and we hope to make final
6 decisions on those in the near future.

7 CHAIRWOMAN BURK: Thank you.

8 Next we have prioritization process/random
9 selection. Colleen Heck.

10 MS. HECK: Again, this is an item that is
11 probably much more of concern to your counterpart
12 committee, the CIC, than yourselves since we have not to
13 date performed any prioritization of chemicals for this
14 committee's review based on our prioritization process
15 and procedure that we employ. We have, however, done so
16 on three occasions for the Carcinogen Identification
17 Committee, most recently in the fall of this year.

18 Very briefly, OEHHA, as the lead agency, has
19 developed a process for prioritization of chemicals
20 since we cannot simultaneously look at all the chemicals
21 that we have not yet addressed. We work on them in a
22 particular fashion, and then they ultimately, if they
23 work through the process, come to the respective
24 committees.

25 The initial component of that prioritization

1 consists of an actual random selection. A challenge was
2 brought to this process by the Chemical Industry
3 Council.

4 The challenge was lodged with the Office of
5 Administrative Law, the state agency who has authority
6 to tell virtually every other state agency that a
7 particular practice they're engaging in is a regulation
8 within the meaning of the law and has to be adopted as
9 such but that it has not been. That was the nature of
10 the challenge filed with the Office of Administrative
11 Law in January of 2000.

12 The Office of Administrative Law informs us that
13 they're about ready to make a decision on that
14 challenge. OEHHA filed a reply indicating that we felt
15 the prioritization process was, indeed, not a regulation
16 and, therefore, not subject to adoption. As such, we
17 anticipate a decision by the end of this month or the
18 first month of next year.

19 The possible outcomes are that the challenge is
20 correct and what we're doing is a regulation and the
21 practice we have in place now, or some other
22 alternative, would need to be adopted as a regulation.

23 The other possible outcome is it's not a
24 regulation and we can continue doing it the way we are
25 doing it or are, in fact, free to change it.

1 So it really hasn't affected this committee
2 much, but there may come a time when we work through the
3 backlog of chemicals for this committee that we actually
4 do engage in prioritization as outlined our policy.

5 Jim Donald, anything to add?

6 DR. DONALD: No.

7 MS. HECK: As for the next item, I'm pleased to
8 say I have nothing to report under Proposition 65
9 litigation and rulings.

10 We've had no final outcomes in the fairly few
11 legal challenges that we do have pending in various
12 parts of the state. So nothing to report under item
13 "C."

14 CHAIRWOMAN BURK: Thank you.

15 Are there any other public comments?

16 Okay. Item VIII, summary of committee actions,
17 closing remarks.

18 Dr. Denton.

19 DR. DENTON: At the committee's meeting today,
20 the committee did not choose to list metribuzin as a
21 chemical known to the state to cause reproductive
22 toxicity.

23 The committee chose not to renew the listing --
24 or not to keep on the list cyclohexanol or 2,4-DP.

25 And for item IV, the consideration of chemicals

1 for possible removal from the list, Section 14000, the
2 committee decided to remove from that list
3 N-methylpyrrolidone -- all the ones in "A" and also in
4 "B."

5 The committee has chosen to put the Center of
6 the National Toxicology Program on their next agenda
7 item. That we will undergo a public process and the
8 committee has given us some direction as far as the
9 information that they would like to see brought before
10 them again. I understand that all of those reports are
11 now on their website.

12 I guess I'm the one to turn it back to you to
13 adjourn the committee or --

14 CHAIRWOMAN BURK: Okay. Do I hear a motion that
15 we adjourn?

16 If there is no further business, then we are
17 adjourned. Thank you all very much for your
18 participation.

19 (Meeting concluded at 1:40 p.m.)

20 ----o0o----

21

22

23

24

25


1 REPORTER'S CERTIFICATE

2 ---o0o---

3
4 STATE OF CALIFORNIA)
5 COUNTY OF SACRAMENTO) ss.

6
7
8 I, PHYLLIS MANK, certify that I was the
9 Official Court Reporter, that I reported in shorthand
10 writing the foregoing proceedings to the best of my
11 ability; that I thereafter caused my shorthand writing
12 to be reduced to typewriting, and the pages numbered 1
13 through 126, inclusive, constitute a complete, true and
14 correct record of said proceedings:

15
16 In witness whereof, I have subscribed this
17 certificate at Sacramento, California, on this 4th day
18 of January, 2002.

19
20
21 
22 PHYLLIS MANK, CSR No. 5093
23
24
25

127