

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT
IDENTIFICATION COMMITTEE

ELIHU HARRIS STATE BUILDING
1515 CLAY STREET
AUDITORIUM
OAKLAND, CALIFORNIA

WEDNESDAY, JULY 15, 2009

10:02 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES

COMMITTEE MEMBERS

Dr. Dorothy T. Burk, Chairperson

Dr. Ellen B. Gold

Dr. Calvin Hobel

Dr. Kenneth L. Jones

Dr. Carl Keen

Dr. Linda G. Roberts

Dr. La Donna White

STAFF

Dr. Joan E. Denton, Director

Mr. Allan Hirsch, Chief Deputy Director

Dr. George Alexeeff, Deputy Director

Ms. Carol Monahan-Cummings, Chief Counsel

Dr. Jim Donald, Chief, Reproductive Toxicology and
Epidemiology Section

Dr. Mari Golub, Staff Toxicologist, Reproductive
Toxicology and Epidemiology Section

Dr. Farla Kaufman, Staff Toxicologist, Reproductive
Toxicology and Epidemiology Section

Dr. Ling-Hong Li, Staff Toxicologist, Reproductive
Toxicology and Epidemiology Section

Dr. Francisco Moran-Messen, Staff Toxicologist,
Reproductive Toxicology and Epidemiology Section

Dr. Lily Wu, Staff Toxicologist, Reproductive Toxicology
and Epidemiology

APPEARANCES CONTINUED

IN ORDER OF APPEARANCE:

Dr. Gina Solomon, Natural Resources Defense Council,
University of California, San Francisco

Dr. Patricia Sutton, University of California, San
Francisco

Ms. Latifat Apatira, Med Student, University of
California, San Francisco

Dr. Fred vom Saal, University of Missouri

Dr. Tracey Woodruff, University of California, San
Francisco

Ms. Susan Forsyth, Intern, Natural Resources Defense
Council

Ms. Caroline Silveira, Grocery Manufacturers Association

Mr. Stan Landfair, American Chemistry Council

Dr. Steven Hentges, American Chemistry Council

Dr. Rochelle W. Tyl, RTI International

Dr. Jay Murray, Murray & Associates

Dr. William C. Hoyle, North American Metal Packaging
Alliance (NAMPA)

Ms. Julie Silas, Health Building Network

Mr. Bill Allayaud, Environmental Working Group

Mr. Luis Cabrales, Coalition for Clean Air

Ms. Caroline Cox, Center for Environmental Health

Ms. Pamela Palitz, Environment California

Ms. Janet Nudelman, Breast Cancer Fund

Ms. Anita Sarah Jackson, MomsRising.org

APPEARANCES CONTINUED

IN ORDER OF APPEARANCE:

Ms. Rivka Gordon, Association of Reproductive Health Professionals

Ms. Elisa Batista, MomsRising.org

Ms. Sophie Noero, Worksafe

Ms. Andria Ventura, Clean Water Action

Dr. Joseph H. Guth, Science & Environmental Health Network

Ms. Nancy Bellen

Ms. Alissa Shaw, Planned Parenthood

Dr. Sarah Janssen, Natural Resources Defense Council

Ms. Gretchen Lee Salter, Breast Cancer Fund

INDEX

PAGE

| | | |
|-----|---|-----|
| I | Welcome and Opening Remarks by Director Denton | 1 |
| II | Consideration of a Chemical as Known to the State to Cause Reproductive Toxicity | |
| | A) Bisphenol A | |
| | Staff Presentation | 4 |
| | Committee Discussion and Q&A | 45 |
| | Public Comments | 50 |
| | Committee Discussion and Q&A | 209 |
| | Committee Decision | 253 |
| III | Staff Updates | |
| | Chemical listings and safe harbor level development by Ms. Oshita | 255 |
| | Proposition 65 litigation by Chief Counsel Monahan-Cummings | 256 |
| IV | Summary of Committee Actions and Closing Remarks by Director Denton | 257 |
| | Adjournment | 259 |
| | Reporter's Certificate | 260 |

1 PROCEEDINGS

2 DIRECTOR DENTON: Good morning, everyone. Can
3 you hear me? I'm not getting a lot of feedback.

4 Good. The microphone is on. My name is Joan
5 Denton, and I'm the director of OEHHA. And I would like
6 to welcome all of you in the audience as well as the panel
7 members and those in the audience on the webcast to the
8 Proposition 65 Developmental and Reproductive Toxicant
9 Identification Committee meeting.

10 Today, we have one large item on the agenda and
11 that's the consideration of Bisphenol A for
12 identification.

13 I have a few -- I want to make a couple of -- I
14 just want to make some introductions and then we have some
15 housekeeping rules that I need to tell you about and then
16 I'm going to turn it over to Dr. Burk who's the chair of
17 the Committee.

18 So let me do my introductions first. On my right
19 is Dr. Linda Roberts and she's a senior toxicologist at
20 the Chevron Research and Technology Company.

21 And then next to Dr. Roberts is Dr. Kenneth
22 Jones, who is professor of the Department of Pediatrics at
23 UC San Diego.

24 And then on my far right is Dr. La Donna White.
25 And she is a clinical faculty physician at the Mercy

1 Family Practice Residency Program.

2 On my immediate left is Dr. Dorothy Burk who is
3 an associate professor at Department of Anatomy at the
4 University of the Pacific, School of Dentistry. Next to
5 Dr. Burk is Dr. Carl Keen, who is a professor at UC Davis
6 in the Department of Nutrition. Then Dr. Ellen Gold who
7 is a professor at the Department of Public Health Services
8 at UC Davis. And then on my far left is Dr. Calvin Hobel
9 who is a vice chair in Obstetrics and Gynecology at
10 Cedars-Sinai Medical Center.

11 So we appreciate all of the panel members being
12 here today. Dr. Hillary Klonoff-Cohen who, as you know,
13 is another member of the Committee, our other member of --
14 last member of the Committee is unable to attend.

15 Then just brief introductions. The individuals,
16 the OEHHA staff at the staff table Dr. Jim Donald, Dr.
17 Mari Golub, Dr. Lily Wu, Dr. Lauren Zeise, Dr. George
18 Alexeeff and Carol Monahan-Cummings who is our counsel.

19 So with that, I just want to give you a few
20 housekeeping items. As is required, if we need to
21 evacuate, there are exits up in the back and they're also
22 in the front in the way that you came in. And then you
23 can exit out the building.

24 The next one is very, very important as is the
25 first, but the second is immediate. Would you please turn

1 off your cell phones and your PDAs. Maybe we could take
2 make a moment and everyone could get their cell phones and
3 turn them off. The reason is that the meeting is being
4 audiocast. And even the presence of your cell phones
5 being on will interfere with the audio recording
6 equipment. So we appreciate it. And we know that it's
7 probably the most difficult thing we will need to do
8 today, but we need to make sure that everyone can hear
9 clearly the proceedings of this meeting.

10 If you're not aware already, the bathrooms are
11 outside and on your right as you go out the doors, they're
12 on the right not very far down.

13 And also as you experience the security in this
14 building is fairly tight. That is if you exit back out
15 again, then you'll have to go through security to get back
16 into the auditorium.

17 This meeting is being recorded and it's audiocast
18 on the Internet, as I mentioned. So when you speak,
19 please be sure that you speak clearly into the microphones
20 and then just to let the panel know that the microphones
21 do not have an on/off switch. Therefore, all of our
22 microphones are on all the time. So just a word there.

23 (Laughter.)

24 DIRECTOR DENTON: Word to the wise.

25 So now it's my pleasure to turn the meeting over

1 to Dr. Dotty Burk who will conduct the Prop 65
2 Developmental and Reproductive Toxicant Identification
3 Committee meeting today.

4 CHAIRPERSON BURK: Good morning, everyone. And
5 thank you very much, Joan.

6 I'm pleased to see so many people here. And
7 although we only have one chemical to consider today, I
8 think it's going to be potentially long, but definitely
9 interesting meeting. So I thank you all ahead of time for
10 your contributions. A large amount of work went into
11 preparing this by the staff, by the public comment,
12 commenters and by the Committee members.

13 So according to our agenda, we will begin with
14 the consideration of a chemical that's known to the State
15 to cause reproductive toxicity and that is Bisphenol A.
16 And the first up will be the staff presentations. And I
17 think Dr. Jim Donald is going to start that off, is that
18 correct?

19 DR. ALEXEEFF: Dr. Burk, I'll start. This is
20 George Alexeeff, Deputy Director for OEHHA. And I thought
21 I'd just make a couple of comments. Actually, a fairly
22 comprehensive presentation today. We have six staff
23 members presenting within their own areas of expertise on
24 this chemical.

25 As you know, there's lots of information. And I

1 thought I'd just give a little bit of why we are bringing
2 this chemical to you today. So as you probably recall, in
3 December of 2004, we adopted a new prioritization process
4 for identifying chemicals to bring before the DART IC as
5 well as the CIC. And in September of 2007, we completed a
6 screening process for prioritization, and BPA was
7 identified as a candidate DART IC.

8 We brought that to the panel in December of 2007.
9 And at that time, the panel recommended that we prepare
10 hazard identification materials for BPA.

11 At the same time, you'll probably know the NTP,
12 the CERHR was also looking at BPA. And there was no date
13 certain as to when they would be completed with their
14 process, so we continued with our process.

15 We requested additional information from the
16 public in January of 2008, which closed in March of 2008.
17 And then we began preparing the document or the materials.

18 So in September of 2008 the NTP had completed its
19 process. But by that time, we were already pretty far
20 along in preparing the materials for this -- the hazard
21 identification materials for this committee. So we felt
22 we should go ahead and complete our material preparation
23 and proceed with the meeting, it had already been on our
24 schedule and such.

25 So that's the reason we brought it before you.

1 And I think you'll find the presentations to be of great
2 interest to you.

3 (Thereupon an overhead presentation was
4 Presented as follows.)

5 DR. DONALD: Good morning. My name is Jim Donald
6 and I'm going to give a brief overview of general
7 information of Bisphenol A. And then the primary authors
8 of the sections on developmental, female and male
9 reproductive toxicity and on the endocrine activity of BPA
10 will make brief presentations.

11 In the interests of time, we will keep the
12 presentations short and general in nature. Please note
13 that the data are diverse and that many endpoints
14 presented were reported to be affected in some studies,
15 but not affected in others.

16 We do not have time to discuss the specifics of
17 the studies, but we will be happy to answer any questions
18 the Committee members may have at the end of the
19 presentation.

20 --o0o--

21 DR. DONALD: Bisphenol A is an organic compound
22 with two phenol functional groups. At ambient
23 temperature, it is a white solid with a mild phenolic odor
24 and it is soluble in water at room temperature.

25 --o0o--

1 DR. DONALD: BPA is produced in very large
2 quantities and is used primarily in the production of
3 polycarbonate plastics and epoxy resins. Polycarbonate
4 plastics are used for a variety of purposes, such as food
5 and drink packaging, including water bottles and baby
6 bottles. Uses of epoxy resins include coatings for food
7 cans and bottle tops, and in dental restorative materials
8 and sealants.

9 --o0o--

10 DR. DONALD: The most common route of BPA
11 exposure in humans is oral. BPA is known to leach from
12 dental composites as well as food containers, such as cans
13 and polycarbonate plastic water bottles. Detectable
14 levels of BPA have been found in the general population.

15 BPA measured as urinary BPA in the 2003/2004
16 National Health and Nutrition Examination Survey, or
17 NHANES, was detected in 92.6 percent of persons greater
18 than or equal to six years of age. Total concentrations
19 range from .4 micrograms per liter to 149 micrograms per
20 liter, with a geometric mean of 2.6 micrograms per liter.

21 Children had significantly higher concentrations
22 than adolescents, who had significantly higher
23 concentrations than adults. In a recent study of 54
24 premature infants in neonatal intensive care units, mean
25 total urinary concentration of free and conjugated BPA was

1 28.6 micrograms per liter, almost ten times higher than
2 concentrations in 6 to 11 year old children measured in
3 NHANES 2003/2004.

4 --o0o--

5 DR. DONALD: BPA is considered to be a chemical
6 of low general toxicity. The limited data available
7 indicate LD50s in excess of 2,000 milligrams per kilogram
8 in laboratory species. One study of inhalation exposure
9 found only slight and transient damage to nasal tract
10 epithelium after six hours of exposure to 170 milligrams
11 per cubic meter, the highest concentration attainable.

12 --o0o--

13 DR. DONALD: The pharmacokinetics of BPA is an
14 important consideration for overall risk assessment, but
15 is relevant to hazard identification, only to the extent
16 that qualitative rather than quantitative differences
17 between experimental animals and humans in relevant
18 parameters can be clearly demonstrated.

19 --o0o--

20 DR. DONALD: BPA is well absorbed after oral
21 exposures, the primary route by which humans are exposed,
22 as well as by intraperitoneal or subcutaneous injection.
23 Many experimental studies have used routes of exposure
24 other than the oral route. A study in rats and non-human
25 primates indicated that BPA is less bioavailable via oral

1 exposure than by subcutaneous, with oral administration of
2 BPA resulting in a lower area under the serum
3 concentration curve than subcutaneous administration.
4 However, a study in three day old CD-1 mice conducted at
5 lower doses reported similar bioavailability of BPA by
6 both subcutaneous and oral routes.

7 --o0o--

8 DR. DONALD: A simplified scheme of Bisphenol A
9 metabolism is depicted in this slide. BPA is
10 predominantly glucuronidated by uridine diphosphate
11 glucuronyl transferase, and BPA glucuronide is the major
12 metabolite. Glucuronidation occurs primarily in the
13 liver, but glucuronyl transferase is also present in
14 numerous other tissues. BPA-glucuronide can also
15 deconjugate back to -- excuse me. BPA-glucuronide can
16 also be deconjugated back to BPA by beta-glucuronidase.
17 Metabolism by cytosolic sulfotransferases in the liver
18 also occurs, resulting in the formation of BPA sulfate.
19 Other minor metabolites of BPA, such as BPA diglucuronide
20 and 5-hydroxy BPA have also been reported.

21 --o0o--

22 DR. DONALD: In rats, most orally administered
23 BPA circulates in plasma as BPA-glucuronide. After
24 subcutaneous administration, most BPA is absorbed into the
25 systemic circulation without being metabolized in the

1 subcutaneous tissue. BPA distributes widely in the body
2 and easily crosses the placenta, resulting in fetal
3 exposures. Although, BPA glucuronide has been reported to
4 cross the placenta less easily. BPA has also been
5 detected in human breast milk.

6 --o0o--

7 DR. DONALD: In humans, BPA-glucuronide is
8 rapidly excreted in urine. A half life of less than six
9 hours has been reported, although recent data suggests it
10 may be longer.

11 BPA-sulfate has been reported as a minor urinary
12 metabolite in humans. Unlike humans, the BPA-glucuronide
13 formed in rats undergoes enterohepatic recirculation so is
14 excreted from the liver via bile into the gastrointestinal
15 tract, cleaved back to BPA and reabsorbed into the blood.

16 This results in slower elimination of BPA and its
17 conjugates compared with humans. Terminal elimination
18 half-lives in rodents are between 20 and 80 hours. The
19 enterohepatic cycling and decreased first pass metabolism
20 of BPA in rats results in higher plasma levels of
21 unconjugated BPA in rats, compared to humans given the
22 same dose.

23 --o0o--

24 DR. DONALD: The influence of route of exposure
25 in BPA has already been covered. Age dependant maturation

1 of regulatory agencies. Additionally, Bisphenol A has
2 attracted the interest of many scientists all over the
3 world with expertise in a variety of areas, leading to a
4 large number of investigator-initiated studies.

5 The dose range studied -- the doses reached from
6 the low microgram per kilogram range in connection with
7 concern about endocrine disruption to the gram per
8 kilogram range, the highest range used in toxicology
9 studies. For reference, the picture illustrates 100
10 milligram per kilogram dose. A teaspoon of Bisphenol A,
11 6,000 milligrams ingested by 132 pound, 60 kilogram woman
12 would be 100 milligram per kilogram dose in the higher end
13 of the range used in toxicology studies.

14 Thus, the lowest dose study would be less than
15 1/100,000 of a teaspoon administered to a person.

16 --o0o--

17 DR. GOLUB: Two recent human studies published in
18 2008 looked at the association between maternal Bisphenol
19 A exposure and pregnancy outcome.

20 One was a cross-sectional study with 40 women and
21 the other a prospective study with 404 women. The primary
22 outcomes were gestational age, weight, length, and head
23 circumference at birth. No significant associations were
24 found.

25 The studies are limited in the first case by a

1 small sample number, and in the second by a low exposure
2 relative to population norms. So the remainder of the
3 presentation will be on studies in rodents.

4 --o0o--

5 DR. GOLUB: In rats and mice, several studies of
6 pregnancy outcome found Bisphenol A effects on offspring
7 viability in the higher dose ranges, as reflected in
8 measures like fetal loss, decreased litter size, and
9 decreased live pups per litter.

10 Offspring weight at term was also lower than
11 controls in some studies. Pregnancy outcome will also be
12 discussed later under female and male reproductive
13 toxicity.

14 --o0o--

15 DR. GOLUB: Gene expression studies asked whether
16 factors controlling gene transcription in the embryo and
17 fetus were affected by Bisphenol A exposure. Most of
18 these studies gave Bisphenol A to the pregnant dam and
19 about half of them used oral dosing.

20 They looked at retinoic acid receptor, aryl
21 hydrocarbon receptor, homeobox genes, all important
22 regulators of development, as well as chromosome
23 abnormalities and epigenetic effects.

24 As is the case for most of the studies I am
25 describing, these studies had multiple endpoints, and at

1 least one effect of Bisphenol A was reported in each of
2 them.

3 --o0o--

4 DR. GOLUB: Bisphenol A like estrogen can
5 influence food intake and weight gain. Studies in this
6 area are currently a focus in the biomedical literature.
7 Five developmental studies found decreased postnatal
8 weights using a higher dose range, with weight mostly
9 measured in the fetal and neonatal period.

10 Four studies found a weight increase in the lower
11 dose range and they usually used a later weight -- age at
12 weighing.

13 --o0o--

14 DR. GOLUB: Of these studies, four involved
15 Bisphenol A administration only during pregnancy. As is
16 the case for most of the studies I am describing,
17 Bisphenol A is commonly administered throughout prenatal
18 and postnatal stages of development to examine its
19 toxicological effect. The other five studies used this
20 approach.

21 --o0o--

22 DR. GOLUB: Postnatal immune function there are
23 four studies in the lower dose range all in mice, all with
24 oral administration. This is a recent area of interest in
25 the literature. In immune challenge experiments,

1 Bisphenol A increased the immune response, according to
2 several measures such as inflammation, cytokine
3 production, cell proliferation, and antibody production.

4 In two studies, Bisphenol A led to decreased
5 suppressor T-cells, which is consistent with the enhanced
6 immune response. These effects are similar to those of
7 estrogen and to Bisphenol A on the immune response in
8 adult mice.

9 --o0o--

10 DR. GOLUB: These studies looked at brain and
11 behavioral endpoints known to be influenced by
12 developmental estrogen exposure. The size and number and
13 types of neurons in different brain regions, that are
14 sexually differentiated, showed selective Bisphenol A
15 effects. As regards behavior, some aspects of mating
16 behavior and juvenile social interaction were influenced
17 by developmental Bisphenol A., and there was a more
18 general depression of maternal behavior.

19 Some measures of sex-differentiated non-social
20 behaviors also showed effects, generally those reflecting
21 affective and exploratory behavior. Spatial learning and
22 memory, a sex-differentiated behavior in humans as well as
23 in rodents was affected in one study.

24 This graphic, over to the side, illustrates that
25 lack of sex differentiation can be -- can have several

1 forms in experiments. This bar graph shows a situation
2 with sex differentiation, with the males in blue having
3 higher values than the females. A lack of sex
4 differentiation could be seen in a study where either the
5 male values were decreased, the female values were
6 increased or both the male and female values were
7 intermediate from their original values in the control
8 group.

9 --o0o--

10 DR. GOLUB: So in summary, there were no
11 associations between Bisphenol A exposure and birth weight
12 or gestation length in two recent human studies. In
13 rodents, effects on offspring viability were reported in
14 the higher dose range, also postnatal growth effects,
15 possibly depending on dose range and the time of
16 evaluation. Immune hypersensitivity was affected and
17 there were changes in aspects of sex differentiation of
18 brain and behavior.

19 --o0o--

20 DR. GOLUB: After we completed our review
21 document, a study of developmental toxicity in non-human
22 primates was published. The 2009 study is from a research
23 group that previously published work on comparative
24 pharmacokinetics of Bisphenol A and effects of Bisphenol A
25 on behavior in rats.

1 Here, pregnant cynomolgous monkeys were exposed
2 to Bisphenol A via an implant that released ten micrograms
3 per kilogram per day equivalent to an oral dose of five
4 milligrams per kilogram per day to rats, based on the
5 investigator's previous pharmacokinetic work.

6 The exposure continued from the first trimester
7 to the estimated time of birth. After birth, videotapes
8 were made of the mother-infant behavior prior to weaning.
9 In statistical analysis of the coded videotapes, Bisphenol
10 A was found to affect clinging to the dam and social
11 exploration, categories of behavior that were both
12 decreased in male Bisphenol A-treated infants and also
13 outward looking, a sex-differentiated variable, which was
14 increased in male Bisphenol A-treated infants.

15 That concludes my part of the presentation.

16 Female reproductive toxicity is next.

17 --o0o--

18 DR. WU: Good morning. My name is Lily Wu. I
19 will present a brief outline of the available data on
20 female reproductive toxicity of Bisphenol A.

21 --o0o--

22 DR. WU: There were seven human studies of female
23 reproductive outcomes, including six cross-sectional
24 studies and one case-control study. Three cross-sectional
25 studies are presented in this slide.

1 The findings include higher levels of Bisphenol A
2 in men than women. Women with polycystic ovarian syndrome
3 had higher Bisphenol A levels than obese healthy women.
4 Positive correlations were seen with certain hormone
5 levels, such as testosterone and androstenedione. And no
6 association was seen between Bisphenol A levels and
7 endocrine-related disorders.

8 --o0o--

9 DR. WU: In the remaining three cross-sectional
10 studies, findings included:

11 Lower Bisphenol A levels in women with
12 endometrial cancer or complex endometrial hyperplasia; no
13 association between Bisphenol A levels and endometriosis;
14 and no association with pubertal status.

15 Lastly, a case-control study reported higher
16 levels of Bisphenol A in women with recurrent
17 miscarriages. Factors limiting the usefulness of these
18 studies in assessing causal association between Bisphenol
19 A and reproductive toxicity include the cross-sectional
20 study design, small sample sizes, single measures of
21 exposure, and lack of consideration of potential
22 confounders.

23 --o0o--

24 DR. WU: Twenty-seven laboratory animal studies
25 were identified that examined uterine parameters after

1 Bisphenol A treatment. Fourteen of these studies were
2 conducted in rats and 13 in mice. These studies used oral
3 dosing or subcutaneous injection as routes of exposure.
4 The effective doses used in these studies ranged from 250
5 nanograms per kilogram per day to 800 milligrams per
6 kilogram per day.

7 Females were dosed with Bisphenol A at various
8 stages of life, including prenatal, perinatal, and adult
9 stages, also during pregnancy.

10 Commonly reported effects of Bisphenol A on the
11 uterus are presented. The numbers in parentheses
12 represent the number of studies that identified changes in
13 these parameters. Not all studies that examined these
14 parameters reported effects.

15 Parentheses located next to the parameters on
16 subsequent slides will continue to represent the number of
17 studies that identify effects.

18 Uterine weight increased in females that were
19 treated with approximately 1.2 to 800 milligrams per
20 kilogram per day, at approximately three weeks of age and
21 older.

22 Uterine weight decreased in females dosed with .1
23 to four milligrams per kilogram per day prenatally and
24 perinatally. Changes in uterine cell morphology, such as
25 height and volume, were noted with Bisphenol A doses of

1 250 nanograms per kilogram per day to 50 milligrams per
2 kilogram per day. And, altered uterine gene and protein
3 expression were noted in females treated with .5 to 600
4 milligrams per kilogram per day.

5 --o0o--

6 DR. WU: Six studies were identified that
7 examined the ovary. Two of these studies were conducted
8 in rats and four in mice. Bisphenol A was administered
9 orally or by subcutaneous injection. The effective doses
10 used in these studies, ranged from 1/1000 to approximately
11 700 milligrams per kilogram per day.

12 Asterisks on this and subsequent slides indicate
13 values that OEHHA calculated to maintain unit consistency
14 In this case, zero to nine day old rat pups were given up
15 to four milligrams Bisphenol A per pup per day, which was
16 calculated to be approximately 700 milligrams per kilogram
17 per day.

18 Females were dosed with Bisphenol A at various
19 stages of life, including prenatal, perinatal and adult
20 stages, also during pregnancy.

21 The effects of Bisphenol A treatment on the
22 ovary, included histological alteration, such as cystic
23 ovaries, and neoplastic lesions, when 1/1000 to
24 approximately 700 milligrams per kilogram per day. An
25 increase in ovarian weight was seen when rodents were

1 exposed to .1 milligram per kilogram per day for 28 days
2 as adults and reductions in ovarian weight were seen when
3 rats were exposed to .2 micrograms per kilogram per day to
4 approximately 700 milligrams per kilogram per day,
5 prenatally and perinatally.

6 --o0o--

7 DR. WU: Oocytes are contained within ovarian
8 follicles. The cells of an ovarian follicle include the
9 Oocyte, granulosa cells, and the cells of the internal and
10 external theca layers. Bi-directional communication
11 between the granulosa cells and an oocyte is necessary for
12 oocyte maturation. Granulosa cells regulate the
13 progression of meiosis, while the oocyte orchestrates
14 granulosa cell proliferation, differentiation, and
15 function.

16 Eleven studies were identified that examined the
17 follicle or oocyte. One of these studies was conducted in
18 rats and 10 in mice. Of the 11 studies, six were in vivo
19 studies. Rats and mice were treated with Bisphenol A by
20 oral dosing, subcutaneous injection, or subcutaneous
21 implant. The effective doses examined ranged from 2/100
22 to approximately 700 milligrams per kilogram per day.
23 Asterisks indicate unit conversions by OEHHA to maintain
24 unit consistency.

25 Some observed effects of in vivo Bisphenol A

1 exposure on the follicle and oocyte include perturbation
 2 of the meiotic cycle at a dose of .13 milligrams per
 3 kilogram per day for seven weeks, increased polyploidy and
 4 chromosome misalignment at doses of .04 to .5 milligrams
 5 per kilogram per day, cystic follicles at doses of
 6 approximately 180 to 700 milligrams per kilogram per day,
 7 and disruption of early oogenesis in female offspring,
 8 also known as the grandmaternal effect, which can be more
 9 specifically described as an exposure of a pregnant
 10 female, which affects the oocytes of female offspring from
 11 an exposed dam.

12 All of these effects add to a growing body of
 13 evidence that exposure to Bisphenol A has the potential to
 14 impact at least three different stages of oocyte
 15 development. Those three stages of oocyte development,
 16 include meiotic initiation in the fetal ovary; follicle
 17 formation in the perinatal period; and oocyte growth and
 18 maturation in the adult.

19 --oOo--

20 DR. WU: Five studies were identified that
 21 examined the in vitro effects of Bisphenol A on the
 22 follicle or oocyte. The in vitro dose range examined was
 23 100 picomolar to 43.8 millimolar. Cells were cultured for
 24 various lengths of time from 0 to 12 days. In vitro
 25 effects of Bisphenol A included disruption of the oocyte

1 meiotic cell cycle with exposure concentrations of 10
2 micromolar to 43.8 millimolar Bisphenol A. Increased
3 chromosomal misalignment was evident with a dose of --
4 with a concentration of 43.8 millimolar. Significantly
5 more irregular calcium oscillation patterns were seen in
6 oocytes exposed at 100 micromolar concentration of
7 Bisphenol A compared with controls.

8 Although, oocytes tended to show a frequency of
9 more irregular calcium oscillation patterns in
10 concentrations of Bisphenol A, as low as ten nanomolar.
11 And, decreased granulosa cell viability was seen with
12 Bisphenol A concentrations of 100 picomolar to 100
13 micromolar.

14 --o0o--

15 DR. WU: Eleven laboratory rodent studies were
16 identified that examined estrous cyclicity. Eight of
17 these studies were conducted in rats and three in mice.
18 These studies used oral dosing or subcutaneous injection
19 as routes of exposure. The effective doses used in these
20 studies range from 0.2 micrograms per kilogram per day to
21 approximately 700 milligrams per kilogram per day, which
22 the asterisk indicates was calculated by OEHHA. Females
23 were dosed with Bisphenol A at various stages of life,
24 including in utero, perinatal, and postnatal periods.

25 Alterations to estrous cycles attributed to

1 Bisphenol A exposure during the perinatal period, included
2 an extended diestrus phase or estrus phase. Cumulatively,
3 this resulted in an altered estrus pattern, with exposures
4 of 20 micrograms per kilogram per day to approximately 700
5 milligrams per kilogram per day.

6 Estrous cycle lengths were also altered by 50 to
7 100 milligrams per kilogram per day exposure. The cycle
8 lengths tended to be longer. Finally, exposure of dams to
9 20 micrograms per kilogram per day resulted in female
10 offspring having an earlier onset or being a younger age
11 at the first estrus cycle compared to controls.

12 --o0o--

13 DR. WU: Fertility is a parameter that is an
14 indicator of reproductive performance. Some
15 multi-generation and continuous breeding studies report
16 effects on fertility. The studies of this nature reviewed
17 by OEHHA showed general trends of reduced female fertility
18 as a result of Bisphenol A treatment. The primary route
19 of Bisphenol A exposure in these studies was by diet.
20 Most rodents were exposed during pre-breeding, mating,
21 gestation, lactation, weaning and post-weaning periods. A
22 reduced trend in the number of total pups per litter was
23 observed in two and three multi-generation studies.

24 A reduction in the live birth index was also seen
25 in the two-generation CD-1 mouse study, and a reduced

1 trend in the number of live pups per litter in a
2 three-generation Sprague-Dawley rate study.

3 --o0o--

4 DR. WU: Sixteen studies were identified that
5 examined the vagina after exposure to Bisphenol A. Nine
6 of these studies were conducted in rats, and seven in
7 mice. These studies used oral dosing, subcutaneous
8 injection or implant or intraperitoneal injection as
9 routes of exposures. The effective doses used in these
10 studies ranged from 250 nanograms per kilogram per day to
11 800 milligrams per kilogram per day. Females were dosed
12 with Bisphenol A at various stages of life, including
13 prenatal, perinatal, and postnatal stages.

14 Effects on the vagina included alterations in
15 vaginal epithelial cell morphological differentiation,
16 stratification, and cornification, as well as an increase
17 in the number of epithelial cell layers. Age at vaginal
18 opening, which is generally accepted as a signal of the
19 onset of puberty in rodents, was also affected. Female
20 rats exposed to 2/100 to 800 milligrams per kilogram per
21 day prenatally and perinatally, via subcutaneous injection
22 or subcutaneous implant, exhibited vaginal opening at a
23 younger age compared with control rats.

24 Pregnant rats exposed to 1/10 to 500 milligrams
25 per kilogram per day via oral gavage had female offspring

1 that exhibited vaginal opening at a older age compared
2 with control rats. Vaginal weight was also reduced among
3 female offspring who were exposed to 250 nanograms per
4 kilogram per day from Gestation Day 9 to Postnatal Day 4.

5 --o0o--

6 DR. WU: Eleven studies were identified that
7 examined the mammary gland after exposure to Bisphenol A.
8 Four of these studies were conducted in rats and seven in
9 mice. These studies used oral dosing, subcutaneous
10 injection or subcutaneous implants as routes of exposure.
11 The effective doses used in these studies ranged from 250
12 nanograms per kilogram per day to 54 milligrams per
13 kilogram per day. Females were dosed with Bisphenol A at
14 various stages of life, including prenatal, perinatal, and
15 juvenile stages.

16 The effects of Bisphenol A treatment on the
17 mammary gland included acceleration of the epithelial cell
18 cycle. Cell cycle alteration in the mammary gland is
19 typically associated with carcinogenesis. In female mice
20 treated with 250 nanograms per kilogram prenatally and
21 perinatally, the number of terminal end buds significantly
22 increased, compared with controls. Exposure of CD-1 mice
23 to 25 nanograms per kilogram on Gestation Day 8 to 18
24 promoted maturation of cells comprising the fat pad and
25 altered localization of collagen.

1 Lastly, mouse dams given one percent Bisphenol A
2 in rodent chow, which the authors state is equivalent to
3 1,000 milligrams per kilogram per day, had reduced
4 prolactin levels, and offspring from these dams also
5 weighed significantly less compared with controls.

6 Prolactin is a hormone known to positively
7 regulate the secretion of breast milk. These two
8 endpoints taken today indicate insufficient milk was
9 produced for the mouse pups of these dams.

10 --o0o--

11 DR. WU: Details of the effects of Bisphenol A on
12 certain maternal endpoints upon were discussed in the
13 developmental section. However, some endpoints are
14 relevant to female reproductive endpoints as well, such as
15 behavior and maternal fetal transfer.

16 Bisphenol A appears to affect maternal behavior,
17 specifically a reduced duration of frequency and frequency
18 of licking and grooming, anogenital licking and the
19 arched-back posture. There is also reduced nursing
20 behavior among Bisphenol A exposed dams. Evidence from
21 pharmacokinetic studies also suggest Bisphenol A is
22 readily transferred to the fetus via the follicular fluid,
23 placenta, amniotic fluid, and milk.

24 --o0o--

25 DR. WU: In closing, limited data on reproductive

1 effects of Bisphenol A in women were identified. The
2 recurrence of miscarriage in women is possibly consistent
3 with the perturbation of the meiotic cell cycle and the
4 chromosome misalignment in oocytes noted in laboratory
5 animals. Numerous female animal studies showed effects on
6 the female reproductive system from Bisphenol A.
7 Alterations to the uterus, ovary, follicles and oocytes,
8 estrous cycle, vagina and mammary gland were notable.

9 Dr. Li will now present the male data.

10 --o0o--

11 DR. LI: Good morning. My name is Ling-Hong Li.
12 I will present a brief outline of the data available on
13 the male reproductive toxicity of Bisphenol A.

14 Next.

15 --o0o--

16 DR. LI: This slide reminds all of us the
17 important milestones in the development of the male
18 reproductive system. Development of major male
19 reproductive organs begins in the fetus. In rodents,
20 formation of testis takes place around Gestational Day 10
21 to 12, but the prostate only begins to grow and
22 differentiate after birth.

23 Therefore, different organs at different
24 developmental windows may respond to a toxic insult
25 differently. It is important to keep in mind that the

1 DR. LI: There are a total of about 100 studies
2 in laboratory animals, including studies that used the
3 whole animals in vivo studies and studies that used the in
4 vitro models. Because of the time limit, I will not go
5 through all the numbers on this slide, but would like to
6 point out a few features of this data set.

7 For the studies in in vivo, almost all of them
8 were conducted in rodents, rats or mice. Different
9 studies treated the animals at different stages of the
10 development, that include prenatal, neonatal, perinatal,
11 pubertal, in the adulthood or through a lifetime, such as
12 either RACB studies or multi-gen studies.

13 Almost all the studies focused on one or some of
14 the following organs or endpoints: Reproductive
15 performance, testis, epididymis, seminal vesicles,
16 prostate, sexual maturation and hormonal levels or
17 functions. Except in two multi-generational reproductive
18 studies, not all of the studies assessed all the organs or
19 endpoints.

20 It should be noted that the findings from the
21 studies that treated the animals prenatally should be
22 considered as evidence on the developmental toxicity of
23 BPA under the Proposition 65 program.

24 Next slide.

25 --o0o--

1 DR. LI: There are eight studies evaluated the
2 reproductive performance in animals exposed to BPA as
3 either neonatally or through a lifetime.

4 Two studies that treated the neonatal rats by
5 s.c. injection found no apparent effect on the
6 reproductive performance in the treated animals when they
7 grew into the adulthood. The number of live pups per
8 litter was one of the parameters that were commonly used
9 in other studies. Reduction in the number of live pups
10 was observed in three RACB studies, and the cross-over
11 mating trial study in RACB study found that this effect
12 was at least partly mediated through the male repro
13 effects.

14 One dietary two-generation study found increased
15 still birth, but the increase was not statistically
16 significant when analyzed by the study authors using the
17 pairwise comparison method.

18 The three-generation study found apparent
19 reductions in the number of live pups per litter in F1 and
20 F3 generation, but not in the F2 generation. The
21 reduction was only significant at high doses.

22 Next slide.

23 --o0o--

24 DR. LI: Turn to the BPA effects on the testis.
25 There are more than 60 studies investigated the BPA

1 effects on the testis. The endpoints used in these
2 studies can be largely put into six categories.

3 Organ weight: Among the 48 studies that weighed
4 the testis, nine reported significant reduction, roughly
5 by ten percent, as compared to the concurrent controls.
6 The lowest observed effective doses identified in these
7 nine studies ranged from .002 milligram per kilogram per
8 day in a prenatal study to 600 milligrams per kilogram per
9 day in a two-generation repro study in mice.

10 There are 28 studies included histopathological
11 evaluation, using the method that are routinely used in
12 most GLP or standardized testing studies. Only one study
13 found degenerative changes in the testis with a LOEL of
14 600 milligrams per kilogram per day.

15 Sperm parameters include testicular spermatid
16 head counts, and analysis of epididymal sperms. Among the
17 26 studies that evaluated the sperm, ten reported either
18 reductions in sperm count or sperm motility or both. The
19 LOELs found in these studies ranged from .02 to 875
20 milligrams per kilogram per day. As you can see from this
21 slide, the results on these three categories of endpoints
22 from different studies vary largely.

23 Some of the studies on this slide were conducted
24 in GLP labs. Others were from academic research labs.
25 All of them had been already published in peer-reviewed

1 scientific journals.

2 Next.

3 --o0o--

4 DR. LI: There are also many studies examined the
5 endpoints that were not routinely used in GLP or guideline
6 studies. There are 12 studies that conducted quantitative
7 histopathology or ultrastructural evaluation of the
8 testicular tissue. Ten of these 12 studies found abnormal
9 changes in the testis.

10 Similarly, six studies evaluated the testis by
11 immunostaining for structural or functional proteins, and
12 five of them reported BPA-induced changes that were not
13 seen in the concurrent controls.

14 Using biochemical analysis including gene
15 expression analysis, six out of seven studies reported
16 abnormal changes resulting from BPA exposure. As you can
17 see from this slide, most of these studies consistently
18 reported abnormal changes after BPA treatment at doses as
19 low as .02 milligrams per kilogram per day. It should be
20 noted that most of these studies were conducted in
21 academic research labs, but all of them have been
22 published in peer-reviewed journals.

23 Next.

24 --o0o--

25 DR. LI: In addition to the studies in vivo,

1 there are also nine in vitro studies, including four on
2 Sertoli cells and five on Leydig cells. Except for one
3 study that found no apparent effects of BPA on cultured
4 Leydig cells, all other studies reported alterations in
5 cell viability, cellular function, or expression of
6 certain genes in cultured Sertoli cells or Leydig cells.

7 Next slide.

8 --o0o--

9 DR. LI: Turn to data available on the prostate.
10 There are a total of 33 in vivo studies, 24 in rats and
11 nine in mice. The animals were treated at different
12 stages of development by oral administration, s.c.
13 injection, or s.c. implants. The doses ranged from .002
14 milligrams per kilogram per day to 1,750 milligrams per
15 kilogram per day.

16 Most studies measured the prostate weights. Some
17 weighed the whole prostate, which consists of three lobes:
18 dorsal, lateral and ventral. Other studies only measured
19 the ventral prostate, as you can see from the slide.

20 A few studies also included histopathological
21 evaluation of the prostate.

22 Next slide.

23 --o0o--

24 DR. LI: Among the 31 studies that weighed the
25 prostate, six studies treated the animals in utero and

1 stimulation, depending on the endpoints used in the study.

2 Next.

3 --o0o--

4 DR. LI: This slide shows an overall picture of
5 the studies that examined the BPA effects on other organs
6 or endpoints. For the epididymis and seminal vesicles,
7 most studies only reported the organ weights with no data
8 on the histopathology.

9 There are 15 studies that evaluated the effects
10 of BPA on sexual maturation, using AGD, age of preputial
11 separation, or nipple retention as endpoints. In
12 addition, there are 22 studies measured blood levels of
13 sex hormones. In general, the studies that found effects
14 on these endpoints also reported effects on the testis or
15 prostate in the same studies. Major findings from many
16 studies on these endpoints are all summarized in the HIM.

17 Next slide.

18 --o0o--

19 DR. LI: To summarize, there is very limited data
20 in humans, but numerous studies in lab animals.

21 With regard to the BPA effects on reproductive
22 performance, the RACB and mutli-gen studies reported
23 reduced number of live pups per litter.

24 On the testis, results on the testis weight,
25 sperm parameters, and routine histopathology from

1 different studies varied from no effect to significant
2 effects.

3 Majority of the studies that used the
4 quantitative pathology or molecular approaches
5 consistently reported BPA-induced effects on the testis.

6 All but one in vitro study reported BPA-induced
7 changes in cultured Sertoli cells or Leydig cells.

8 Next.

9 --oOo--

10 DR. LI: On the prostate: Results on the
11 prostate weight from different studies varied from no
12 effect, increase, or reduction, depending on the doses and
13 exposure time or duration.

14 Histopathological evaluation of the prostate with
15 routine method found no effect.

16 Studies that used the quantitative pathology or
17 immunostaining evaluation consistently reported
18 BPA-induced effects. All in vitro studies reported
19 BPA-induced in the cultured prostate cells.

20 On the other organs or endpoints:

21 Results from different studies varied. Effects
22 on these endpoints are often associated with testicular or
23 prostate effects in the same study.

24 That concludes my presentation. Thank you for
25 your attention.

1 Dr. Moran will report on endocrine activity
2 effects next.

3 --o0o--

4 DR. MORAN: Good morning. My name is Francisco
5 Moran and I will be presenting the endocrine activity of
6 BPA.

7 --o0o--

8 DR. MORAN: I would like to start by reviewing
9 with you the hypothalamic-pituitary-gonad axis. Here is a
10 cartoon that summarizes the endocrinology of reproduction.

11 First we have the players: The hypothalamus, the
12 pituitary, and the gonads. The endocrine system is
13 controlled in part by the nervous system through
14 hypothalamic neurons. These neurons produce gonadotropin
15 releasing hormone, gnRH in our slide, that stimulate the
16 anterior pituitary to release gonadotropins: luteinizing
17 hormone, LH, on follicle stimulating hormone, FSH.

18 Gonadotropins stimulate the gonads to produce the
19 gametes and hormones, such as the steroids, progesterone,
20 estradiol, and testosterone.

21 These hormones could finally reach target organs
22 and close the loop by feedback to the superior centers.

23 --o0o--

24 DR. MORAN: The principal mechanism of action of
25 BPA is through disturbing the endocrine system. There is

1 evidence that BPA is an endocrine disrupting chemical,
2 from now on, EDC.

3 OEHHA identified 35 articles that address one or
4 more of the proposed mechanisms for endocrine disruption
5 of BPA.

6 --o0o--

7 DR. MORAN: This diagram summarizes the general
8 mechanism of hormone action and how an endocrine
9 disrupting chemical could interact with it.

10 Here, we have a generic hormone, delineated by H,
11 outside the cells. The intracellular space is defined by
12 the plasma membrane, pm. Depending on the chemical
13 structure, the hormone could find its receptor, HR, either
14 in the plasma membrane or the intracellular compartment.

15 This interaction of the hormone with the hormone
16 receptor leads to a signal transduction that could be:

17 One, the production of second messengers for a
18 final cytosolic event or;

19 Two, regulation of gene expression.

20 Now, and EDC, that usually mimics the chemical
21 structure of the endogenous hormone may interfere at least
22 at four points marked in the slide.

23 There is some action here.

24 The circulating hormone, one; two, the
25 interaction between the hormone and its hormone receptor,

1 either as plasma membrane or cytosolic; three, the
2 production of cytosolic events, and; four, the regulation
3 of gene expression.

4 In the following slides, I will present a summary
5 of the available data on BPA as an endocrine disrupting
6 chemical.

7 --o0o--

8 DR. MORAN: First, interaction with the estrogen
9 receptor, ER.

10 There were 11 in vitro studies demonstrating that
11 BPA not only binds but also is able to activate the
12 estrogen receptor.

13 There are eight studies using the human estrogen
14 receptor in various cell lines, and three studies on the
15 rat estrogen receptor in both primary culture of anterior
16 pituitary and cell lines.

17 The EC50 of either the reported gene or prolactin
18 production for the pituitary cells is in the low
19 micromolar range, on the right column on the slide.

20 --o0o--

21 DR. MORAN: Second. Interaction with the
22 androgen receptor. Three studies were identified showing
23 that BPA can interact and inhibit the androgen receptor.
24 These studies used cell lines cotransfected with the
25 androgen receptor and a reporter gene. BPA inhibits

1 androgen receptor activity with an IC50 in the low
2 micromolar range, from 1 to 7 micromolars.

3 --o0o--

4 DR. MORAN: Third. Effects on sex hormone
5 binding proteins. Three studies reported interaction of
6 BPA with plasma carrier proteins. One study on human sex
7 hormone-binding globulin showed no competition with
8 dihydrotestosterone, an important androgen.

9 A second study on human sex hormone-binding
10 globulin showed an IC50 of 51 micromolars for testosterone
11 and 13.6 micromolars for estradiol. A third study showed
12 no competition between BPA and estradiol for binding to rat
13 alpha-fetoprotein that is equivalent of the
14 hormone-binding globulin in rodents.

15 --o0o--

16 DR. MORAN: Fourth. Effect of BPA on estrogen
17 receptor-alpha expression.

18 There were four in vivo studies that investigated
19 the effects of BPA on ER-alpha expression. Three of them
20 had oral exposure at various times and one was with a
21 subcutaneous injection.

22 There was an increase in females and a decrease
23 in males at medium and high doses -- or in the estrogen
24 receptor expression.

25 When looking at the hypothalamic nuclei, we have

1 results from no effect to decrease or increase in the
2 estrogen receptor expression, depending on the region of
3 the brain study.

4 --o0o--

5 DR. MORAN: Fifth. The effects of BPA on
6 progesterone receptor.

7 Two in vivo studies in rodents were identified on
8 the effect of BPA on the progesterone receptor. Rats were
9 treated with oral dose from .02 to 800 milligrams per
10 kilogram per day for three days. As a result, we found
11 that BPA increased the progesterone expression and in one
12 of the studies BPA decreased the estradiol stimulated
13 progesterone receptor gene expression.

14 --o0o--

15 DR. MORAN: Sixth. Effects of BPA on steroid
16 production. Three in vitro studies were identified that
17 reported the effects of BPA on progesterone and estradiol
18 production.

19 One, first in pig granulosa cells treated with
20 .01 to 100 micromolars BPA for 72 hours, it was found that
21 progesterone increased at the low dose and decreased at
22 the high dose. BPA also decreased the FSH-stimulated
23 estradiol production.

24 In human granulosa cell lines treated with 20 to
25 100 micromolars BPA for 48 hours, it was asserted that BPA

1 decreased the FSH-stimulated estradiol production.

2 In Sprague-Dawley rats, it was found that BPA at
3 .01 to 1 micromolar for 48 hours increased the
4 progesterone production in theca cells, but close
5 treatment had no effect on the rat granulosa cells.

6 --o0o--

7 DR. MORAN: Seventh. One cytosolic event...
8 Aromatase activity. Three in vitro studies using human
9 cell line, human placenta microsomes and equine purified
10 enzyme treated with BPA from 10 to 1,000 micromolars for
11 15 minutes or 48 hours. In all of the studies it was
12 observed that BPA decreased aromatase activity.

13 --o0o--

14 DR. MORAN: Eight. Other endocrine related BPA
15 effects. BPA alter enzymes and transporter proteins
16 involved in estradiol metabolism; reduce mean
17 concentration, and pulse amplitude and frequency of
18 luteinizing hormone in treated lambs; increase production
19 of prolactin in F344 rats, but not in Sprague-Dawley rats;
20 decrease glucose and increase insulin production in mice
21 associated to estrogen receptor; increase insulin
22 production in mice and mice pancreatic beta-cells
23 associated to a plasma membrane and independent of
24 ER-alpha; antagonize the thyroid hormone receptor from the
25 Sprague-Dawley rat liver; and decrease thyroid

1 hormone-induce activity in an amphibian bioassay.

2 --o0o--

3 DR. MORAN: As a summary, we have that BPA binds
4 and interacts with estrogen receptor, androgen receptor,
5 and sex hormone binding proteins; BPA modulates expression
6 of estrogen and progesterone receptor; BPA increases
7 progesterone and decreases FSH-stimulated estradiol
8 production; Decrease aromatase enzyme expression and alter
9 enzymes and transporter proteins involved in estradiol
10 metabolism; reduce luteinizing hormone concentration;
11 increase production of prolactin; decrease glucose and
12 increase insulin production in mice in dependent and
13 independent to the estrogen receptor; and finally,
14 antagonize the thyroid hormone activity.

15 Thank you.

16 DR. DONALD: That concludes our presentation
17 summarizing the extensive data on the toxicity of BPA, and
18 on a potential mechanism of action. We'd be happy to
19 respond to any questions or if the Committee would like us
20 to expand on any of the information presented, we'd be
21 happy to do so either now or during the course of
22 discussion.

23 Thank you.

24 CHAIRPERSON BURK: Thank you very much. I'll
25 open it to questions from the Committee.

1 None yet.

2 Dr. Jones.

3 COMMITTEE MEMBER JONES: Dr. Li, I'd like to ask
4 just a couple questions of. You didn't mention the in
5 vitro studies in humans, at least I don't think you did,
6 in your discussion.

7 Can you comment about them I'd be particularly
8 interested.

9 Well, maybe you could just comment on those in
10 vitro studies.

11 DR. LI: Just in answer to your question, I'll
12 comment on the human studies -- using humans. In vitro
13 studies in using human tissues. In our literature search
14 we did not find any in vitro studies -- let me go back.
15 There's one study using the human sperm, there are two
16 studies. I think it was summarized in the HIM, but
17 because of a time limit, I did not present it in a slide.

18 COMMITTEE MEMBER JONES: What I'm particularly
19 interested in is this study that relate -- this in vitro
20 study that relates to sperm. It's a study that -- and I
21 got it through your evaluation here in the report. It
22 says that there's no effect on calcium response to 17
23 beta-estradiol or progesterone in spermatozoa from healthy
24 donors, suggesting that BPA does not interact with
25 membrane receptors for either of these compounds.

1 And I'm just interested in that as it relates to
2 the animal data that does show, according to, again, your
3 report, that sperm are decreased in the animal model.

4 DR. LI: I will have to clarify it. It's the
5 human data. Also, Dr. Farla Kaufman was the leading
6 person in evaluating the human data. I was focusing on
7 the animal data, that's one.

8 Number two, the animal studies, I'm not aware of
9 in vitro studies that use the animal sperm, you know,
10 comparable to the human study. If you think about to link
11 the two studies together, you've got to have one study
12 using human sperm and another study using animal sperm,
13 right. I'm not aware of any study that used the animal
14 sperm to look at the similar effects.

15 COMMITTEE MEMBER JONES: So you're saying that
16 they're all in vivo studies in the animals.

17 DR. LI: Right. The in vitro studies I presented
18 were cells, the Sertoli cells or the Leydig cells in the
19 testis, not the sperm.

20 COMMITTEE MEMBER JONES: Okay.

21 DR. LI: I hope I answered your question.

22 COMMITTEE MEMBER JONES: I have one other
23 question.

24 In the studies -- in the reproductive studies,
25 again I'm talking about in the male reproductive studies,

1 it's unclear to me if these studies were looking --
2 whether most of these studies were looking at male or
3 female reproduction when it came under the male
4 reproductive section in your study?

5 In other words, it looked to me as though it was
6 unclear whether they were males or females that were, in
7 fact, responsible as far as the reproductive outcome.

8 DR. LI: So you're asking the reproductive
9 performance endpoint. Basically, there are eight studies,
10 the neonatal ones the males were treated. They were
11 treated pups neonatally, then let the pups grow up in to
12 adulthood, then assess the reproductive fertility or, you
13 know, the reproductive performance. Those studies were
14 clearly male mediated.

15 COMMITTEE MEMBER JONES: They're clearly male
16 mediated.

17 DR. LI: Yeah. Now you have the IECB studies.
18 There's one NTB sponsored IECB study. In that IECB study
19 design, you have a section -- you have Task 3. That's a
20 cross-over mating trial. Basically, you have three
21 groups, control versus control, and a second group is
22 mating the treated male to a control female. Then another
23 group treated female mated to untreated male. So that
24 reduction in number of live pups per litter was observed
25 in the second group, when the males were treated mated to

1 the control female. So that implies at least the
2 reduction is partly mediated through the male repro
3 effect.

4 Now if you go to the multi-gen studies. Both
5 males and the females are treated. It's difficult to say
6 it's a male or it's a female.

7 COMMITTEE MEMBER JONES: Okay. Thank you.

8 CHAIRPERSON BURK: Are there any other questions
9 at this time?

10 I know you'll all be available when we get to our
11 final discussion. So maybe we'll save questions till
12 then.

13 The next thing on the agenda is the public
14 comments. And I don't have any cards yet. Yeah, why
15 don't we take a two, three minute pause for us to review
16 the public commenters and try to come up with a plan for
17 how we're going to approach this.

18 CHIEF COUNSEL MONAHAN-CUMMINGS: Can we make it
19 longer so that the court reporter can have a break.

20 CHAIRPERSON BURK: Okay. Why don't we make it --
21 would you take ten minutes?

22 THE COURT REPORTER: That's great.

23 Okay, ten minutes for the court reporter

24 (Thereupon a recess was taken.)

25 DIRECTOR DENTON: If everyone would take their

1 seat, we're getting ready to go.

2 Once again, if everyone would take their seats,
3 we have quite a few individuals who want to comment on
4 this issue, so we'd like to get started.

5 CHAIRPERSON BURK: Okay. A reminder, please.
6 I've been asked to ask again for those that maybe came
7 late, please turn off your cell phones. Any cell phone
8 will interfere with the microphone systems and we've had a
9 bit of trouble.

10 Okay, as you heard, we have many blue cards,
11 people who have asked to speak. And we want to be fair to
12 all. I think we had told you previously that we would
13 have ten minutes maximum per person. Although, we have
14 some groups. And within the groups, we would allow you to
15 cede time to each other if that works better, so you could
16 have a total time, but we're going to stick to ten minutes
17 per person maximum.

18 We have a little warning system here to make that
19 work so Lauren has a color-coded system. Anyway, if the
20 green is up, it means you can keep going. When the yellow
21 comes up, it means there are ten minutes, this is for a
22 group. Orange will be five minutes. And red will mean
23 stop.

24 So we have one group first. And I would like to
25 call them up and hope maybe they'd come near the front, so

1 they can use their time more effectively. And in that
2 group is Susan Forsyth, RN, Gina Solomon, M.D., Patrice
3 Sutton, also from UCSF, Lahfat Apatira, I think, Fred vom
4 Saal, Tracy Woodruff. Six people, that means one hour
5 total. And we're hopping to complete that one hour before
6 we take our lunch break.

7 So again, please speak into the microphone and
8 please introduce yourself when you speak.

9 Thank you.

10 DR. SOLOMON: I promise we won't take the full
11 hour. My name is Gina Solomon. I'm a senior scientist at
12 the Natural Resources Defense Council. I'm also an
13 Associate Clinical Professor of Medicine at UCSF in the
14 Division Of Occupational and Environmental Medicine.

15 And I just wanted to express my appreciation for
16 the very difficult complicated decision you're facing
17 today for reading through all of the extensive materials
18 in preparation for this meeting. And I'm certainly sure
19 that there's going to be a fair amount of muddying that's
20 going to be happening one way or another with all the
21 public comment from both sides.

22 But rather than sort of getting into that
23 muddying, what I just wanted to do at the beginning was
24 point out one key sort of backstop that I feel like there
25 is in this decision. And that is that the NTP's Center

1 for the Evaluation of Risk to Human Reproduction looked at
2 the evidence of developmental reproductive toxicity for
3 Bisphenol A. That report was finalized actually after
4 this panel had already decided to prioritize BPA and bring
5 it here. Their final report came out nearly a year ago,
6 and their review took place a couple years ago, so
7 obviously there was some new science, I think you'll be
8 hearing about from our next major speaker Dr. Fred vom
9 Saal. But during the interim, the science on BPA has
10 actually become stronger not weaker.

11 CERHR found that there is quote "clear evidence
12 of adverse effects"... -- sorry, we're trying to get, I
13 guess, the slides set up.

14 CERHR found that there's "Clear evidence of
15 adverse effects with high doses of BPA in guideline
16 studies in looking at five developmental outcomes: Fetal
17 death in rats, decreased litter size in rats, decreased
18 number of live pups per litter in rats and mice, reduced
19 growth in rats and mice, and delayed puberty in male and
20 female rats and in male mice."

21 The NTP cited eight studies showing these
22 effects. And there was quite a bit of consistency in the
23 findings. And you heard about many of these studies again
24 today and additional studies as well.

25 The effects were found at fairly high dose

1 levels, but CERHR and also re-reviewed by OEHHA staff, the
2 conclusion was that they're not simply secondary to
3 maternal toxicity. And I actually looked back at the
4 original studies and I was initially confused, because I
5 noticed that many of these studies, and most of the ones
6 we're talking about are the Research Triangle Institute
7 studies by Tyl et al., the study abstracts when you just
8 read those and the conclusions seem to indicate that the
9 developmental effects are only in the setting of maternal
10 toxicity, might not represent true developmental toxicity.

11 And then when you actually go through and you
12 look at the data in the reports, it's actually quite clear
13 that there are effects in the setting of minimal, if any,
14 maternal toxicity in most of those studies. And that's
15 what the CERHR panel based their conclusion of clear
16 evidence of adverse effects on.

17 And so I won't get into the details of why
18 that -- you know, that abstracts might not be totally
19 consistent with the results in the tables or in the
20 studies. But these are -- one of the issues with these
21 high-dose studies is that the estradiol control
22 actually -- so their positive control actually only showed
23 an effect at quite high levels 100 micrograms per kilogram
24 per day of estradiol, which means that the animal model
25 was probably relatively estrogen insensitive. And since

1 Bisphenol A is about a thousands times less Potent than
2 estradiol, you'd sort of predict that the level at which
3 you would have seen effects in these studies based on the
4 positive control would have been in the range of 100
5 milligrams per kilogram per day, which is right where you
6 actually saw the effects.

7 And so in looking at the positive controls in
8 these different studies that actually helps give a sense
9 of whether you'd expect to see an effect at the dose
10 levels that were tested, or at what dose levels you might
11 start to see them.

12 So my basic conclusion here is CERHR looked at
13 these high-dose studies, concluded that there's clear
14 evidence of adverse effects. The language that they use
15 clearly parallels the Prop 65 language, so their criteria
16 were similar to yours. And this panel did recognize CERHR
17 as an authoritative body. So in making your decision,
18 it's just, you know, something that I encourage you to
19 think about. And I very much encourage you to list BPA as
20 a developmental toxicant in its own right, based on the
21 data that's before you and consideration of panels that
22 have come before.

23 Thanks, and I'll cede whatever is remaining of my
24 time to the rest of the speakers.

25 Yes.

1 COMMITTEE MEMBER ROBERTS: You were quoting from
2 the CERHR about the clear evidence?

3 DR. SOLOMON: Yes.

4 COMMITTEE MEMBER ROBERTS: If I can ask, I'm
5 looking at their publication. And in their publication
6 Birth Defects Research Part B page 329, what they have
7 under summary and conclusion of developmental hazards,
8 "There are sufficient data to conclude that Bisphenol A
9 does not cause malformations or birth defects in fetuses,
10 exposed during gestation at levels up to 640 milligrams
11 per kilogram per day rather than the 1,000 milligrams per
12 kilogram per day mice. This is consistent with the lack
13 of malformation seen in offspring of multi-gen. There are
14 sufficient data to conclude that Bisphenol A does not
15 alter male or female fertility in rats after gestational
16 exposure."

17 The next paragraph goes, "There are sufficient
18 data to conclude that Bisphenol A does not change the age
19 of puberty in male or female rats."

20 Next paragraph, "There are sufficient data to
21 conclude that Bisphenol A exposure during development does
22 not permanently affect prostate weight in adult rats or
23 mice. And then the final paragraph, there are sufficient
24 data to suggest that developmental exposures to Bisphenol
25 A causes neural and behavioral alterations related to

1 sexual dimorphism in rats and mice.

2 DR. SOLOMON: Are you -- I'm reading from the
3 final report.

4 COMMITTEE MEMBER ROBERTS: I'm looking at the
5 peer-reviewed publication.

6 DR. SOLOMON: Because, yeah, it's the final -- I
7 was reading from the final report where on page -- I
8 assume that's also in the binder, but --

9 CHAIRPERSON BURK: Yes.

10 COMMITTEE MEMBER ROBERTS: Okay, all right.

11 DR. SOLOMON: And it says on page seven, "The NTP
12 finds that there's clear evidence of adverse developmental
13 effects at quote 'high doses' of Bisphenol A in the form
14 of fetal death, decreased litter size, or decreased number
15 of live pups per litter in rats greater than or equal to
16 500 milligrams per kilogram body weight per day, and mice
17 greater than 875 milligrams per kilogram body weight per
18 day...", et cetera. And there's a paragraph that
19 continues with each endpoint, so that's page seven of the
20 final.

21 There are other -- you know, there's a lot of
22 conclusions as you saw in the CERHR reports on you know,
23 lots of different endpoints. So I was just focusing on
24 the ones where they actually found clear evidence. There
25 were a lot of others where they find either some evidence

1 or no evidence.

2 COMMITTEE MEMBER ROBERTS: Okay, that explains
3 it. Thank you.

4 CHAIRPERSON BURK: Okay, thanks.

5 DR. SUTTON: Hi. Thank you. My name is Patrice
6 Sutton. And I'm a research scientist with the UCSF
7 Program on Reproductive Health and the Environment. And I
8 support the conclusions that Dr. Solomon just made. And
9 I'm going to cede my time, please, to Dr. vom Saal.

10 MS. APATIRA: My name is Latifat Apatira. I'm a
11 fourth year medical student at the University of
12 California, San Francisco. I believe the science supports
13 the listing of BPA and I would like to cede the rest of my
14 time to Dr. vom Saal.

15 DR. vom SAAL: Thank you. It's a pleasure to be
16 here and have an opportunity to present some information
17 about Bisphenol A to you.

18 (Thereupon an overhead presentation was
19 Presented as follows.)

20 DR. vom SAAL: This is a chemical that I've been
21 conducting research that's funded by NIE, Nation Institute
22 of Environmental Health Sciences for about 13 years.

23 Could I have the next slide?

24 --o0o--

25 DR. vom SAAL: The NIEH ran a meeting on

1 Bisphenol A a few years ago and a group of experts got
2 together. Could I have the next slide.

3 --o0o--

4 DR. vom SAAL: And one of the important things
5 that came out of this meeting was that we felt that the
6 data on exposure was really not able to lead to the
7 conclusion that human exposures were primarily, and in
8 particular most people have said virtually all exposure is
9 through food and beverages. We did not feel that the data
10 justified that conclusion, and, in fact, really wondered
11 how many other sources there were.

12 The other thing that there was consensus about
13 and also the FDA Science Advisory Panel reached consensus
14 on this, that there is a very marked difference between
15 fetuses and infants and adults, in terms of metabolism.
16 We all know babies are not little adults in their
17 metabolic capabilities.

18 Could I have the next slide.

19 --o0o--

20 DR. vom SAAL: These predictions of where -- you
21 know, that food would not be the only source of human
22 exposure - it certainly is a major source - was really
23 verified in a recent publication from the University of
24 Rochester Stahlhut et al., which they basically found from
25 thousands of data points in the National Health And

1 doesn't matter. So some of the data I'll present to you
2 is injecting during early development or feeding, because
3 the baby, once it gets into a fetus or a newborn, it
4 really doesn't matter how it got there, because they do
5 not have the metabolic capability of an adult to engage in
6 the more rapid first-pass metabolism.

7 Next slide.

8 --o0o--

9 DR. vom SAAL: So as was pointed out, this has
10 been known for over 70 years to be a synthetic estrogen
11 with full efficacy of estradiol and it acts similar to
12 DES.

13 Next slide.

14 --o0o--

15 DR. vom SAAL: And one of the things that we
16 reviewed a couple of years ago is that if you look at the
17 activity in human and animal cells, they, first of all,
18 are very similar to each other, for both estradiol and
19 BPA. And the activity level in cell culture is below the
20 biologically active level of Bisphenol A, that is found in
21 all of the human studies that have been done, blood levels
22 of unconjugated BPA.

23 Next slide.

24 --o0o--

25 DR. vom SAAL: And one of the important features,

1 and BPA have equivalent potency via this receptor system,
2 unlike the nuclear receptor system where at ER-alpha
3 Bisphenol A is about a thousand fold weaker than
4 estradiol.

5 Next slide.

6 --o0o--

7 DR. vom SAAL: An example of this was published
8 in the Journal of Endocrinology by Zarnosky showing that
9 in terms of stimulating neurons in the cerebellum below a
10 part per trillion, you could see activation of a MAP
11 kinase, one of these enzyme cascade pathways. And you saw
12 that up to through the part per billion range. And then
13 above it -- or part per trillion range, and then above
14 that the effect went away and then started to come back
15 again. You get these very strange non-monotonic functions
16 out of these chemicals at very, very low doses. The
17 low-dose effects are not predicted if you only look at
18 high doses.

19 Next slide.

20 --o0o--

21 DR. vom SAAL: So I want to cover a little more
22 about neural effects.

23 Next slide.

24 --o0o--

25 DR. vom SAAL: And again, I just want to bring

1 back the concept that the rodent is born in a immature
2 state at about 17 to 18 week equivalent of human
3 gestation. And sexual differentiation of the brain and
4 reproductive system is occurring through the first couple
5 of weeks postnatally.

6 Next slide.

7 --o0o--

8 DR. vom SAAL: So it's equivalent to prenatal for
9 the human. One of the areas of greatest interest in
10 research going on in my lab concerns the locus coeruleus
11 and substantia nigra. These are areas that are ascending
12 adrenergic systems that regulate motor behavior.

13 Next slide.

14 --o0o--

15 DR. vom SAAL: And also in the hypothalamus. But
16 one of the things you see is structural changes in the
17 brain, as well as an increase in activity due to fetal
18 exposure and -- or the equivalent of the fetal period to
19 Bisphenol A and the animals are hyperactive, and also show
20 learning deficits somewhat like ADHD.

21 Next slide.

22 --o0o--

23 DR. vom SAAL: And the NTP said there is a high
24 consistency in that Bisphenol A during early fetal life or
25 during fetal life and neonatal life leads to a loss of sex

1 --o0o--

2 DR. vom SAAL: And this is a very recently
3 published paper from monkeys, where the mother was
4 administered just prenatally BPA. And not only did the
5 behavior of the male baby get dramatically changed and
6 feminized, but the behavior of the mother towards the
7 babies also changed.

8 Next slide.

9 --o0o--

10 DR. vom SAAL: And we had previously reported -
11 these were studies done in my lab - that administering a
12 low dose of Bisphenol A to a pregnant female decreased her
13 maternal behavior towards her babies. So the mother is a
14 factor in these kind of outcomes, but they're also direct
15 effects on babies.

16 Next slide.

17 --o0o--

18 DR. vom SAAL: And then this is not a
19 developmental study, but it's kind of interesting, because
20 they're data from rats, and then very recently monkeys -
21 this came out after the NTP report - showing that the
22 brains of animals exposed to BPA look like those of senile
23 adults, because the connections between dendrites and
24 axons are reduced by loss of dendritic spines where the
25 connections occur. And this is something that occurs

1 during senility. This occurs at very low doses.

2 Next slide.

3 --o0o--

4 DR. vom SAAL: Okay. And now I want to cover
5 just a few female reproductive events.

6 Next slide.

7 --o0o--

8 DR. vom SAAL: So this group at Tufts has a whole
9 series of papers they published. And fetal exposure to
10 Bisphenol A causes hyperplasia of the ductile system. And
11 in the terminal end buds, where you find cancer formation,
12 you have genetic changes and structural changes that are
13 indicative of cancer.

14 Next slide.

15 --o0o--

16 DR. vom SAAL: And another group Coral
17 Lamartiniere's at Alabama has now published a couple of
18 papers showing that in rats you've got the same types of
19 outcomes, in terms of structural and genetic changes. And
20 then you also, if you give a cancer-inducing agent, DMBA,
21 you see BPA exposure lactationally or during after-birth,
22 leads to an increased probability of developing tumors.

23 Next slide.

24 --o0o--

25 DR. vom SAAL: And in addition to effects on the

1 In addition -- and the next slide.

2 --o0o--

3 DR. vom SAAL: -- these animals later on went
4 into early reproductive senescence. They ceased cycling
5 at a very young age. You know, well before they would
6 normally -- animals wouldn't begin to show cessation of
7 cycling till 9 to 10 months.

8 Next slide.

9 --o0o--

10 DR. vom SAAL: Okay. And then getting into the
11 male.

12 Next slide.

13 --o0o--

14 DR. vom SAAL: People have talked about the
15 prostate, which develops as buds outgrowing from the
16 urethra, about the 11th week of gestation in human fetus
17 and towards the end of pregnancy in the rodent.

18 Next slide.

19 --o0o--

20 DR. vom SAAL: And looking at one of these buds.
21 And again not using conventional staining techniques,
22 hematoxylin and eosin, but if you use immunostaining for
23 specific cell types and cell proliferation, you can see
24 that the target of ten microgram per kilogram dose of BPA
25 or also .1 microgram per kilogram dose of either

1 ethinylestradiol or DES doubled the rate of proliferation
2 of the basal cells, which are implicated in the
3 development of prostate cancer.

4 And then Ogura showed that in adulthood basically
5 basal cells in these ducts were showing squamous
6 metaplasia. Again, he couldn't reveal that with H&E
7 staining, only with immunostaining for cytokeratins like
8 we did.

9 Next slide.

10 --o0o--

11 DR. vom SAAL: And now we have seen that a
12 hundred percent of these animals, just like Ho has already
13 published, show early-stage prostate cancer, PIN lesions,
14 prostate intraepithelial neoplasia.

15 Next slide.

16 --o0o--

17 DR. vom SAAL: And so what you have is very
18 interesting progression. We and Gupta have shown
19 increase in androgen receptors, which means that these
20 cells are hyper-responsive to androgens.

21 The basal cell, which is the stem cell, is
22 hyperplastic and metaplastic. And then we think is the
23 transition cell into neoplasia.

24 And Ho clearly demonstrated that there are
25 epigenetic changes associated with cancer transition.

1 Next slide.

2 --o0o--

3 DR. vom SAAL: And one important thing is that
4 there were two studies that reported no effects on the
5 prostate, both of them were rejected as inadequate by the
6 CERHR panel, due to the fact that they also showed no
7 effect of DES, the positive control. And so the positive
8 controls, as Dr. Solomon pointed out, are really critical
9 to think about, in terms of evaluating whether a study is
10 a valid study or not.

11 Next slide.

12 --o0o--

13 DR. vom SAAL: Here's an example where Bisphenol
14 A reduces sperm production in vivo in rats. And the
15 question that asked about direct effects on sperm versus
16 in vivo, remember the sperm are connected with cytoplasmic
17 bridges to Sertoli cells and are really under the control
18 of Sertoli cells. So when you take sperm out of that
19 nurse situation, you really see very, very different
20 things.

21 And so, what is interesting about this study is
22 that Ashby tried to do a follow up to it. And I think you
23 can see that what happened in his lab is his control
24 animals were abnormal. And this really suggests that the
25 laboratory was contaminated because the only difference

1 between the two experiments was not in the treated animals
2 but a failed control.

3 Next slide.

4 --o0o--

5 DR. vom SAAL: And many of the negative studies
6 that exist were conducted with a kind of strange rat-bred
7 selectively bred over 50 years by Charles River, called
8 the CD(SD) rat. Jimmy Spearow from the California EPA
9 wrote a White Paper on this or a commentary for the U.S.
10 EPA on this. And virtually all the studies except for
11 this one recent one by Lamartiniere's group showing that
12 they do exhibit cancer. But every other study done with
13 this has failed to see any kind of low-dose effect with
14 this relatively insensitive model.

15 Next slide.

16 --o0o--

17 DR. vom SAAL: So just to cover one last set of
18 things I thought you'd be interested in.

19 Next slide.

20 --o0o--

21 DR. vom SAAL: One of the things that's been seen
22 in a number of studies now is that prenatal exposure to
23 Bisphenol A programs postnatal increase in body weight.

24 Next slide.

25 --o0o--

1 DR. vom SAAL: A study just came out in
2 Environmental Health Perspectives following up on this,
3 and showing that there's a permanent change in gene
4 activity in the white in the abdominal fat pads of mice,
5 due to developmental exposure to Bisphenol A. And these
6 animals that have this abnormal gene activity, had a
7 three-fold increase in abdominal fat, relative to control
8 animals. So there's really a dramatic impact on fat. And
9 these particular genes control lipid up take into the
10 adipocytes.

11 Next slide.

12 --o0o--

13 DR. vom SAAL: And the fact that Bisphenol A can
14 alter epigenetic programming was demonstrated by Dolinoy a
15 couple years ago, where they took a mouse with a
16 retrotransposon, a gene spliced into the animal, that if
17 it's demethylated, and therefore active, then this gene
18 causes obesity and a coat color change.

19 Next slide.

20 --o0o--

21 DR. vom SAAL: What they demonstrated was that
22 Bisphenol A led to a gene where there were no methyl
23 groups available as opposed to the gene being normally
24 silenced by being methylated. So this is a clear example
25 of epigenetic programming and permanent silencing or

1 activation of genes that totally alter the life history of
2 the animal.

3 Next slide.

4 --o0o--

5 DR. vom SAAL: Another critical thing is that at
6 very low doses, you can get permanent programming of the
7 liver enzyme activity. And this particular set of
8 oxidation controlling enzymes is of really tremendous
9 interest due to the next finding.

10 Next slide.

11 --o0o--

12 DR. vom SAAL: If you're aware that the Journal
13 of the American Medical Association published a paper
14 based on the National Health NHANES data set, and found
15 that the same kinds of enzymes were altered as a function
16 of how much Bisphenol A is in the body. And similar to
17 animal data, you see hyperinsulinemia associated with
18 hyperglycemia and insulin resistance.

19 Next slide.

20 --o0o--

21 DR. vom SAAL: And not surprisingly, the
22 animal -- the humans in this -- you found an association
23 of Bisphenol A with diabetes and heart disease. And the
24 important take-home message from this is anything that
25 adds Bisphenol A to the human body increases risk. Any

1 increase in Bisphenol A will increase risk of these
2 diseases.

3 Next slide.

4 --o0o--

5 DR. vom SAAL: So this is my final slide. If you
6 look at the kinds of findings that I just covered in
7 relation to animals, and then you look over on the right
8 side and say here are all these human health trends that
9 are changing over the last 50 years and still changing,
10 and that isn't to say that Bisphenol A is the only cause
11 of them. But the increase in things like uterine fibroids
12 and polycystic ovarian disease and miscarriage and
13 decrease in sperm count and hypospadias increase, these
14 are events that have been increasing since Bisphenol A
15 went in 1970, from 50 million pounds to today, to about
16 eight billion pounds of use in products. And it does
17 suggest that this is a chemical that we need to be
18 concerned about.

19 I'm happy to answer any questions.

20 COMMITTEE MEMBER KEEN: Well first, thank you for
21 your presentation. It's, of course, hard to critically
22 evaluate unpublished data and other information that we're
23 seeing for the first time today.

24 With that aside, you paint what may be a
25 complicated picture for us. Because what you suggest are

1 maybe a trimodal response effectively to Bisphenol A,
2 where you have very bad effects potentially at parts per
3 trillion. Parts per billion it gets a little bit better.
4 Parts per million maybe it gets worse again.

5 But to take it at its extreme, if one accepts
6 that as a correct hypothesis, given the NHANES data,
7 there's no such thing as an unexposed BPA, so doing human
8 epidemiology studies becomes actually impossible, because
9 that's kind of the conundrum we have -- at least that I
10 have right now, as I look at the human data. And it says
11 well, there really isn't much of an effect, but if we set
12 the bar that we're seeing, the initial wave of negative
13 effects of PPT, then the natural conclusion, based on the
14 fact that's well over 95 percent of the U.S. population is
15 way, way past that.

16 So can you help me with this, because I mean I'm
17 winding up with -- you're basically telling me -- I mean,
18 one interpretation of that would be, you can't do a study
19 with humans because there's no such thing as a quote, "a
20 control group non-exposed."

21 Is that correct?

22 DR. vom SAAL: You are absolutely correct. And
23 given the animal data -- and again, these cell culture
24 studies indicate that at the cellular level, there's no
25 difference in response to Bisphenol A between rat, mouse,

1 and human cells. There are some pharmacokinetic
2 differences, but they're not anywhere near great enough to
3 account for the effects that you're seeing down in the
4 part per trillion range.

5 And given that the CDC is telling us that this is
6 in everybody's bodies, and the Stahlhut data are saying
7 there's constant exposure, and it's not just from food.
8 We really do need to get a handle on where the exposures
9 are coming from. And I think one of the greatest public
10 health achievements was getting lead out of gasoline,
11 because it had such a dramatic effect on blood lead
12 levels, but it didn't completely eliminate them. And
13 there's still concern over residual levels.

14 I think if we could get Bisphenol A out of some
15 of the worst case products, such as cans where there was a
16 50 percent drop in exposure in Japan associated with
17 changing the can lining away from Bisphenol A to
18 polyethylene terephthalate. And that's published and
19 there are multiple data sets out on that.

20 There is an opportunity to have a significant
21 impact. It clearly is not going to totally eliminate it,
22 but anything we do to bring down exposures is obviously
23 beneficial, but we have a terrible problem in terms of
24 epidemiological studies, if everybody is exposed at levels
25 that all of the research from NIH-funded research says is

1 at a level that can cause adverse effects. You are
2 absolutely correct.

3 Thank you.

4 (Thereupon an overhead presentation was
5 Presented as follows.)

6 DR. WOODRUFF: Good morning. Thank you, Fred for
7 that presentation. And Fred presented a lot of data that
8 has been --

9 CHAIRPERSON BURK: Would you introduce yourself,
10 please.

11 DR. WOODRUFF: Oh, I am sorry. My name is Tracey
12 Woodruff. I am an associate professor at the University
13 of California, San Francisco in the Department of
14 Obstetrics, Gynecology and Reproductive Sciences. I'm
15 also the director of the Program on Reproductive Health
16 and the Environment.

17 And today, I'm going to focus primarily on
18 exposure to BPA, and primarily prenatal exposures to BPA.
19 And while Fred presented a significant number of studies
20 that have been published in the literature, some of them
21 have been published since the NTP report and also since
22 the development of the staff document that you have, I
23 will also be talking a little bit about some of those
24 studies, but also some of the unpublished literature from
25 our own experiments at UCSF.

1 Next slide.

2 --o0o--

3 DR. WOODRUFF: So as has been pointed out, BPA
4 are -- I'm going to talk a little bit about BPA levels in
5 the U.S. population, though this has been reviewed quite
6 extensively already. I'll talk a little bit more about
7 BPA metabolism, and also BPA metabolism in the context of
8 it being an important determinant of fetal exposures. And
9 then talk a little bit about BPA levels during pregnancy.

10 Next slide, please.

11 --o0o--

12 DR. WOODRUFF: As has already been pointed out,
13 there's ubiquitous exposure to BPA in the U.S. population.
14 As data from the CDC NHANES shows, about 93 percent of the
15 U.S. population has measurable levels of BPA in their
16 bodies, as shown from CDC.

17 Next slide.

18 --o0o--

19 DR. WOODRUFF: And it has also been pointed out
20 that pre-term infants can have significantly higher BPA
21 levels, as from a study that was published by Antonio
22 Calafat in Environmental Health Perspectives.

23 Next slide.

24 --o0o--

25 DR. WOODRUFF: And as Dr. Vom Saal also pointed

1 another important factor in the levels that are
2 experienced by the fetus, and that is the ability of the
3 fetus to conjugate or detoxify the BPA. And this has been
4 shown to primarily happen through the UGT pathway, which
5 has also been discussed this morning.

6 An important feature for fetal exposures is that
7 glucuronidation is anticipated to be substantially reduced
8 in the undeveloped fetal liver because of limited or
9 absent UGT activity.

10 Next slide.

11 --o0o--

12 DR. WOODRUFF: And just to show a more simplified
13 version of the graphic from this morning, unconjugated
14 BPA, which is considered to be the more biologically
15 active form of BPA, is conjugated through the glucuronyl
16 transferase system into BPA glucuronide in the liver.

17 Next slide, please.

18 --o0o--

19 DR. WOODRUFF: So this is some data that we have
20 from a collaborator, Dr. Stephen Strom at the University
21 Pittsburgh, looking at the ratio of fetal to adult levels
22 of the UGT isoforms that are considered to be most --
23 likely to be most critical in glucuronidation of BPA in
24 the liver: 2B7, 2B17, and possibly 2B15.

25 2B7 and 2B17 are similar to the isoform that has

1 been shown to glucuronidate BPA in the fetal rat liver UGT
2 2B1. And what this slide shows is it's a comparison of
3 the UGT 2B7, 2B1 -- oops that should be 17, and 2B15.
4 Those are mislabeled. The ratio of what the levels in the
5 fetus are -- the fetal liver compared to adult livers.
6 And as you can see from the axis on the Y axis, that the
7 levels in the fetus -- fetal liver are anywhere from one
8 to eight percent of that seen in adults. So the levels of
9 the UGT are significantly lower.

10 Next slide.

11 --o0o--

12 DR. WOODRUFF: And as shown in a study published
13 by Schoenfelder in 2002 that there is levels of
14 unconjugated BPA that have been measured, both in the
15 mother, but also in the newborn infant, showing that the
16 newborn infant does carry levels of unconjugated BPA in
17 their bodies.

18 Next slide.

19 --o0o--

20 DR. WOODRUFF: And this is some data from a study
21 that we are doing at UCSF. It's from women who come to
22 one of our clinics at UCSF for pregnancy terminations.
23 And we have collected biological samples, both from
24 maternal serum and from the amniotic fluid. And what this
25 shows is the levels of unconjugated, conjugated and total

1 development for humans in terms of the actual timing of
2 birth. So human gestation goes up to about 40 weeks.
3 This is equivalent to both prenatal gestation for the mice
4 and also postnatal growth up to about Day 50. So any
5 experiments done in mice from prenatal or up to postnatal
6 day 50 is equivalent to prenatal experiments in humans.

7 Next slide.

8 --o0o--

9 DR. WOODRUFF: So just to conclude, BPA is
10 present in almost everyone in the U.S. It can cross the
11 placenta. The fetus has limited capacity to conjugate
12 BPA. And thus, we have to have some concern about fetal
13 exposures to BPA particularly for the unconjugated form.

14 Thank you.

15 CHAIRPERSON BURK: Any questions?

16 COMMITTEE MEMBER KEEN: Yeah. Setting aside the
17 conundrum that may be the PPTs and not to cause a problem,
18 the critical question it seems from your work, since you
19 have almost two-fold differences in
20 unconjugated/conjugated in the amniotic fluid, are you
21 seeing any association with pregnancy complications?

22 DR. WOODRUFF: Well, the focus of this study, to
23 date, has been to focus on the PBPK, essentially the
24 kinetics of the BPA exposures. We have not -- we are not
25 focusing on particularly on pregnancy complications.

1 Clearly, that's a very important question, because as
2 you've seen from some of the studies, there has been
3 raised this issue about looking at pregnancy exposures to
4 BPA and what might be some of the relationship with some
5 of the pregnancy outcomes we're interested in, but we
6 haven't gone to that next step yet.

7 COMMITTEE MEMBER KEEN: Yeah, I mean not to put
8 too fine a point on it, I appreciate where your focus is,
9 but have you or have you not seen an association with
10 complications?

11 DR. WOODRUFF: We haven't looked at that, so I
12 can't say whether we have or not. I'm sorry. That wasn't
13 clear.

14 COMMITTEE MEMBER HOBEL: Yeah, very interesting
15 information.

16 CHAIRPERSON BURK: Speak closer to the mike.

17 COMMITTEE MEMBER HOBEL: In terms of the
18 pregnancy terminations, you didn't have any gestational
19 age listed?

20 DR. WOODRUFF: I'm sorry. I forgot to mention
21 that the pregnancy terminations occur between 16 and 24
22 weeks of gestation.

23 COMMITTEE MEMBER HOBEL: And did you look at the
24 change over gestational age in terms of the amount of
25 free?

1 DR. WOODRUFF: We haven't -- that was from nine
2 samples that we have. And we actually have a number of
3 samples that we are currently analyzing right now. So I
4 wouldn't say there's enough data to get a completely clear
5 picture on what might be the gestational changes in BPA in
6 terms of the unconjugated versus the conjugated levels.
7 But clearly, that's a very important question that we're
8 going to be investigating.

9 COMMITTEE MEMBER HOBEL: Yeah, because with
10 maturation of the fetus and changes in the gestational
11 age, the amount of free should decrease with time.

12 DR. WOODRUFF: Right. Possibly. I mean, the UGT
13 enzymes that I showed that were also looking at the enzyme
14 levels in the fetus -- fetal liver compared to the adult
15 livers, those were also from a similar gestational age
16 time period. And again, we will also be looking at that
17 as well in terms of both the capacity of the fetal liver
18 to metabolize the enzyme as well as the levels in the
19 fetal liver.

20 DR. WOODRUFF: Thank you.

21 CHAIRPERSON BURK: Thank you.

22 Thank you.

23 MS. FORSYTH: Thank you. My name is Susan
24 Forsyth. I'm an intern at the NRDC, and a Master's
25 student at UCSF. I have been a nurse for the past 17

1 years and I am currently a labor and delivery nurse in the
2 East Bay. I am also the mother of a five year old girl,
3 my daughter Anna.

4 I have looked at some of the science around BPA
5 and I have become very concerned about its toxicity. It
6 appears to be a reproductive and neurologic toxicant. And
7 it is being given by unknown parents in the form of toys,
8 bottles, and food to their children, thinking that they
9 are giving their children a safe object. I know this,
10 because I gave my own child bottles that had BPA in them.

11 I feel terrible that I exposed my sweet girl to
12 this endocrine disruptor reproductive toxicant and
13 neurologic toxicant. I wish I had known.

14 Every day at work I see new parents holding their
15 infants for the first time, anxious to do everything they
16 can to keep their babies safe.

17 Please give them access to this information, so
18 that they are able to do that. Please list BPA. Thank
19 you very much.

20 CHAIRPERSON BURK: Thank you. So does that
21 conclude all the presentations from your group?

22 MS. FORSYTH: I believe so.

23 CHAIRPERSON BURK: Good. Thank you. The next
24 group has asked for 70 minutes. So I think we should
25 probably take our lunch break now. What would be a

1 reasonable amount of time?

2 Okay, 1 o'clock.

3 Carol Monahan-Cummings has something to say.

4 CHIEF COUNSEL MONAHAN-CUMMINGS: Just a quick
5 reminder to the members of the Committee and others in the
6 audience that the Committee is supposed to deliberate on
7 this in public and together. And so please don't discuss
8 the chemical that's before you today or have side
9 discussions with other individuals.

10 If you do happen to have a discussion, you'll
11 need to disclose that when you come back.

12 Thank you.

13 (Thereupon a lunch break was taken.)

14

15

16

17

18

19

20

21

22

23

24

25

1 and security of the food supply through scientific
2 excellence.

3 I'm here on behalf of GMA to emphasize the
4 importance of BPA to the food industry and consumers in
5 California. I'm not here to talk about the science about
6 which you will subsequently hear from other experts.

7 I am asking this committee to please get it right
8 on the science, applying the weight of evidence approach,
9 because this substance is that important in the safety and
10 quality of our food supply.

11 BPA allows for the production of safe,
12 technologically effective, and commercially acceptable
13 packaging that is essential for food safety and quality.
14 Current exposure levels of BPA are safe for consumers and
15 the environment as demonstrated not only by repeated
16 testing and review by qualified experts, but also by the
17 history of over 60 years of commercial canned food safety.

18 I would like to bring to the DARTIC's attention
19 BPA's critical function in protecting the integrity of
20 certain metal-packaging components. While OEHHA's May
21 2009 report mentioned that BPA is a component in epoxy
22 resins that coat metal products, including food cans, it
23 does not convey the importance of BPA's role.

24 The DARTIC should be aware that canned coatings
25 are necessary to protect public health. Without them,

1 interactions between the metal and the canned contents
2 over time leads to corrosion and contamination of the food
3 by dissolved metals and to formation of container defects
4 that allow entry into the product of micro-organisms that
5 causes spoilage and illness.

6 The use of protective can linings slows down the
7 rate of these interactions so much that modern canned
8 foods, even high acid foods like fruits and vegetables,
9 can be counted on to retain their nutrition, quality, and
10 consumer acceptability for years under a wide range of
11 environmental and handling conditions.

12 Acidic foods in thermal processing present
13 particular challenges. Although all major coating and can
14 manufacturers are working continually to research and
15 development new coating chemistries for commercial food
16 applications. Epoxy coatings, containing BPA, still have
17 unparalleled performance across a wide range of critical
18 parameters, including toughness, adhesion, formability,
19 and resistance under high-temperature processing
20 conditions.

21 Because metal packaging enables a significantly
22 longer shelf-life than other kinds of packaging, canned
23 goods are the mainstay for providing nutritious,
24 economical food around the world, and for special
25 programs, for example, USDA's Women, Infants and Children,

1 otherwise known as WIC, assistance program food pantries,
2 disaster relief, special military rations, et cetera.

3 Again, epoxy coatings have been used safely to
4 protect the world's food supply for over 60 years.

5 Listing of BPA is unwarranted, would mislead and
6 unnecessarily alarm California citizens and would
7 compromise the availability of safe, affordable, and
8 nutritious foods.

9 Thank you.

10 MR. LANDFAIR: Thank you. My name is Stanley
11 Landfair. I'm with the law firm a McKenna, Long &
12 Aldridge. Could we have our first slide, please?

13 (Thereupon an overhead presentation was
14 Presented as follows.)

15 (Thereupon the phone rang.)

16 MR. LANDFAIR: Take that for me, would you?

17 (Laughter.)

18 MR. LANDFAIR: There's a story that Ronald Reagan
19 did that when he was President and he was speaking before
20 a trade association. And he deliberately had someone call
21 when he was at the lectern. And when someone came with
22 the phone, he said, "You tell them I'm busy. I'm speaking
23 to some very important people."

24 (Laughter.)

25 MR. LANDFAIR: Thank you for having this meeting.

1 --o0o--

2 MR. LANDFAIR: -- apply the Proposition 65
3 standards for listing.

4 Without doubting anyone's sincerity, the
5 conclusion of the presentation we heard before lunch was
6 that all of the data suggests that BPA is chemical with
7 which we need to be concerned.

8 And with all respect, that's not the standard and
9 that's not what we're here for. We need to examine all of
10 these data. We need to do it in a systematic way to make
11 a regulatory decision.

12 So in our presentation, as we have in our
13 comments, we have tried to present for you a paradigm, a
14 way to sort through all of this data and come to a
15 rational conclusion that squares with the regulatory
16 criteria.

17 So let me introduce our speakers in that regard
18 and see how they fit into this plan. Our first speaker is
19 Dr. Steven Hentges. Steve works with the American
20 Chemistry Council. And he's from the east coast, but
21 we'll forgive him for that, because he got his Ph.D from
22 Stanford. So he's come to speak to us on that.

23 He's spent ten years studying the physiological
24 and toxicological effects of BPA. He knows the database.
25 He's going to focus his remarks on the metabolism and the

1 pharmacokinetic data. Because in our view, it's
2 absolutely crucial that we understand the
3 pharmacokinetics, if we are to sort out which data
4 actually can support a regulatory conclusion either way.

5 Our next speaker will be Dr. Rochelle Tyl.
6 You've heard Dr. Tyl's name mentioned. And if you've
7 reviewed the materials, then you certainly know who she
8 is. She is the principal investigator and the principal
9 author of the studies of one generation, two generation,
10 and three generation studies. She is not here as an
11 advocate. She is here to talk to you about her data and
12 to be available to you to answer questions about her
13 studies.

14 These are the foundational studies. We refer to
15 them in our comments as the conventional data. They are
16 the basis for every NOAEL for this chemical that has been
17 set by any regulatory agency that has set one. And as one
18 agency referred to her two generation mouse study, it
19 represents the gold standard. We're going to ask you to
20 pay close attention to the conventional studies.

21 And then, as you go back to the catalogue of
22 potential effects, see which data actually can support a
23 regulatory conclusion that BPA actually causes any one of
24 those effects.

25 In that regard, we'll introduce our third

1 speaker. I don't think you need any introduction to Jay
2 Murray. But for the audience, Jay was many years ago a
3 member of this scientific advisory panel. He's an
4 authority in this field. And he will speak to you about,
5 what we call, the non-conventional studies, why they
6 shouldn't be relied upon to support a regulatory
7 conclusion. Just for the same reasons, for the most part,
8 that no other regulatory agency in the world has relied on
9 these data thus far.

10 And in conclusion, Jay will attempt to make the
11 comparison for you to apply these data against the
12 regulatory standards that apply here.

13 --o0o--

14 MR. LANDFAIR: Now, if I may. This is the one
15 contribution I will make to this presentation. And, you
16 know, it's almost obligatory in these proceedings that a
17 lawyer remind us of what the standard is, but it's
18 necessary that we all stay on the same page and keep the
19 target in mind.

20 So if you will oblige me, I need to remind us all
21 what the statute says, in that it's "A chemical is known
22 to cause reproductive toxicity only if it has been clearly
23 shown..." -- this is what we all the clearly shown
24 standard -- "...through scientifically valid testing.
25 Testing that's been conducted according to generally

1 accepted principles." All three of these concepts are
2 very critical in your examination of BPA.

3 And if there's any doubt about it or about the
4 standard that we are obliged to follow here, this is the
5 charge from the regulations to the Committee, which
6 recites its obligations.

7 --o0o--

8 MR. LANDFAIR: We've looked further to the
9 Committee's guidance criteria. These are your criteria.
10 We don't need to tell you what they are, but we do want to
11 highlight some of the criteria that we think are important
12 in this decision today. The first of which is that we
13 need to apply a weight-of-the-evidence approach.

14 You are much better than I to know what the
15 weight-of-evidence approach is. But there are some things
16 the weight-of-evidence approach is not, that are reflected
17 in the comments. We're not simply to count up the studies
18 170 here, six over here. Therefore, you know, an
19 accountant could do that. But a toxicologist or a
20 scientific advisory panel is what we need here to make the
21 weight-of-evidence calculation.

22 Biological plausibility is important. We'll ask
23 you to look at the pharmacokinetic properties of this
24 chemical as demonstrated in the various studies, and see
25 if the effects that have been attributed to rats, under

1 some of these studies, are biologically plausible in
2 humans, given the exposure patterns that occur.

3 --o0o--

4 MR. LANDFAIR: In examining the human data, which
5 may be a moot point here, I think everyone acknowledges
6 that the database for human studies is small, and that the
7 consensus appears to be that there are no findings from
8 the human data that would support a finding of
9 reproductive toxicity under Proposition 65.

10 --o0o--

11 MR. LANDFAIR: But there is one aspect of your
12 criteria, which is very important, which we're going to
13 urge you to apply in parsing all of the data. Now, with
14 respect to the conventional studies, which we think are
15 the more powerful studies that really form the basis of a
16 regulatory conclusion, it has been candented that some of
17 these studies demonstrate reproductively toxic effects.

18 However, we're going to ask you to keep in mind
19 that never have these effects been observed in the absence
20 of maternal and systemic toxicity.

21 And when you look at the non-conventional
22 studies, we want to ask you to ask questions concerning
23 the experimental design of these studies, such things as
24 the route of administration, dose response relationships,
25 and see whether these studies, no matter how earnest they

1 were conducted and how much their principals believe in
2 these studies, whether they really form the basis of a
3 sound regulatory conclusion.

4 Obviously, we believe they do not.

5 --o0o--

6 MR. LANDFAIR: Finally, just as a reminder, and
7 this did come up in one of the presentations, we had many
8 reference to effects from postnatal exposure. That's not
9 a consideration under the terms of Proposition 65.

10 Now, if I may introduce our next speaker, Dr.
11 Hentges.

12 CHAIRPERSON BURK: Before you start, could we
13 just hold for one second for technical issues.

14 MR. LANDFAIR: Yes.

15 CHAIRPERSON BURK: But in the meantime, are there
16 any questions?

17 All right, we'll just wait till they give us the
18 all-clear here.

19 MR. LANDFAIR: Perhaps, I could take advantage of
20 that lapse of time. I neglected to provide you some of
21 the credentials from Shelly Tyl. And I know that many on
22 the panel know who she is. But I think it will be useful
23 for the record to note that Dr. Tyl is the past president
24 of the Teratology Society. She's also past president of
25 the Reproductive and Developmental Toxicology Specialty

1 Section at the Society of Toxicology, and she also is an
2 invited member of the United States EPA Endocrine
3 Disruptors Screening and Testing Advisory Committee.

4 Again, we brought her here as an authority on the
5 data. And we hope that her discussion of those studies
6 will be informative to you and we truly invite you to ask
7 all the questions of her. She's yours.

8 Thank you.

9 DR. HENTGES: Is it ready?

10 Okay. Dr. Burk, members of the Committee, thank
11 you for your time today.

12 As Stan mentioned, I'll be speaking -- I'm Dr.
13 Steve Hentges at the American Chemistry Council. And as
14 Stan mentioned I'll be speaking to the metabolism and
15 pharmacokinetics of Bisphenol A.

16 (Thereupon the phone rang.)

17 DR. HENTGES: I'm not taking calls either.

18 (Laughter.)

19 DR. HENTGES: I assume we still have a problem?

20 I can go? Okay.

21 You heard a brief introduction to metabolism and
22 pharmacokinetics at the beginning of your day. And you've
23 heard little bits and pieces of it throughout various
24 other presentations. And I'm going to come back and go
25 into a little bit more detail on pharmacokinetics and

1 fairly well studied. We have quite a few studies in
2 rodents, in particular, but we also have some studies in
3 non-human primates. And perhaps most importantly, we have
4 at least four human volunteer studies, as well as other
5 observational studies on humans. Those studies are the
6 ones that tell us directly about how BPA is processed in
7 the human body.

8 From the human studies, we know that BPA is
9 efficiently converted to non-estrogenic metabolites and
10 rapidly excreted after oral exposure. From the human and
11 animal studies combined, we can see very significant
12 interspecies differences, in particular differences
13 between rodents and humans. And that's very important,
14 because most of the animal studies on Bisphenol A are
15 studies on rodents.

16 And then finally from the animal studies, we can
17 see very significant route dependent differences,
18 differences between oral versus non-oral routes of
19 exposure.

20 So taking all of this data together that
21 indicates that metabolism and pharmacokinetic data are
22 crucial for BPA hazard assessment as a way to sort through
23 this very large volume of studies, the very large volume
24 of data and extract out the studies and data that are most
25 relevant for what you're here to do today. And indeed, if

1 50 nanograms per kilogram per day. So on a relative scale
2 this is a very big dose for people.

3 And what they found in the study is that even at
4 the T-max, when the maximum amount of BPA metabolites were
5 found in blood, no apparent BPA was found in blood. No
6 free BPA was found, even at the T-max.

7 From other studies, not this one in particular,
8 but from quite a few other studies, the metabolites that
9 are formed are primarily BPA glucuronide, some BPA sulfate
10 is also formed. And both of those have been tested and
11 determined to be non-estrogenic. And that's a very
12 important point, since as you have seen estrogenicity is
13 suggested as one possible mode of action for Bisphenol A.

14 The half-life of those metabolites in humans is
15 about four to six hours. They are entirely excreted into
16 urine, and that's also a very important point. And the
17 pharmacokinetic data indicate that there is no potential
18 for bioaccumulation.

19 Another very important point is that in a very
20 recent study, extensive metabolism has also been confirmed
21 in premature infants. This is a study that was mentioned
22 briefly two or three times, but only very briefly in
23 passing. Probably the most important piece of information
24 that came out of that study, is that that study
25 unambiguously shows that even premature infants have

1 extensive capacity and capability to metabolize BPA into
2 conjugated metabolites and then excrete those into urine.

3 It's not a complete pharmacokinetic study. This
4 is more of an observational study. But it did show that
5 the form of BPA that is excreted is predominantly the
6 conjugated metabolites.

7 In contrast in rodents, biliary excretion is the
8 primary route of exposure -- route of elimination. And
9 what happens then is that the BPA -- conjugated BPA
10 metabolites, once they get back into in the intestine
11 carried there by bile, they are hydrolyzed back to free
12 BPA, where they can be reabsorbed and recycled via
13 enterohepatic circulation. And the result of that is a
14 significantly longer half-life for BPA in rodents and
15 significantly greater systemic bioavailability.

16 Because of these key differences, extrapolation
17 of any effects in rodent studies to humans would be
18 tenuous. And in particular, effects that are observed in
19 rodent studies are likely to over predict what could
20 happen in humans.

21 --o0o--

22 DR. HENTGES: Turning next to route dependency.
23 Again, as you know there are a very large number of
24 toxicity studies on Bisphenol A. They're very diverse.
25 And one aspect of that diversity is that quite a few

1 different routes of exposure are used in these studies.

2 Of course, many of them do use the oral route of
3 exposure, but you'll also find studies with subcutaneous
4 exposure, implanted mini pumps and some studies with I.P.
5 exposure, I.P. injections.

6 Of these many studies, the ones that use oral
7 exposures or the oral route of exposure are most relevant
8 for hazard assessment. And that's based on a couple of
9 primary points. One is that human exposure to BPA is
10 oral. It comes through dietary sources. For example,
11 migration of BPA from food packaging. And, of course, as
12 we've mentioned, extensive first-pass conversion of BPA to
13 non-estrogenic metabolites occurs quite efficiently after
14 oral exposure.

15 In contrast, though non-oral routes of exposure
16 exaggerate bioavailability quite a bit. And the reason is
17 primarily because there is no first-pass metabolism. BPA
18 is injected by the non-oral routes. It gets into systemic
19 circulation directly. It bypasses the first-pass
20 metabolic route completely.

21 And as a result, parent BPA, which is the
22 biologically active form of BPA gets into circulation
23 directly. And the conjugated metabolites are not formed,
24 at least not quickly, and those, of course, are not
25 estrogenic, not biologically active, as far as we know.

1 your dinner last night, you would have ingested
2 resveratrol, which is another estrogenic phytoestrogen
3 which is present in red wine.

4 However, because Bisphenol A is quickly converted
5 to non-estrogenic metabolites after oral exposure, which
6 of course is the most relevant route for humans,
7 biological plausibility of reproductive or developmental
8 effects in humans through an estrogenic mechanism is
9 limited, and that is because with the oral route of
10 exposure, conjugated metabolites are what go into
11 circulation, not parent BPA.

12 So weak estrogenic -- estrogenicity of BPA is not
13 really relevant for humans.

14 --oOo--

15 --oOo--

16 DR. HENTGES: And then finally, I want to touch
17 on one last thing. I'm not going to spend much time on
18 epidemiology studies, but I want to touch on one aspect of
19 pharmacokinetics that is relevant -- as certain most of
20 the Epi studies that exist for BPA.

21 So as you've heard, most of the epi studies that
22 are available now are cross-sectional in design. And what
23 that means is that the biological samples that were
24 collected for BPA measurement were collected at the same
25 time as the health information.

1 A serious limitation of these studies is that
2 because humans rapidly eliminate BPA after oral exposure,
3 measurement of BPA in a spot urine or a spot blood sample
4 measures exposure of BPA today basically, but it doesn't
5 really tell of much of anything about exposure far in the
6 past or into the future.

7 And the result then is that cross-sectional
8 studies cannot determine exposure during the etiologically
9 relevant periods of time, when during the onset and
10 development of disease.

11 So the cross-sectional studies are really quite
12 limited, just due to that basic study design issue. There
13 was one study that was, again, I think briefly mentioned,
14 which was described as a case control study, but it has
15 the same problem, because the biological samples were
16 collected at a time period which was quite different when,
17 I think it had to do with serial miscarriage, but the
18 biological samples, the blood samples were collected at a
19 time point quite different from when the miscarriages were
20 occurring. So it was very difficult to make any
21 connection between BPA exposure and the health events.

22 So that's all I had. I just wanted to give you a
23 brief summary of some key points on a pharmacokinetics and
24 metabolism.

25 Hopefully, those will help you sort through the

1 many studies on Bisphenol A.

2 CHAIRPERSON BURK: Thank you. Are there any
3 questions?

4 Linda Roberts.

5 COMMITTEE MEMBER ROBERTS: Thank you. A couple
6 of questions. There was some data suggested that after
7 fasting, the level of Bisphenol A didn't drop that far.
8 And I've also seen in our packet of paper that dermal
9 absorption is considered fairly low. Do you have any
10 dermal absorption information?

11 DR. HENTGES: There's a couple pieces of data.
12 It's not something I'm real familiar with, but my
13 recollection is that dermal absorption has been observed
14 to occur to only about 10 or 20 percent, something in that
15 range, so relatively low. Dermal route of exposure, other
16 than possibly for occupational exposure, and even there,
17 I'm not sure there would be much. It's not likely to be
18 significant for humans, because where we contact BPA is
19 really through our diet.

20 COMMITTEE MEMBER ROBERTS: There was also on one
21 of the slides this morning an indication that premature
22 infants had a much higher level of Bisphenol A, I believe.
23 And you mentioned that you had some information about
24 premature infants and their metabolism. I was wondering,
25 could you elaborate on that a little bit.

1 DR. HENTGES: I will. I realize I didn't answer
2 your other question. I answered one of the two that --
3 the first two, about the longer health half life in
4 humans. That's a statistical analysis coming out of the
5 CDC NHANES data. One limitation of that kind of analysis
6 is that for those participants, those are not controlled
7 dosing. They're not controlled human volunteer studies.
8 So nobody knows for all of those participants what level
9 they were exposed to, what time they were exposed. So
10 it's a little bit difficult to really draw a firm
11 conclusion from it, beyond developing a hypothesis that
12 could be tested in a more appropriately designed study.

13 On the other hand, we do have human volunteer
14 studies where -- and again, there are at least four of
15 them that I know about where humans are dosed with a
16 specific dose of BPA. It's followed through the body
17 where it goes, what form it's in, when it comes out. So
18 from those, we can get pharmacokinetic parameters. You
19 can't really get them quite the same way from the other
20 study, which is really a statistical analysis.

21 It's an interesting hypothesis, but it's going to
22 take some other kind of research to really look into that.

23 And now I've forgotten the other questions you've
24 asked.

25 COMMITTEE MEMBER ROBERTS: About metabolism by

1 premature infants.

2 DR. HENTGES: Right. There's several pieces of
3 data that are relevant there. The one that I think
4 getting right to human infants that's probably most
5 relevant, is a study that was published by researchers
6 from Harvard. I think there was another university. And
7 then also with CDC researchers. And what they did -- what
8 they were trying to do really is just measure or look for
9 exposure of premature infants in neonatal intensive care
10 units. Look at exposure of those infants to BPA.

11 But what they found along the way, they collected
12 urine samples, which probably can't be real easy to do
13 with a premature infant, they collected urine samples and
14 analyzed the urine for BPA. And they did very careful
15 analysis looking for both free BPA, but also conjugated
16 BPA. And what they found is that in this analysis, more
17 than 90 percent of the BPA was in the form of conjugates.

18 So what that really says, and again that shows
19 unambiguously, that even premature infants have
20 substantial capability and capacity to metabolize BPA.
21 And the part of that study that I think you did see very
22 quickly. It flashed by at one point, is that the
23 concentration of BPA found in the urine of the premature
24 infants was significantly higher than levels that would be
25 found in the normal population.

1 So that's not exactly exposure, but it indicates
2 that exposure of these infants was higher than even for a
3 typical adult exposure. So putting that together, even at
4 a relatively high exposure for those infants, they still
5 had ample capacity to metabolize BPA.

6 Now, that would be consistent with one laboratory
7 Animal study on rats, inconsistent with one on mice. So
8 there's mixed data at the laboratory animal level that I
9 think the human data is what you really want to get to.

10 COMMITTEE MEMBER ROBERTS: Thank you.

11 DR. HENTGES: I thought you had another one. Did
12 I get them all?

13 COMMITTEE MEMBER ROBERTS: No, it was just the
14 two.

15 CHAIRPERSON BURK: Thank you.

16 DR. HENTGES: The next speaker will be Dr. Shelly
17 Tyl.

18 DR. TYL: With a small pause while they make
19 telephone calls.

20 Good afternoon, thank you for allowing us to talk
21 to you today. You have a very difficult job in front of
22 you.

23 CHAIRPERSON BURK: Why don't you just wait a
24 second. I won't take it off your time. I just want to
25 make sure that this is working properly for the people

1 that are listening.

2 Is it okay?

3 Thank you.

4 DR. TYL: Because my name has been invoked a
5 number of times this morning, and it a lot of publications
6 recently, what I wanted to do is come, not as an advocate,
7 but just simply to present the data.

8 Can I have the slide. Are you guys running it or
9 am I running it?

10 --o0o--

11 DR. TYL: Thank you.

12 What I thought I would do is go over the five
13 studies that my staff and I have done at RTI with
14 Bisphenol A. I'd like to just indicate that RTI is a
15 nonprofit contract research organization. We celebrated
16 our 50th anniversary in 1958. We're about 80 percent
17 funded federally and about 20 percent funded commercially.

18 --o0o--

19 DR. TYL: We did a one generation -- a somewhat a
20 abbreviated one generation study in mice, because we had
21 done a reproductive assessment by continuous breeding
22 protocol awhile back, when we had that contract. We've
23 got it again, but anyway.

24 And based on the way the protocol is written, you
25 don't look at the initial parental -- F0 parental animals.

1 I can do this.

2 Okay. The offspring data indicated that only in
3 10,000 parts per million, there was reduced litter sizes,
4 slightly reduced total live pups per litter. That's
5 exactly what the RACB original study did, but we could put
6 it in the context of maternal toxicity or at least
7 possible.

8 No other significant effects on reproductive or
9 developmental parameters. The point about maternal
10 toxicity, it's really not that simple. And that is, if
11 it's occurs in the presence of maternal toxicity there is
12 a possibility that, in fact, the maternal toxicity is
13 primary and the offspring toxicity is secondary, but
14 there's really no current way to show it.

15 So what you have to do is err on the side of
16 caution. In fact, I'm on the a working group, where we're
17 doing seminars across the country at various meetings
18 talking about maternal toxicity versus reproductive or
19 developmental toxicity. The interesting thing is that we
20 only saw these effects at the top dose, the 10,000 parts
21 per million, which there was more profound, if you will,
22 maternal tox. We saw maternal tox at 5,000, but we didn't
23 see any embryo fetal tox.

24 So the assumption was in lieu of any additional
25 information, it is possible or even likely that the

1 developmental tox came from the maternal tox. If you
2 impair her liver, if you impair her kidneys, then she's
3 not going to be able to digest the food. She's not going
4 to be able to excrete waste. She will certainly have a --
5 probably in terms of in utero nourishment of the babies
6 and postnatal nourishment.

7 --o0o--

8 DR. TYL: But the studies that we did start to
9 finish, started with a three-generation study with
10 Bisphenol A. Its dietary study was done in rats. And we
11 ran six dose groups. We ran 30 per sex per dose group per
12 generation over three generations through the adult F3
13 offspring. And let's examine, this was a guideline study.
14 We did it under the U.S. EPA OPPTS testing guidelines,
15 Office of Prevention -- OPP, Pesticides and Toxic
16 Substances. They had put the draft protocol out in '96.
17 They finalized the guideline in '98. We ran this in '99.

18 So that we looked at things like, obviously body
19 weights, feed consumption, body weight gains obviously,
20 mortality, clinical signs. We looked at all of the mating
21 parameters, pre-coital, interval, gestational length,
22 number of total pups, number of live pups, sex ratio, body
23 weight gain over time, testis descent as an early marker
24 of adequate androgenicity, acquisition of puberty, which
25 is vaginal patency in the girls, preputial separation in

1 the boys. We looked at estrous cyclicity by looking at a
2 three-week sequence of daily vaginal smears.

3 At termination, we looked at andrology, which
4 would be the coital epididymal sperm number concentration
5 motility and morphology. We also looked at the testicular
6 spermatid head count, so we could look at daily sperm
7 production and efficiency of daily sperm production. And
8 we did histopathology and absolutely everything that
9 wasn't nailed down.

10 And we did this in both sexes. And we did these
11 in the F0 parents, the F1 parents, F2 parents, and the F3
12 adults that we didn't breed.

13 --o0o--

14 DR. TYL: Okay. And if you're not unconscious by
15 now, I can list all six of the dietary concentrations.
16 But they went basically from .001 to 50 milligrams per
17 kilogram per day. In the diet, all of the diets were
18 analyzed, so we could do dose response curves and we could
19 document in fact that we gave them what we thought we were
20 giving them.

21 --o0o--

22 DR. TYL: Okay. What did we find?

23 Well, we found adult systemic toxicity at 50 and
24 500 milligrams per kilogram per day, the top two doses.
25 We saw reduced body weights. We saw reduced body weight

1 gains. We saw reduced absolute and increased relative
2 weanling and adult organ weights. That meant that the
3 absolute organs were smaller, but the pups were smaller.
4 So when you did a relative organ, the organ weight divided
5 by the body weight, in fact, that value was higher.

6 So the body weights were, in fact, driving the
7 reduced body weights -- the reduced organ weights at those
8 doses. So what you're really looking at is systemic
9 toxicity.

10 Okay. God, I can't even read the slides. It's
11 rough when get old.

12 Female renal and hepatic toxicity at 500. So
13 we're looking at effects at 500 and at 50. Reproductive
14 developmental toxicity only occurred at 500 milligrams per
15 kilogram per day, which exceeded the maximum tolerated
16 dose. These animals could not maintain their body
17 weights. Their feed consumption was bad. Their organ
18 weights were bad. We saw clinical signs. We saw a whole
19 series of things.

20 We didn't lose anybody. Nobody died, but there
21 was clearly profound toxicity.

22 What did we see? We saw decreased ovarian
23 weights and total and live pups per litter. Delays in
24 acquisition of F1 male and female puberty.

25 If it were an estrogenic thing, you would see

1 acceleration in vaginal patency, the age of vaginal
2 patency and a delay in preputial separation. That is, you
3 would accelerate those female endpoints and you would
4 delay those male endpoints. We saw delays in both. That
5 says that it's not an estrogenic mechanism. It's a tox
6 mechanism. It's secondary to the systemic tox in the
7 offspring.

8 We saw no adults on -- no effects on the adult
9 reproductive structures or functions in either the
10 parental F0, F1, F2 or F3 animals.

11 We saw no BPA effects at low doses from .001 to 5
12 milligrams per kilogram per day. We saw no non-monotonic
13 dose response curves for any parameter at any dose in any
14 generation.

15 Since we only saw effects of the -- reproductive
16 and developmental effects of BPA at a dose that was
17 clearly systemically toxic and at a dose that was lower
18 than that and still toxic, we didn't see anything.

19 We concluded that BPA was not considered a
20 selective reproductive or developmental toxicant in rats.
21 Okay, because you didn't see the reproductive or
22 developmental effects, unless you also saw maternal
23 toxicity. And even at lower maternal toxicity, you didn't
24 see the effects. We reported this to everybody and their
25 half brother, including my mother at their request. Our

1 results were confirmed at the NTP endocrine disruptors
2 low-dose peer review workshop in 2001 and we published the
3 stuff in Tox Sci in 2002.

4 --o0o--

5 DR. TYL: Okay, but there was some criticism as
6 to the use of the CD Sprague-Dawley rat, because it has
7 been viewed as either insensitive or less sensitive than
8 mouse or other rat strain. And although -- oh, I just did
9 it.

10 So the one point I want to make is that the -- if
11 you look at Bob Chapin's paper on the RACB studies,
12 there's really no such thing as an insensitive animal.
13 There are certain endpoints and certain organs that may be
14 more sensitive in the Fischer than in the Sprague-Dawley
15 or more sensitive in the Sprague-Dawley than in the
16 Wistar, but there's really no way to define a strain as
17 insensitive. It's not insensitive, okay.

18 But anyway, since the criticism was that the rat
19 was probably less sensitive than the mouse, we
20 switched -- we were requested to switch over and run a
21 mouse study.

22 The mouse is not your typical animal model for
23 multi-gen. And we had never run an estrogenic compound in
24 a multi-gen in mice. So what we did was -- and I say we,
25 I really mean that. I have a superb staff, and I could

1 not do it all myself.

2 We're running tremendous numbers of animals. So
3 we ran a one-generation dietary E2 study in CD-1 mice,
4 with a range of doses so that we could look at -- because
5 there really was no data on what doses did you have normal
6 mating, pregnancy, parturition, lactation and what doses
7 didn't you.

8 So we ran 1, .001, .005, .05 -- no, I'm reading
9 you the wrong one. Sorry, that was the second one.

10 The first one was .005, .05, .5, 2.5, 5, 10 and
11 15 milligrams per kill -- parts per million, which went
12 from one microgram per kilogram per day to ten milligrams
13 per kilogram per day. This is 17 beta-estradiol a potent
14 endogenous estrogen.

15 So we ran this range of concentrations and we
16 went to look at the appropriate and sensitive endpoints to
17 a potent endogenous estrogen. So we were getting
18 multi-generation data on the mouse control and treated
19 with an estrogen. Okay, and what we found was that at .5
20 parts per million, which was about 80 to 90 micrograms per
21 kilogram per day. Now, remember this is in the diet, so
22 they're nibbling their way through the day. They're
23 metabolizing it as they're eating it. Everything from
24 that point down lower doses was fine. That is the animals
25 bred. They got pregnant. They had babies. They

1 developed the babies. They went through puberty. They
2 grew up. They had babies. Anything above that, the
3 animals mated but there were no pregnancies.

4 --o0o--

5 DR. TYL: So the second study then was, okay,
6 since we know that, let's take a look at the range from .5
7 down parts per million to see what is the best dose -- the
8 concentration and dose to run as a positive control,
9 because that's what I wanted to do. Once I had defined
10 the parameters of what an estrogen should do, I wanted to
11 run that against BPA. I also wanted to collect enough
12 positive and negative data, so we'd be very comfortable
13 running the mouse study.

14 So we ran .005, .05, .5 -- no now I'm reading you
15 the wrong one again, sorry.

16 .001, .005, .05, .15, and .5.

17 Okay, which is a about .2 to about 80 to 90
18 micrograms per kilogram per day.

19 And what did we find?

20 Well, we wanted to identify the appropriate
21 dietary concentration in which we saw the full spectrum of
22 we what we considered to be hallmark estrogenic endpoints.
23 That was at .5, which is about 80 to 90 micrograms per
24 kilogram per day to be used as a positive control. We
25 also wanted to confirm the kinds of data we would generate

1 for the mouse in control situations and in the estrogenic
2 environment. These are the hallmark findings of an
3 estrogenic compound: Prolonged gestational length,
4 decreased litter size, total and live pups. We saw those
5 at the top dose.

6 --o0o--

7 DR. TYL: Reduced numbers of litters, top dose;
8 increased incidence of undescended testes, okay. It was a
9 delay. They ultimately did descend. They simply
10 descended slightly longer. We saw those at the high dose
11 and the next to high dose.

12 Accelerated acquisition of puberty in the girls
13 and delayed acquisition of puberty in the boys. It's
14 classic estrogenic.

15 We saw the acceleration at the high and next to
16 high. We saw the delayed puberty in males at the top 3
17 doses. Increased female reproductive organ weights and
18 swollen vaginal area. We saw that in the top four doses.
19 So the NOEL is one microgram per kilogram per day.

20 And we saw decreased weight of testes and
21 epididymides even in the weanlings.

22 As I said, this is really the hallmark of an
23 estrogen.

24 --o0o--

25 DR. TYL: Okay, so we felt comfortable then in

1 going to the multi-gen. Okay, oral diet, number of dose
2 levels, we ran six. We ran 28 per sex, per dose, per
3 generation. We looked at the same endpoints that I went
4 through with you for the rat. We looked at both males and
5 females for all of those systemic reproductive and
6 developmental endpoints. We ran the positive control.

7 And because again there's not much data out
8 there, we ran two negative controls. Again, each of them
9 with 28 per sex, per group, per generation.

10 --o0o--

11 DR. TYL: This study exceeded the OECD regulatory
12 guidelines. By this time OECD had come out with their
13 416, which is the two-generation study, which was
14 finalized in 2001, very similar to the OPPTS, but we ran
15 the OECD one. We used a sensitive strain of mice exposed
16 to dietary BPA over two parental and two offspring
17 generations. We ran a tremendous range of doses. We ran
18 .018, .18, 1.8, 30, 335 parts per million, which is .003
19 to 600 milligram per kilogram per day, plus we went the
20 positive control.

21 --o0o--

22 DR. TYL: So we did a wide range of doses, so
23 that we could look at the high dose to the low dose.
24 That's why I hate it when people call these high-dose
25 studies. We expose it through the diet. It was a very

1 Transient hypoplastic testes, because we looked at
2 weanling animals histopathologically, and slightly delayed
3 acquisition of puberty in offspring males okay, considered
4 not driven by estrogenic activity, but likely secondary to
5 systemic tox.

6 We saw no effects on adult reproductive
7 functions, including andrology or structures, included
8 testes, epididymides, prostate, ovaries, mammary glands,
9 uterus/cervix. And we looked at those in the weanlings
10 and the adults for the F0 adults, the F1 weanlings, the F1
11 adults and then the F2 weanlings. There were no low dose
12 effects again at .5 to .003 milligrams per kilogram per
13 day. No evidence for non-monotonic dose response curves
14 for any perimeter at any dose in any generation.
15 Responses to the E2 positive control, confirmed the
16 sensitivity of the CD-1 mouse to estrogens and confirmed
17 the findings that we had presented for the one-gen and the
18 two-generation study, because we only saw effects in the
19 presence of systemic tox, and only at the highest dose.
20 And the second to highest dose also has systemic tox and
21 no reproductive or developmental effects. We considered
22 BPA was not a selective reproductive or developmental
23 toxicant in mice either.

24 --o0o--

25 DR. TYL: So in conclusion based on our study

1 guidelines -- and our studies are guideline studies under
2 good laboratory practices. So we're looking at
3 endpoints that indicate adverse outcome, okay. We're not
4 looking at the early molecular biochemical kinds of
5 markers. Not that they're not interesting and fascinating
6 and not that they shouldn't be pursued, but we're looking
7 at endpoints. What is the -- is there an adverse
8 consequence to these early changes?

9 We didn't look at the early changes. That's not
10 part of the guidelines, but we did look at those endpoints
11 that you would expect to be affected if those early
12 changes had adverse consequences. We didn't see them.

13 Okay, so BPA is not a selective developmental
14 reproductive toxicant in rats or mice. The reproductive
15 and developmental effects seen at high BPA-dietary doses
16 are only observed in the presence of systemic tox. So
17 they are considered secondary to the systemic toxicity
18 observed.

19 Okay, there was no evidence of effects at low BPA
20 doses and no non-monotonic dose response curves in any
21 parameter in either species in rats or in mice at any dose
22 level.

23 Okay, the interesting thing is the insensitive
24 rat and the sensitive mouse have exactly the same systemic
25 and reproductive NOELs, which I think is fascinating.

1 Okay, the final comment is that BPA reproductive
2 and developmental effects observed at these high doses are
3 not consistent with estrogenic activity. We know what the
4 normal estrogenic activity should be, because we did the
5 one- and two-generation E2 studies to make sure we could
6 document those. And the effects we saw at high doses are
7 not those associated with an estrogen.

8 Anyway, thank you very much.

9 Any questions?

10 CHAIRPERSON BURK: Linda Roberts.

11 COMMITTEE MEMBER ROBERTS: Okay. The first
12 questions, Dr. Tyl, are on the two-gen mouse.

13 You did the E2 as a positive control
14 concurrently. You mentioned that it had the typical
15 findings. Did it also delay vaginal opening -- I mean,
16 excuse me, accelerate vaginal opening?

17 DR. TYL: Yes, it did and it delayed preputial
18 separation.

19 COMMITTEE MEMBER ROBERTS: And Bisphenol A. None
20 of the exposure levels had any effect upon vaginal
21 opening?

22 DR. TYL: No, but we did see delay in preputial
23 separation at the high dose.

24 COMMITTEE MEMBER ROBERTS: Okay. In the rat, how
25 severe was the maternal toxicity?

1 DR. TYL: The rat was more severe than in the
2 mouse, but we saw reduced body weight, reduce feed
3 consumption, reduced body weight gain. We saw clinical
4 signs of toxicity. And we also saw those -- the changes
5 in a number of organs at necropsy and the histopathology
6 in the kidneys and the liver. The kidneys and liver are
7 typically the endpoints of BPA toxicity at high doses.

8 COMMITTEE MEMBER ROBERTS: And the last question
9 is not specific to the study, but because you run a lot of
10 studies. There was a statement earlier and also in our
11 binders that when the rat has vaginal opening, that that's
12 when estrous occurs. Is that your experience also?

13 DR. TYL: Yes, but not in the mouse. I mean, Dr.
14 Vom Saal is absolutely right, that is it's three stages in
15 the mouse. One is the vaginal patency, then there's age
16 at first estrous and then there's age at first full
17 estrous cycle.

18 COMMITTEE MEMBER ROBERTS: All right. And I
19 guess the last thing I would do is just make a statement
20 that typically guideline studies have three exposure
21 levels, maybe sometimes four. Six is almost --

22 DR. TYL: Nuts.

23 (Laughter.)

24 COMMITTEE MEMBER ROBERTS: -- non-existent.

25 DR. TYL: Well, we wanted to make sure we covered

1 the high doses and We also covered the low doses. So I
2 was very fortunate to be part of that program.

3 Thank you.

4 CHAIRPERSON BURK: Thank you.

5 --o0o--

6 CHAIRPERSON BURK: How much time is left for this
7 group?

8 It looks like you have about ten minutes left.

9 We'll give you a little more total, because we
10 shouldn't count off for us asking questions.

11 DR. MURRAY: Dr. Jay Murray. And also on the
12 timing, there were a lot of questions asked of our
13 speakers. Does that get subtracted or how does that work?

14 CHAIRPERSON BURK: Yes, that won't count against
15 you. So add in -- I don't know how many more minutes do
16 you think we asked questions?

17 DR. MURRAY: I think it will take me about 15
18 minutes. And the last speaker, I think was five to ten
19 minutes.

20 CHAIRPERSON BURK: Okay, well that's way over
21 what -- I would say you have 15 minutes total. So do your
22 best, please. We're trying to keep it fair.

23 MR. LANDFAIR: We didn't start till way past 1

24 DR. MURRAY: I thought we had started at seven
25 after 1:00 and we had 70 minutes. Did I miss something?

1 CHAIRPERSON BURK: Okay, that is correct. So if
2 you had 70 minutes -- all right, so what's the end time
3 that you had. 2:15 would be 70 minutes -- it would be
4 2:20 and we will give you another five minutes.

5 DR. MURRAY: That should be fine.

6 CHAIRPERSON BURK: So 2:25 should be fine.

7 DR. MURRAY: Much appreciated. And I'll go as
8 fast as I can to reduce that.

9 I am Dr. Jay Murray. Thank you for the
10 opportunity to be here and thank you for reading all the
11 materials that were provided to you by OEHHA and by
12 everyone from the public.

13 --o0o--

14 DR. MURRAY: This slide -- I'm going to focus on
15 this slide. And this slide has the topics I'm going to
16 cover. I'm going to do this very quickly. I don't think
17 I have more than two slides on any one of these topics.

18 --o0o--

19 DR. MURRAY: These are the agencies -- a partial
20 list of the agencies that have reviewed BPA. In the time
21 that I do have, there's no way I could go through, you
22 know, 200 studies and summarize them. So fortunately we
23 have six well-respected agencies that have independently
24 and exhaustively reviewed the literature on developmental
25 and reproductive toxicology. And they've all done it

1 recently. I think most of these were published in 2008.

2 So it's current. It's relevant.

3 The written submission that we made, I think the
4 first eight pages were a summary of what these
5 organizations said with a number of quotes. I'm not going
6 to go through all those.

7 None of those agencies reached a conclusion that
8 would support listing BPA. And earlier this morning it
9 was pointed out to you that the one that came the closest
10 was probably CERHR, when they said that there was clear
11 evidence of adverse effects for high dose developmental
12 toxicity in animals.

13 And they also said limited evidence for low-dose
14 developmental toxicity. But this doesn't mean that BPA
15 meets the Prop 65 listing standards. It was suggested
16 that your standards are about the same as CERHR's, and
17 they're not.

18 First, the effects were seen only at high doses
19 associated with systemic toxicity. It was suggested that
20 CERHR looked at this issue and somehow concluded that the
21 maternal toxicity was not explaining the developmental
22 toxicity. That's not my understanding. I've read through
23 that report cover to cover. I've attended a number of
24 CERHR meetings. And, you know, when they make a
25 determination of clear evidence, they are not factoring in

1 studies. One of you had a question this morning about
2 trimodal dose response. Well, a little tough to study
3 trimodal dose response when you only have one dose.

4 Statistical issues. A number of the studies used
5 the fetus rather than the litter as a statistical unit.

6 So I can't go through all of these studies. You
7 saw summaries of some of the studies this morning. And
8 it's very difficult to look at the results of a study
9 based on a single slide with results without really
10 knowing the details.

11 And what I'm going to do is I'm going to
12 illustrate this point with one example, and that's the
13 most recent study you received, the Nakagami study. This
14 was the monkey study showing behavioral effects in male
15 offspring.

16 And to me it's a classic example of what's wrong
17 with relying on the low-dose studies. You've got to know
18 the details behind these things.

19 One dose, small group size, four male offspring,
20 six female offspring in the BPA groups. So very small N.
21 You know that it was subcutaneous pump, but it was
22 actually five surgeries to implant five subcutaneous
23 pumps, because the pump only lasts for 28 days. So they
24 had to do it five different times.

25 Author is unable to detect BPA in blood,

1 behavioral study, monkeys observed infrequently by the
2 author's own description, ten minutes, two times a week.

3 The most prominent effect that they saw was an
4 increase in outward looking, which was described to you
5 this morning. And the authors theorized that BPA might be
6 affecting sexual differentiation because of this. The
7 authors also had a possible alternative explanation. And
8 I call the hypothesis Simply Monkey See Monkey Do.

9 And what it is, as it was pointed out to you, the
10 mothers had exactly the same effect. Well, the mothers
11 and their offspring were pairs that were separated. They
12 weren't allowed to see any other monkeys. So another
13 possibility is that the offspring merely copied the
14 behavior of the mothers, so that it was not really a BPA
15 effect at all. Monkey See Monkey Do.

16 I want to come back to this slide. On the second
17 bullet, no regulatory agency has relied on any of these
18 studies for risk assessment purposes. There have been a
19 lot of risk assessments established. And everyone that
20 I'm aware of uses the NOEL from Dr. Tyl's studies. So
21 these studies don't show that BPA is clearly shown to
22 cause developmental or reproductive toxicity.

23 Another point I want to make is most of these
24 studies do not take it out to a reproductive endpoint. A
25 lot of them focus on unique endpoints. Some of them look

1 at molecular approaches and there's nothing wrong with
2 that, but they're not tied to an adverse effect. And in
3 order to improve credibility, those studies have to tie
4 some of these molecular changes to an adverse outcome.
5 And if I had time, I would say more about that.

6 --o0o--

7 DR. MURRAY: This is a quote from CERHR
8 describing, what I call, the unconventional low-dose
9 studies. It's not just CERHR. FDA has said similar
10 things. The Harvard School of Public Health did a review,
11 said the same thing. Goodman updated it. Goodman et al.,
12 you probably saw that paper. Dr. Calvin Willhite also did
13 a review on this, and drew similar conclusions about these
14 studies.

15 --o0o--

16 DR. MURRAY: Biological plausibility.
17 Developmental and reproductive effects from BPA are not
18 biologically plausible in humans for the pharmacokinetic
19 reasons that you heard from Dr. Hentges. First-pass
20 effect from oral exposure converts it to non-estrogenic
21 metabolites. It's rapidly and completely excreted from
22 the body. So there is really limited opportunity for BPA
23 to hit target organs where you would cause these effects
24 in humans exposed orally.

25 --o0o--

1 DR. MURRAY: Species difference. Enterohepatic
2 recirculation of BPA is significant in rodents, not in
3 humans. And as a result, effects in rodents would tend to
4 over-predict potential responses in humans. The animal
5 studies using parental routes are not relevant to human
6 hazard identification. Thus, extrapolation of the effects
7 of BPA from the rodent studies to humans is tenuous at
8 best. It certainly has to be done carefully.

9 --o0o--

10 DR. MURRAY: Estrogenic activity. You've heard a
11 lot about estrogenic activity. We all know BPA binds
12 weakly to the estrogen receptor, but there is no
13 opportunity for estrogenic activity in humans, since BPA
14 is rapidly converted to non-estrogenic metabolites and
15 rapidly excreted.

16 And the other thing is BPA has to compete with
17 estrogenic substances, both endogenous and dietary, that
18 have a greater affinity to bind the estrogen receptor.
19 And you've heard about some of those things already,
20 phytoestrogens, lignans, genistein in soy products. If
21 all it took was estrogenic activity to land on the Prop 65
22 list, then genistein in soy would be on the Prop 65 list
23 certainly.

24 --o0o--

25 DR. MURRAY: So estrogenic activity is not a

1 basis to list. It's not a reproductive effect per se. It
2 is a potential mechanism that might induce effects under
3 certain circumstances, which are not present with BPA.

4 And if you go back and look at your guidance
5 criteria, you'll see there's a long list of reproductive
6 endpoints, and estrogenic activity is not there.

7 --o0o--

8 DR. MURRAY: So the last two slides. Overall
9 evaluation. The human data do not support listing. The
10 most reliable animal studies show BPA is not a selective
11 developmental or reproductive toxicant. And the
12 unconventional -- what I call the unconventional low-dose
13 studies are certainly thought-provoking. They raise a lot
14 of questions. They're suggestive. You know, they
15 certainly call for studies that more clearly define some
16 of these things.

17 But they're of low utility and insufficient to
18 list. One of the problems is you've got a lot of
19 inconsistent results and the evidence is just not very
20 compelling in those.

21 --o0o--

22 DR. MURRAY: Last slide, conclusion. Neither
23 human nor animal studies demonstrate that BPA has clearly
24 shown to cause developmental or reproductive toxicity.
25 And even if they animal studies were, which they're not,

1 the pharmacokinetic data showed that a human hazard of
2 developmental and reproductive toxicity is not
3 biologically plausible.

4 Thank you.

5 I'd be happy to answer any questions if it
6 doesn't eat into my clock here.

7 CHAIRPERSON BURK: No, it won't eat into your
8 clock.

9 Are there any questions for Dr. Murray?

10 Okay, maybe later.

11 DR. MURRAY: Thank you. The last speaker is Dr.
12 William Hoyle, who is going to take you back to food and
13 food packaging and the importance of your overall
14 decision.

15 Thank you.

16 --o0o--

17 DR. HOYLE: Good afternoon. My name is Dr.
18 William Hoyle. I'm here on behalf of NAMPA, the North
19 American Metal Packaging Alliance, which is a nonprofit
20 corporation, committed to the safety of metal packaging
21 and metal packaged products. And I'm here to urge the
22 Committee to consider all the relevant hazard information
23 in developing their listing recommendation for BPA.

24 But part of that too is I also would ask the
25 Committee to be aware of the potential health hazards that

1 might arise, as what I'll refer to, as unintended
2 consequences of listing BPA as a Prop 65 reproductive
3 toxicant.

4 If BPA, and therefore epoxies, are no longer
5 available for certain applications, this would have a high
6 potential for unintended consequences. The use of BPA,
7 what I want to try and do is explained how it's used in
8 can coatings. We've heard from Dr. Vom Saal and he talked
9 about that and its use in Japan and other places.

10 The use of BPA in epoxy coatings provides real,
11 important, and measurable health benefits. So in reality,
12 instead of reducing the potential for serious and deadly
13 effects, if you ban epoxies, you're actually adversely
14 affecting the health of the citizens of this State.

15 By reducing these potential, essentially what
16 we've done with metal coated cans is protect human health.
17 And NAMPA represents the industries that are responsible
18 for providing this packaging that ensures safe and
19 nutritious food throughout our system. And we are
20 seriously concerned about the impact that would arise if
21 we had -- warning labels were required to put on food
22 products.

23 BPA and epoxies are a critical component in the
24 manufacture of our epoxy coatings that are used to line
25 metal food packagings and closures for glass packaging.

1 Epoxy coating enable -- I really refer to it as an
2 enabling technology. It's actually what's enabled the can
3 to go lighter, faster. And that light-weight and the
4 combination of the chemical resistance of epoxy coatings
5 has enabled us to heat the cans faster when they're
6 filled, so the food is more nutritious and also that
7 epoxy coating is more flexible, so it resists denting and
8 cracking and other things that happen as it goes through
9 the transportation system.

10 So because the epoxies are also resistant to a
11 wide range of, what I'll call, chemistries found in the
12 food and beverages which are put into these metal
13 packages, this chemical resistance and other attributes of
14 the epoxies virtually eliminate any interactions between
15 that coating and the food and the metal that it separates.

16 And this chemical resistance is critical for
17 maintaining the sterility of the food. Because through
18 the transportation if it's dented and things like that,
19 what would happen in the past, if you go back 30 years,
20 you would see that dent would -- the food would seep
21 through it and cause micro-perforations and then you'd
22 result in a swelled can.

23 If you look in the market today, you don't see
24 those swelled cans. They just -- you may see some dented
25 cans, but they're not swelled. They're no longer out

1 there. And if you look at the data, FDA's data shows that
2 for the past 30 years, there's not been a single recall of
3 a -- in a metal food package can for a health borne --
4 food borne illness reasons.

5 So it's this coating and the food product by
6 preventing that, it allows us to do it. The public health
7 consequences of deselecting epoxies are indeed real. The
8 use of epoxy coatings is the most effective way to protect
9 the food product.

10 The initial high temperature sterilization
11 coupled with the continuous product protection enabled by
12 the epoxy coatings eliminates these dangers. You don't
13 hear about botulism in food for the last 30 years. And
14 it's this enabling technology of epoxies that has allowed
15 this to occur.

16 The other part is, is that de-selection of epoxy
17 coatings will also affect the most needy in our society.
18 California citizens, and I'll be specific to the numbers
19 here, that receive assistance from food pantries, as well
20 as the WIC Program rely significantly on metal packaged
21 products.

22 In 2008, the total births in California were
23 approximately 550,000. Of that, 325,000 of those births,
24 those infants, rely on the WIC Program. The WIC Program
25 solely uses either glass or metal packaging. The glass

1 packaging having a closure, which cannot be substituted.
2 There is no known substitute for the epoxy that's in
3 there. As a matter of fact, the very first meal that most
4 infants get, comes in a small little container that you
5 take the top off and you put a nipple on it and feed it to
6 them. That sterility is maintained, believe it or not,
7 because of the nutritions and things that are in those,
8 the supplements that are in that feeding, it requires
9 actually a stainless steel closure, a stainless steel
10 closure, because the food is so aggressive towards the
11 metal to keep it separated.

12 And I used to work at the company that made that.
13 That is the most single expensive product that we make.
14 We've been trying to replace that for 15 years. It's just
15 not -- we've not found anything that will work.

16 And so we continue to supply that into the
17 hospital, so that the -- you know, that the very first
18 foods that they get are indeed sterile and safe and
19 nutritious, because that prevents the nutrition of the
20 foods from being reduced by the interaction, if it was
21 able to contact the metal. And it's an epoxy coating that
22 keeps that separation.

23 So with that, you know, if epoxies are banned,
24 those products will go away. If they are required to be
25 labeled, those -- I've been told by the companies that

1 produce them that the liability would be such that those
2 products will go away period.

3 There is also other food assistance programs. If
4 you look at the paper that was published here in
5 California in 2009 by the California Association of Food
6 Banks. And essentially the working parents and senior
7 citizens of this State, among others, have found that the
8 need for food banks and assistance is increasing. As a
9 matter of fact, there was -- in June of this year, the Los
10 Angeles Regional Food Bank policy brief indicated that the
11 distribution rate for food banks in that area increased 31
12 percent in the past year, and 24 percent just over the
13 last six months.

14 So with that, also I think it's important to know
15 that even though those that may not typically use food
16 assistance could find themselves in relying on metal
17 packaged products in situations such as power outages,
18 earthquakes, and other natural disasters, hurricanes, et
19 cetera. And even in the military relies on metal packaged
20 food. Those foods are, for the most part, predominantly
21 rely on epoxy coatings, because they are the most
22 technologically advanced.

23 They're also unintended --

24 MR. LANDFAIR: I think we've exceeded our time.

25 CHAIRPERSON BURK: You're on orange. You still

1 have a couple more minutes.

2 DR. HOYLE: I wasn't looking sorry.

3 Well, if you would, I'd like to say one last
4 thing.

5 CHAIRPERSON BURK: Are you the last speaker in
6 the group?

7 DR. HOYLE: Yes.

8 CHAIRPERSON BURK: Okay. Why don't you two more
9 minutes.

10 DR. HOYLE: Okay. Thank you. I do appreciate
11 that, because I do think this is something that most
12 people, you know, they don't hear about this side of what
13 I'll call the unintended consequences. And I think
14 there's also a series of unintended risks associated with
15 moving to, what I'll refer to as, untested alternatives.
16 You'll hear that quite frequently that there are
17 alternatives available. They're readily available.

18 You heard this morning that Japan moved away from
19 epoxies. Well, considering in my dealings with Japan,
20 which -- and I was responsible for some of the R&D that
21 went over there, 70 percent of the coatings that are used
22 today in Japan are epoxy based period.

23 The PET that you hear about -- and I'll say it
24 maybe a different way. They depend, there's at least one
25 or more components in those packages that rely on epoxies.

1 You hear about the PET-lined cans. The PET is great. Two
2 things, it will not adhere to the metal can without an
3 epoxy base coat. So you put the metal, you put an epoxy
4 coat, then you cover with a PET. If it wasn't for the
5 epoxy, you wouldn't be able to adhere it.

6 The other part is, is that the epoxy -- the PET
7 is not a barrier to the epoxy migrating, so you see the
8 same amount of BPA go through whether the PET is there or
9 not.

10 So with that, despite, what I'll call reports to
11 the contrary, the simple fact is there is no readily
12 available, suitable, fully tested material that you can
13 drop in as an alternative.

14 With that, I think finally, what I'd like to
15 remind the Committee that the chemical migration into food
16 products will occur in any food container, whether it's an
17 alternative for an epoxy can, whether it's a plastic, a
18 paper package, or a glass. All of those rely on not -- I
19 should say with the exception of the plastic, rely on
20 epoxies.

21 The epoxy -- we also believe -- well, I'll stop
22 there

23 (Laughter.)

24 DR. HOYLE: If there's any questions, I'll be
25 happy to try and answer them.

1 CHAIRPERSON BURK: Are there any questions?

2 Thank you.

3 MR. LANDFAIR: I want to thank the panel on
4 behalf of our speakers.

5 Thank you.

6 CHAIRPERSON BURK: Thank you.

7 All right. I think for the sake of the court
8 reporter, we'll take ten minutes max and then we have
9 another group of speakers, quite a few actually and we'll
10 continue.

11 Ten minutes.

12 (Thereupon a recess was taken.)

13 CHAIRPERSON BURK: All right. If we could get
14 started again, please. We have a number of additional
15 cards for people that wish to speak, all as individuals.
16 In concern for our time, we want to be sure that there's
17 enough time left for the Committee to adequately
18 deliberate on this matter. I would like to ask that these
19 folks be as brief as possible and that if you have new
20 information to offer to us, we would like to hear it. If
21 it's just reiterating something, then I think it would be
22 perfectly fine if you say, I agree with so in so, and that
23 would move things along.

24 Again, we want to be fair about this, but please
25 try to be brief, so we'll have time left for our

1 discussion.

2 And what I'll do is I'll call like the first ten
3 names, so that you're ready to go and perhaps we can move
4 it along.

5 So there's 17 altogether. So we have Julie
6 Silas, Healthy Building Network. Bill Allayaud, I guess,
7 Environmental Working Group. Luis Cabrales, maybe,
8 Coalition for Clean Air. Caroline Cox, Center for
9 Environmental Health.

10 You can start coming down.

11 Pamela Palitz, Environment California. Janet
12 Nudelman, Breast Cancer Fund. Why don't we start with
13 those folks. And first up will be Julie Silas, Healthy
14 Building Network.

15 MS. SILAS: Thank you. My name is Julie Silas
16 and I'm the Director of Healthcare Projects for the
17 Healthy Building Network, or HBN.

18 HBN is the leading national nonprofit
19 organization advocating for health-based green building
20 standards. Launched in 2000, the mission of HBN is to
21 transform the market for building materials in order to
22 advance the best environmental health and social
23 practices. By doing this, we believe we can decrease the
24 toxic chemicals that are used in buildings and even
25 reverse the profound negative impacts of the contemporary

1 building industry on the environment, human health, and
2 society

3 Today, I'm here to urge this committee to list
4 BPA as a developmental and reproductive toxicant. While
5 many are aware of the existence of BPA in baby bottles and
6 food can liners, few are aware that BPA is a chemical
7 component of epoxy resins used in building materials.
8 Most notably, BPA-based epoxy resins are used in high
9 performance paints and coatings, as well as in adhesives
10 to hold down floors, carpets and wall covering.

11 Emerging science regarding occupational exposure
12 to epoxy resins made from Bisphenol A raises reproductive
13 health concerns and makes clear that Prop 65 labeling is
14 warranted to inform users of potential developmental and
15 reproductive hazards associated with BPA.

16 Epoxy resins are used in building materials often
17 listed on the Material Safety Data Sheet as a proprietary
18 mixture, without disclosure that the resin actually
19 contains Bisphenol A.

20 While manufactures claim that the Bisphenol A in
21 epoxy resins is consumed entirely in the production
22 process, and does not show up in the final products,
23 scientists investigating the metabolic breakdown of epoxy
24 resins during the occupational exposure have found that
25 the resin products can be metabolized in the human body

1 back into BPA and may impact the reproductive system of
2 those exposed.

3 In both epidemiological studies referenced
4 earlier, looking at occupational exposure, those workers
5 exposed to BPA had greater reproductive effects than those
6 not exposed to the BPA-containing products. Thus, the
7 answer to the question posed earlier in the morning about
8 ubiquitous exposure in humans, is that those directly
9 working with products containing BPA are showing greater
10 effects than the control groups.

11 A Japanese study of workers spraying epoxy resin
12 coatings in a factory at least three hours per day found
13 that the epoxy resin metabolized to BPA in the human body,
14 and they found disruption in the secretion of
15 gonadotrophic hormones in the workers exposed.

16 A similar study of workers applying paint
17 consisting of 10 to 30 percent epoxy resins reported
18 significantly higher urinary levels of total BPA and
19 alterations in reproductive hormones in the exposed
20 population.

21 The authors of this occupational study reached a
22 conclusion different than the OEHHA staff reported earlier
23 this morning. And I'm going to quote those peer
24 reviewed -- that peer-reviewed journal. The authors
25 concluded that quote, "The painter had follicle

1 stimulating hormone levels of 7.68 international units,
2 which was significantly higher than the non-painter mean
3 of 5.33 international units."

4 "Further...," and this is another quote,
5 "...painters had a testosterone level of 3.5 nanograms per
6 milliliter, which was quote 'significantly lower' than the
7 non-painter level of 5.818 nanograms per milliliter."

8 They also stated that quote, "As the Bisphenol A
9 exposure level increases, testosterone level decrease."

10 A significant drop in testosterone levels is an
11 early effect that should be considered adverse, since
12 changes in testosterone production have consequences for
13 the functioning of the reproductive system.

14 The BPA expert panel from the Center for
15 Evaluation of Human Risks to Reproduction reviewing these
16 same occupational studies reported to the National
17 Toxicology Program, and I quote, "Several studies
18 collectively suggest hormonal effects of Bisphenol A
19 exposure, including one in occupationally-exposed male
20 workers likely exposed through multiple routes, including
21 inhalation."

22 The NTP's final monograph states, again a quote,
23 "A number of studies, when considered together, suggest a
24 possible effect on reproductive hormones, especially in
25 men exposed to higher levels of Bisphenol A in the

1 workplace."

2 The scientific evidence linking occupational
3 exposure to Bisphenol A-based epoxy resin and effects on
4 reproductive hormones suggests other signs showing BPA
5 effects on male reproduction.

6 Given the ubiquitous use of epoxy resins in
7 building material, adhesives and coatings, and the lack of
8 disclosure that BPA is even in the product, those of us
9 who work to make safe and healthy buildings deserve to be
10 informed that the products may contain BPA.

11 Scientific research has only recently begun to
12 address potential exposures to BPA from building
13 materials. Recent NHANES research suggests, as you heard
14 this morning, that substantial non-food exposure to BPA,
15 and -- that there is substantial non-food exposure to BPA
16 and there are likely to be a wide range of exposure
17 pathways including building materials, as sources of those
18 exposures.

19 In summation, compounding evidence about BPA and
20 its associated effects on the endocrine and reproductive
21 systems, and emerging science that building products made
22 from BPA-based epoxy resins are metabolizing into BPA in
23 the body and may result in changes to the reproductive
24 system, indicate that use of BPA in products exposes users
25 to unnecessary harm.

1 You have an authoritative body in the NTP to rely
2 on listing for Bisphenol A. This body has relied on the
3 NTP CERHR in the past when listing Proposition 65
4 chemicals and should do so again today.

5 The Healthy Building Network urges the DART IC to
6 list BPA as a developmental and reproductive toxicant
7 under Prop 65, so that consumers and those working with
8 BPA-containing products can make informed choices about
9 the chemicals they are exposed to.

10 Thank you.

11 CHAIRPERSON BURK: Thank you.

12 Go right ahead, please. Introduce yourself,
13 because I'm not sure I said this --

14 MR. ALLAYAUD: Hi. My name is Bill Allayaud. I
15 am director of governmental affairs for the Environmental
16 Working Group. And I'm also giving these remarks on
17 behalf of Renée Sharp, our California Director who's
18 unable to attend today.

19 Environmental Working Group, or EWG, strongly
20 urges the Committee to list BPA as a reproductive and
21 developmental toxicant. We believe that the draft report
22 prepared by OEHHA and the overall weight of evidence from
23 laboratory studies clearly supports this listing. OEHHA's
24 draft summary of the evidence details dozens of studies
25 showing permanent effects in the male reproductive system,

1 impacts to brain and behavior, and a variety of other
2 low-dose effects, including early puberty, female
3 reproductive development -- problems in female
4 reproductive development, including fertility.

5 I'd like to make three main points to support the
6 case for a listing of BPA. One is that industry is
7 misrepresenting the findings of the NTP and the FDA.
8 Industries that produce or use BPA regularly misrepresent
9 the state of the scientific evidence and regulatory
10 decisions. In particular, the American Chemistry Council
11 and the Grocery Manufacturers Association, or ACC and GMA,
12 in the written comments to the Committee -- and our
13 comments are based on reviewing the written comments to
14 the Committee -- mischaracterize the findings on BPA
15 toxicity of both the NTP and the FDA.

16 In September 2008, the NTP published its final
17 monograph on BPA, finding quote "clear evidence of adverse
18 effects" unquote, for developmental toxicity at high
19 doses. This alone would be a sufficient basis for adding
20 BPA to the reproductive and developmental toxicants list.
21 The NTP went on to assess the possible effects of current
22 exposures to BPA on human development and reproduction,
23 framing its conclusions in terms of a five-level scale,
24 negligible concern, minimal concern, some concern,
25 concern, and serious concern.

1 The NTP expressed quote "some concern for the
2 effects of BPA on brain, behavior, and prostate gland in
3 fetuses, infants, and children at current human exposures
4 to BPA." unquote. The NTP explains on its website that
5 the term of art "some concern" is the mid-point on its
6 five-level scale. It assigns the "some concern" ranking a
7 deep yellow color, lighter than the alarm red of serious
8 concern, but a sharp contrast to the green color of
9 negligible concern.

10 NTP did assume minimal or negligible concern for
11 some endpoints. But when the Grocery Manufacturers
12 asserts the NTP quote, "expressed on the minimal or
13 negligible concern regarding most alleged health effects,"
14 unquote, it selectively ignores the gravest NTP findings
15 about BPA's possible effects on the developing fetus and
16 young child.

17 This blatant attempt to mislead the panel and the
18 public should not be allowed to stand. Nor should the
19 panel accept the claim by the GMA and the ACC that the NTP
20 did not formally or definitively identify BPA as causing
21 developmental or reproductive toxicity. These verbal
22 sleight of hands ignore the seriousness of the NTP's
23 conclusion about BPA's effect on the developing fetus and
24 child.

25 The NTP did not, as the ACC and GMA implied, give

1 BPA a green light, a green ranking. Instead its monograph
2 asserted that there was, "more critical data needs for
3 assessing the dangers of BPA at current human exposures."
4 And it detailed nine types of research that should be
5 pursued to fill crucial data gaps.

6 We would like to note that it is relatively
7 unusual for the NTP to express such concerns about
8 contaminant exposures for the general population, in this
9 case, all American pregnancies, infants, and children.
10 Previously, few chemical exposures have achieved the
11 designation of "some concern".

12 It is generally applied to very specific
13 subpopulations. For example, some concern describes the
14 NTP's assessment of the risks of fetal amphetamine
15 exposure.

16 Given that BPA exposure in early life is
17 unnecessary and largely avoidable, the designation of
18 "some concern" for the fetus, infant, and young child is
19 clearly a matter that should be seriously considered by
20 regulatory bodies, despite industry's assurances to the
21 contrary.

22 The ACC also misrepresents the status of the
23 federal FDA's safety review for BPA in food packaging.
24 When the ACC claims that the FDA has found quote, "an
25 adequate margin of safety exists for BPA at current levels

1 of exposure from food contact use," end quote, it neglects
2 to mention that last November, the agency's science board
3 firmly rejected the agency's conclusion that present day
4 BPA exposures are safe, and found that the weight of
5 evidence pointed to toxic levels at less than one order of
6 magnitude lower than those considered by the FDA.

7 In fact, FDA's draft assessment actually led the
8 science board to conclude that present day exposures are
9 unsafe. Science board reviewers observed that the FDA's
10 assessment quote, "provides sufficient scientific basis to
11 conclude that margins of safety, defined by FDA as
12 adequate are, in fact, inadequate."

13 Our second point is that scientific reviews by
14 OEHHA, NTP and FDA advisors support listing BPA. Thorough
15 reviews by your staff, the NTP and the concerns raised by
16 the FDA's science board point to a consistent and credible
17 association between low-dose exposure to BPA during
18 pregnancy and effects to the brain and reproductive
19 system.

20 The NTP monograph finds compelling evidence of
21 low-dose toxicity, specifically citing 12 high quality
22 studies showing reproductive and developmental effects.
23 Notably 8 of the 12 studies using oral dosing directly
24 relevant to a pregnant woman or newborn and several employ
25 concentrations as low as ten micrograms per kilogram body

1 weight.

2 There is ample evidence of BPA's toxicity in the
3 male reproductive system, particularly the prostate. Many
4 studies have found that developmental exposure to BPA
5 causes a variety of functional changes, including abnormal
6 differentiation of cytokeratin ten cells altered -- and
7 I'll skip some of this for time.

8 These sensitive indicators of prostate impacts
9 were simply not studied by industry funded guideline
10 studies, which often use more crude measures of toxicity.
11 BPA has also shown to provoke a variety of changes to
12 brain development and behavior.

13 The Canadian Government used this body of
14 research as the basis for listing BPA as toxic. It took
15 immediate action to reduce infant exposures last year in
16 the United States both OEHHA and the NTP highlight studies
17 showing loss of sexual dimorphic behavior as the strongest
18 and most consistent findings of developmental toxicity.
19 NTP highlights seven high-quality oral studies showing
20 these effects consistent with known impacts of estrogenic
21 chemicals and currently focused on gestational exposures.

22 We concur with OEHHA's assessment and would like
23 to call the Committee's attention to two recently
24 published studies confirming similar effects in non-human
25 primates. These studies, which Dr. Sarah Janssen is going

1 to testify from NRDC, described in written comments to
2 OEHHA, extend the findings of loss of sexually dimorphic
3 behavior in rodents to more closely related species.

4 The third and last point. Human exposure to BPA
5 is wide spread and its exposures are perilously close to
6 toxic levels in low-dose studies.

7 BPA is widely detected in Americans in more than
8 92 percent of urine samples -- and I'll skip some of this.
9 You've heard a lot of this today.

10 Most worrisome is the detection of free BPA in
11 maternal serum, ovarian follicular fluid, umbilical cord
12 blood, and amniotic fluid, premature infant's urine and
13 the placenta. The widespread detection of the chemical
14 with a rapid half-life suggest Americans have daily
15 contact with BPA from common sources. Food and food
16 packaging are thought to predominate.

17 The EWG, our organization, tested 97 canned foods
18 and formula samples for BPA. We found detectable residues
19 in more than half of the samples. Concentrations ranged
20 from one to 385 parts per billion, with the highest
21 concentrations noted in canned pastas, soup, tuna, and
22 vegetables.

23 We calculate that pregnant women of average
24 weight could consume from .5 to 1 micrograms BPA per
25 kilogram of body weight by ingesting a single serving of a

1 highly contaminated food. Because formula can make up a
2 hundred percent of their daily diet, infant-fed formulas
3 with metal cans -- from metal cans had even higher daily
4 exposure values.

5 It is important to note that these intense
6 exposures from bottle-fed infants likely persist over the
7 duration of formula feeding.

8 In 2008, the Canadian government confirmed EWG's
9 finding with tests of 56 liquid samples, many of which
10 were produced in the United States.

11 A recent Harvard study -- I don't think it's been
12 mentioned today, it came out in May -- found that
13 polycarbonate drink bottles are another significant source
14 of BPA for adults. The bottom line is they found that
15 just drinking cold beverages, not the typically hot or
16 acidic things in a normal polycarbonate bottle leached,
17 urine levels spiked. When they went off it, they went
18 down.

19 This research suggests that high-end adult
20 exposures can routinely exceed one microgram per kilogram
21 of body weight and infant exposures can be even higher.

22 Skipping ahead here a little. The lowest dose
23 studies shown to affect brain and behavior in male
24 reproductive development employ doses of just ten
25 micrograms BPA per kilogram of body weight, which is by

1 any measure an unacceptably low margin of safety for
2 effects as serious and permanent as those caused by BPA.

3 Before my closing paragraph, I want to mention
4 one thing about the WIC, Women, Infants, and Children
5 Program. The previous speaker from the industry said
6 that, you know, there's three hundred and something
7 thousand babies relying on this, so WIC is really
8 concerned about this.

9 WIC supports a ban on BPA in infant formula in
10 the State of California. They're on record with that in
11 the California legislature. The reason is, they said
12 almost all their babies are fed with powdered formula.
13 They're confident they can get BPA-free powdered formula.
14 Therefore, they support a ban on BPA in these baby
15 products. And that's not what you're being asked today is
16 to place a ban on anything. But I just wanted to clarify
17 WIC's position here and not let it get misconstrued.

18 In closing, we urge the Committee to consider
19 carefully today's decision. BPA clearly meets the
20 Committee's 1993 criteria for listing as a reproductive
21 and developmental toxicant on the basis of sufficient
22 evidence in experimental animals, such that extrapolation
23 to humans is appropriate.

24 This includes careful experimental design,
25 relevant routes of exposure, and dosing levels well below

1 those that result in maternal or systemic toxicity. As
2 the NTP and FDA science board have determined, the weight
3 of evidence supports concern for present day BPA
4 exposures. EWG urges the Committee to join these
5 authoritative bodies and list BPA accordingly pursuant to
6 Prop 65.

7 Thank you very much.

8 CHAIRPERSON BURK: Thank you.

9 Next.

10 MR. CABRALES: Good afternoon, Committee members.

11 If any of you cannot see me, I'll raise my hands.

12 (Laughter.).

13 MR. CABRALES: Thank you very much for the
14 opportunity to address you today and also for the patience
15 you've had so far with all of us.

16 My name is Luis Cabrales. I am senior campaign
17 and outreach associate at Coalition for Clean Air a
18 statewide organization working to clean the air and
19 protect public health from toxic chemicals.

20 Now, today and prior to today, you have heard and
21 read everything there is to know about BPA. That is why
22 I'm not hear to tell you more about BPA. In fact, I will
23 neglect to tell you my background. Instead, I want to
24 focus on the fact that I am -- besides a health advocate,
25 I am a father, and I am a consumer like everybody here.

1 And because of my lack of expertise and my humble
2 background, I want to trust you today with the decision
3 that you are going to make, because it is you, as an
4 entity, the ones whose technical background we are relying
5 today. And it is up to you to make a very important
6 decision. It's not an easy decision, but it's a very
7 important decision, and that is why I think we have heard
8 from representatives of some of the most powerful
9 companies telling you that the decision today is not the
10 right decision. And, in fact, if you chose to list BPA on
11 Prop 65 will be the end of food as we know it.

12 (Laughter.)

13 MR. CABRALES: Now, to do so, they're asking you
14 to ignore the facts. They're asking you to ignore your
15 common sense, and they're pointing towards flawed and
16 biased data or studies that they may have sponsored. But
17 I want to remind you and I want to remind everyone in this
18 audience that that is the MO of industries that see their
19 interests in danger when an action -- a regulatory action
20 is going to be taken.

21 Not too long ago, we saw the expense that some
22 industries took to influence and prevent scientists from
23 President Bush's EPA from actually making decisions based
24 on their expertises.

25 And so -- but fortunately for me, as a father, as

1 a consumer, and as an environmental health advocate, this
2 is not Bush's EPA. But your decision though will go
3 beyond California. It will definitely have an impact
4 nationwide. Maybe beyond the U.S. And so that's why this
5 decision is so important to us. And that's why I want to
6 rely on your expertise and your common sense to separate
7 the good science from the biased flawed science.

8 I want you to remember that we are not asking you
9 to ban BPA as we were told earlier. That's not what we
10 are asking. We are asking you to use your expertise, your
11 common sense, to look at all the information you received,
12 and to list BPA as a possible developmental and
13 reproductive toxicant. That's all we're asking.

14 So contrary to what we were told earlier, listing
15 BPA is not going to be the end of food. I mean, we're not
16 going to stop eating, because you're listing BPA in Prop
17 65.

18 But as a consumer, as a father, and as a
19 advocate, it will give me the opportunity to make educated
20 decisions about the foods I want to purchase and the foods
21 I want to feed my child. And I'm sure everybody in this
22 room would like to have that opportunity.

23 So common sense tells me there's enough evidence
24 to make a decision. And that's why I'm humbly asking you
25 to look at all this information and based on your

1 expertise, you make that decision today.

2 Thank you very much.

3 CHAIRPERSON BURK: Thank you.

4 Caroline Cox.

5 MS. COX: My name is Caroline Cox. I'm from the
6 Center for Environmental Health. And I know it's been a
7 really long day, so I'm going to try to be really brief.
8 I'm here to speak in support of listing BPA. And I wanted
9 to address just one of the issues that's come up earlier
10 today.

11 We started off the morning with a presentation
12 from the OEHHA staff that, I think, did a really good job
13 of summarizing the enormous amount of research that's been
14 done on this chemical. And then more recently we had a
15 presentation that asked us to basically just focus on a
16 few of the studies that have been done, because they're
17 the studies that were designed to be used for regulatory
18 purposes.

19 And I have the privilege of serving on an
20 advisory group to U.S. EPA. It's called 21st Century
21 Toxicology Testing. And it's under the auspices of the
22 Office of Pesticide Programs. So it actually doesn't
23 specifically address BPA, but it's looking at the general
24 issue of how toxicology testing should change in the 21st
25 century.

1 And this process started actually in Europe when
2 they started looking at a switch to non-animal toxicology
3 testing methods. And many of them are the molecular and
4 cellular kinds of tests that we've been talking about with
5 BPA today. So what the U.S. EPA did was ask the National
6 Academy of Sciences to sort of evaluate this 21st century
7 toxicology and give the agency some advice about how they
8 should proceed. And what the National Academy of Sciences
9 said is that EPA should be moving, and relatively quickly,
10 in this direction.

11 So they convened an advisory group that I'm on,
12 not to decide whether or not this transition was a good
13 idea, but to advise the agency on how to communicate to
14 the public why they were making this change and what the
15 benefits of it are.

16 So I think that the speakers today who asked you
17 just to look at the quote "conventional" toxicology tests
18 on BPA and not look at, I think what was referred to as,
19 low-dose unconventional methods, are basically asking you
20 to stay back in the 20th century, as far as toxicology
21 testing, and not take advantage of all of the new
22 developments and toxicology testing that are coming about
23 because of current research.

24 So I'd really encourage you to look at the whole
25 breadth of the research that's been done on BPA, and not

1 confine yourself to studies that may very quickly be shown
2 to be -- have been done with the protocol that's not
3 really up to current standards.

4 Thank you.

5 CHAIRPERSON BURK: Thank you.

6 Janet.

7 MS. PALITZ: Good afternoon. I'm Pamela King
8 Palitz. And I'm the Environmental Health Advocate and
9 staff attorney for Environment California. We are a
10 statewide grassroots advocacy group.

11 And we've been educating Californians about the
12 links between exposure to toxic chemicals and increases in
13 developmental diseases since our 2004 report, Growing Up
14 Toxic.

15 But today I'm actually speaking more as an
16 attorney than as an environmental health advocate. I have
17 reviewed carefully the criteria you follow in recommending
18 chemicals for listing and I'd like to briefly outline why
19 BPA meets those criteria.

20 As you heard from Mr. Landfair, the criteria
21 state that in evaluating the sufficiency data, you should
22 use the weight-of-evidence approach. This approach
23 involves the integration of all the available data to
24 arrive at an answer. That's kind of what Caroline was
25 just saying.

1 It's no secret that our knowledge about
2 mechanisms of toxicity is still developing and that good
3 epidemiological evidence is seldom available, and the
4 animal studies are not always conclusive.

5 And perhaps you believe that the information
6 available at a given time provides only persuasive rather
7 than hard evidence. Well, what I would say to you is that
8 persuasive is sufficient based on the clearly shown burden
9 of proof. In a few minutes you'll hear from Dr. Joe Guth
10 more on this subject.

11 But in addition to the clearly shown burden is
12 also the weight-of-evidence approach. And weight of
13 evidence is a concept in law as well as in scientific and
14 policy making literature. And it's simple, it's the
15 measure of credible proof on one side of a dispute as
16 compared to the credible proof on the other. And it's
17 particularly the probative facts, the important facts that
18 really prove something.

19 Probative facts make the existence of something
20 more probable, or even more important, less probable than
21 it would be without them. So the guidance criteria tell
22 you something very important about this approach that data
23 on more than one species or for more than one study
24 increase confidence. In other words, they tip the scale
25 in the weight-of-evidence approach.

1 So let me give you a couple of examples. For
2 female reproductive toxicity, there's a lot of laboratory
3 rodent data that Dr. Wu reviewed this morning. And many
4 of those reports report the exposure of female lab rodents
5 to BPA during gestation, lactation, adolescence and adult
6 reproductive age, and the effects that they have on the
7 reproductive system. The onset of puberty, the length of
8 menstrual cycle, mammary gland develop all appear to be
9 most profoundly affected. This data is applicable to
10 humans. And girls exhibiting early onset puberty may be
11 more at risk for the development of reproductive tract
12 cancers later in life. And early onset of puberty is risk
13 factor in breast cancer.

14 As for developmental toxicity, the results of the
15 study of sexually differentiated behavior in monkeys,
16 rats, and mice are striking. So this is where we're going
17 to talk about the monkeys that everybody is mentioning.

18 There's this new study of monkeys by the Japanese
19 that, you know, says that exposure to BPA in the womb
20 makes male monkeys behave more like infant female monkeys.
21 And what's interesting about that is that there's very
22 similar studies that describe the same kind of behavior in
23 mice, and similar studies that describe the same kind of
24 behavior in rats. And so this is what we have, we have
25 three different species, monkeys, rats, and mice, all

1 exhibiting behavioral changes, all as a result of exposure
2 to BPA in utero.

3 So let's go back to the criteria that data on
4 more than one species increases confidence. So I ask you
5 to remember the weight-of-evidence approach suggested by
6 the guidance criteria, the number of studies that come to
7 the same conclusions and the different species that
8 respond to BPA in markedly similar ways should increase
9 confidence that this is probative evidence. This is the
10 kind of evidence that makes a fact more credible.

11 I urge you to decide that BPA is a reproductive
12 toxicant and that Californians are entitled to be warned
13 when they're going to be exposed to it.

14 Thank you.

15 CHAIRPERSON BURK: Thank you.

16 MS. NUDELMAN: Hi. My name is Janet Nudelman.
17 I'm the director of program and policy at the Breast
18 Cancer Fund. We are a San Francisco based national
19 nonprofit organization working to prevent breast cancer by
20 identifying and eliminating the environmental links to the
21 disease.

22 As you can imagine, our organization is very
23 seriously concerned about the growing body of scientific
24 evidence linking exposure to Bisphenol A to an increased
25 risk of breast cancer.

1 I, however, am not going to be talking about that
2 issue. My colleague Gretchen Lee will be coming up in
3 just a few minutes to do so.

4 I am here instead today to speak on behalf of the
5 Dr. Patricia Hunt, who could not be here, but whose
6 remarks were previously submitted to this committee as a
7 part of the formal public comment record.

8 Dr. Pat Hunt is a Meyer Distinguished Professor
9 at the School of Molecular Biosciences at Washington State
10 University. Her current research focuses on determining
11 the reproductive effects of exposure to chemicals with
12 estrogenic activity, including BPA, during different
13 developmental time points.

14 And I do have extra copies of Dr. Hunt's remarks
15 if you need them. So just let me know.

16 "To the members of the DART Committee. I
17 understand that you are considering whether to
18 list Bisphenol A on California's Prop 65 list. I
19 am writing to urge you to take this action.
20 Studies from an increasing number of academic
21 laboratories, including my own, have demonstrated
22 that in rodents very low doses of the
23 hormone-like chemical BPA can exert very powerful
24 effects on the developing fetus and the neonate.
25 These effects alter the reproductive ability of

1 both males and females, affect the brain and
2 behavior, lead to an increased risk of breast and
3 prostate cancer in the adult animal, and cause
4 metabolic changes that can lead to diseases like
5 diabetes and heart disease.

6 "My laboratory entered into BPA research in
7 an unexpected way. An accident in our mouse
8 facility led to leaching of the plasticizer BPA
9 from caging material and water bottles causing a
10 sudden change in the data of several ongoing
11 studies. Because the onset of leaching was
12 abrupt - the result of inadvertent damage to
13 caging materials through the use of the wrong
14 detergent - we were quickly able to detect
15 changes in the results of individual experiments
16 to determine the cause.

17 "Our studies involved analysis of
18 periovulatory eggs and the sudden exposure of our
19 animals to estrogenic chemical BPA, caused a
20 spike in meiotic disturbance in eggs from control
21 females. Indeed, we observed changes in two
22 separate sets of studies, an increase in
23 chromosome alignment defects in cells undergoing
24 the first meiotic division, which normally occurs
25 just prior to ovulation, and an increased level

1 of chromosomally abnormal eggs in the metaphase
2 II stage, the stage at which an egg is ovulated.
3 The changes in the data sets for both studies
4 suggested that BPA exposure had the potential to
5 disrupt the final stages of egg development.

6 "Although I believe the evidence for effects
7 of BPA on the final stages of egg development is
8 compelling, these results pale in light of our
9 more recent studies. The earliest stages of egg
10 development take place in the fetal ovary. And
11 studies in humans have demonstrated that events
12 that occur during this period set the stage for
13 errors in the adult ovary that give rise to
14 chromosomally abnormal eggs. We tested the
15 effect of BPA exposure on the fetal stages of egg
16 development by exposing pregnant mothers to low
17 doses of BPA for several days and then analyze
18 the eggs developing -- sorry -- the eggs
19 developing in the ovaries of the female fetuses.

20 "The results were stunning. BPA caused major
21 disturbances in the fetal ovary, and, if exposed
22 females were allowed to be born and mature, we
23 found that 40 percent of the eggs they ovulated
24 and the embryos they produced were chromosomally
25 abnormal. This is a grand maternal effect. By

1 exposing mother, we are affecting the likelihood
2 that her grandchildren will be chromosomally
3 abnormal. The fact that a brief exposure during
4 fetal development can dramatically impact the
5 reproductive ability of the female has very
6 serious implications for humans, but importantly
7 it would take us two generations to detect an
8 effect like this in humans.

9 "Over 200 papers from academic laboratories
10 like mine have reported adverse effects as a
11 result of low dose Bisphenol A exposure. How, if
12 these findings are true, can industry claim that
13 this chemical is perfectly safe.

14 "In addition to trying to discredit the work
15 of scientists like me, the American Chemistry
16 Council and its chiefs spokesperson, Mr. Hentges,
17 claim that a few studies conducted by industry
18 are more meaningful than all of the studies
19 conducted by academic scientists.

20 "They make this claim because their studies
21 use a very expensive standard testing protocol
22 that is conducted under federally approved strict
23 quality control guidelines called Good Laboratory
24 Practices. This enables regulators to document
25 the data in a court of law, but it is not an

1 assurance of the quality of the science. Indeed,
2 this standard study design has serious
3 limitations and is not suitable for studies of
4 chemicals like BPA that have hormone-like action.

5 "Importantly, the industry studies Mr.
6 Hentges uses in his argument are deeply flawed.
7 And concerns about the use of these studies by
8 regulatory agencies are sufficiently serious that
9 a number of scientists, myself included, felt
10 compelled to write a commentary detailing the
11 flaws and limitations for leading journal in the
12 field, Environmental Health Perspectives."

13 And I have copies of this commentary as well
14 if you're interested.

15 "What should you believe? The results of
16 studies conducted by an industry that makes
17 billions of dollars from this chemical or the
18 results of studies conducted by some of the
19 countries best academic scientists using
20 innovative new techniques.

21 "I don't think you should be forced to
22 choose. I think you should consider the
23 evidence. If you do, you will find that as of
24 August 2008, there were 218 studies of low-dose
25 effects of BPA, 189 reported significant adverse

1 effects and 29 reported no evidence of adverse
2 effects. Those of us who have been in this field
3 for over a decade don't like those figures and we
4 are very concerned.

5 "In my opinion, the evidence against this
6 chemical is strong and very damning. Academic
7 scientists don't have the financial backing or
8 the professional spokespeople that the industry
9 has, so our side of the story tends to be
10 underrepresented, which is why a growing number
11 of us have decided that we need to speak out
12 about the flawed science that is being used to
13 argue the safety of this extremely toxic chemical

14 "If you have further questions, I would be
15 happy to speak with you by phone, by Email or in
16 person.

17 "Sincerely, Dr. Patricia Hunt, Ph.D., Meyer
18 Distinguished Fellow."

19 Thank you.

20 CHAIRPERSON BURK: Thank you.

21 I am getting nervous, so I please urge you when
22 you come up to -- don't repeat what we've already heard.
23 I know that everyone wants to be heard, but we really need
24 to leave enough time for us to discuss this. And I will
25 start timing you now.

1 Okay, next we have coming up -- yeah, I think
2 we'll do that because we're never going to get done at
3 this rate.

4 So the next group will be Anita Sarah Jackson,
5 MomsRising.org. Rivka Gordon, Elisa Batista, Sophie
6 Noero, Andria Ventura. Why don't we go with those people.

7 Well, you asked for five minutes, so we're going
8 to hold you to five minutes.

9 MS. JACKSON: That's just fine.

10 CHAIRPERSON BURK: Okay.

11 MS. JACKSON: Good afternoon. Thank you so much.
12 It's an honor to be able to make public comment before
13 this Board.

14 My name is Anita Sarah Jackson, and I'm a member
15 of MomsRising.org, which has over 40,000 members in
16 California and over a million members nationwide. I'm a
17 lawyer and I'm married to a physicist, which is just to
18 say that there's a natural desire to dig up facts in our
19 family and to consider the source of those facts. I'm a
20 lay person when it comes to BPA. I'm a mom and the
21 primary consumer for our household.

22 I'd like to make three simple points.

23 Point one, as a mom and the primary household
24 consumer, I want to know what it is exactly in the
25 products I buy for my family.

1 Point two, this science is clear, not just to me
2 as a lay person, but among scientists and peer-reviewed
3 studies. It's not about our feelings or my earnestness.
4 It is about the facts brought to light by scientists with
5 no ties to industry, who are based at large teaching
6 hospitals, the facts.

7 Point three, there is nothing more conventional
8 than a mother's desire to protect her family from
9 chemicals that are a cause for clear concern in their
10 effects in our bodies and our children's bodies.

11 Where I live in San Ramon, a suburb, is not a
12 hotbed of green activism to say the least. So when I
13 began to see consumer products bearing a BPA-free label in
14 stores, I began to learn more. I learned from both
15 scientific studies and the popular media that there are
16 several routes of exposure, including my own breast milk.
17 And I learned of BPA's toxicity.

18 During both my pregnancies, I was determined to
19 be as healthy as possible. I used to be a labor coach for
20 low-income pregnant women, and I saw firsthand the
21 challenges and sometimes troubling outcomes when pregnant
22 women did not or could not control their exposures to
23 chemicals.

24 There's a lot we cannot control in pregnancy and
25 in parenting, so the bottom line is to be able to make

1 informed choices about what we do consume. So maybe I'm
2 something of an enthusiastic California citizen. I look
3 up chemicals listed under Prop 65. And I appreciate that
4 in this state, I can have a better understanding and
5 awareness of my cumulative exposure to these chemicals.

6 Unlike my sister, who's a scientist living across
7 the country, without access to similar information, who is
8 experiencing now fertility challenges.

9 Most importantly, I am grateful that living here
10 I can have more complete information of what my toddler
11 and my infant daughter are exposed to. I want them to
12 grow and live long past me, free from reproductive sorrows
13 and grief, free from exposures to unnecessary chemicals,
14 as they grow. And not just my children, but all children,
15 including lower income kids who may rely on canned goods
16 and should not be exposed to more chemicals simply by
17 virtue of their socioeconomic status.

18 I must add that these babies deserve breast milk
19 as their first food and not default to formula for sterile
20 metal can. But if they must, again, they should not be
21 exposed to even more chemicals simply because they're
22 poor. Regular baby food does come in glass jars.

23 As a mom, I want to have the information I need
24 to make the best choices I can for my family. Anyone who
25 is a mother or has a mother, can relate to that. Please

1 remember that transparency is a hallmark of our democracy,
2 so I ask you to please list BPA under Prop 65.

3 Thank you so much.

4 CHAIRPERSON BURK: Thank you.

5 MS. GORDON: Good afternoon, my name is Rivka
6 Gordon. I am a physician's assistant, a woman's health
7 care specialist, and the director of strategic initiatives
8 with the Association of Reproductive Health Professionals,
9 ARHP.

10 ARHP serves as the leading source of ACCME
11 accredited trusted medical education and information on
12 reproductive and sexual health.

13 ARHP was founded in 1963 and is
14 multi-disciplinary professional association with over
15 11,000 members representing the full health care team,
16 physicians, nurse practitioners, PAs, nurse-midwives,
17 pharmacists, researchers, and educators, all with
18 expertise in reproductive health research or practice.

19 ARHP and its members provide reproductive health
20 services and education and conduct reproductive health
21 research.

22 On behalf of ARHP and its members, it's my
23 pleasure to make comment before this committee and in
24 support of listing Bisphenol A on Prop 65. ARHP is
25 offering comments today because we value evidence based

1 science and serve as the translating interface between
2 cutting-edge science and reproductive age consumers.

3 We are responsible for educating health care
4 providers with expert information, so that they can
5 provide excellent care to their patients, safeguarding not
6 only their health, but the health of their current and
7 their future families.

8 History and science make clear that substances
9 once considered safe have later been show to cause harm,
10 and we all know examples of those, thalidomide, alcohol
11 and tobacco, mercury, and DES are just some examples of
12 those that we're familiar with.

13 Research informs us that a critical window of
14 exposure may have much to do with the effect of a harmful
15 exposure that may later play a significant effect on the
16 woman's health or that of her child.

17 As a reproductive health issue, it's crucial for
18 health care providers to learn more about the potential
19 risks that may adversely affect their patient's
20 reproductive health and pregnancy outcomes. It's
21 important for women and their families to have all the
22 information they need as they make decisions that can best
23 support healthy lives and healthy families.

24 While the science is still emerging, what's
25 presently known about BPA is worrisome. Human exposure to

1 BPA is widespread. It's in the seemingly inconsequential
2 plastics and linings of metal cans that women of
3 reproductive age babies and children come frequently into
4 contact with and we've just discussed that over and over
5 today.

6 Emerging research indicates that low levels of
7 BPA that were previously thought not to be harmful are now
8 associated with negative health outcomes. Research also
9 indicates that BPA may be related to increased trends in
10 humans and we've discussed them again. We've discussed
11 them today, things like abnormal penile urethral
12 development in males and early puberty in females, an
13 increase in childhood and adult obesity, Type II diabetes
14 mellitus, regional decreases in sperm count, many of the
15 things that we've heard today.

16 Informed by research from the environmental
17 sciences and confirmatory research in laboratory animals,
18 who are exposed to levels of BPA that are relevant to
19 human exposures, there's a growing concern about BPA's
20 adverse effects on humans.

21 ARHP supports first-rate science in forming
22 clinical decision making. Unfortunately, we do not always
23 have the data we want to make absolute recommendation to
24 our patients. But a limitation of a certain kind of
25 evidence does not mean evidence of absence of harm.

1 Ethical concerns prevent us from ever being able to
2 conduct randomized double-blinded placebo controlled
3 studies on pregnant woman, exposing them to various levels
4 of BPA or other endocrine disrupting chemicals.

5 Scientific uncertainty will therefore continue to
6 exist. But based on the weight of the evidence from
7 hundreds of scientific studies that we've heard about
8 today, and the consensus of dozens of experts who have
9 done excellent research on BPA, ARHP supports the
10 recommendation that will decrease overall BPA exposure in
11 our population.

12 ARHP recommends that this committee list BPA on
13 Prop 65, in order to be able to protect California's
14 public from this toxicant. ARHP and its members, many of
15 whom are California's leading reproductive health
16 clinicians and scientists, believe that the evidence
17 supports listing BPA in order to protect people -- all of
18 the people, especially women of child-bearing age,
19 children in adolescence from the risk of exposure by
20 adding BPA to the list Of chemicals under Prop 65.

21 Thank you.

22 CHAIRPERSON BURK: Thank you.

23 MS. BATISTA: This will be short. First of all,
24 I want to thank this committee for it's important work and
25 for looking into the chemical Bisphenol A.

1 My name is Elisa Batista, and among my many hats,
2 I am a writer, a member of family organization
3 MomsRising.org, and a mother to two small children, a five
4 year old son and a two year old daughter. I live nearby
5 in Berkeley, California.

6 At no other time in my life did I care more about
7 the products I consume than when I became pregnant. For
8 the first time in my life, I cared whether the food I ate
9 was organic, whether their plastic containers had harmful
10 chemicals like BPAs. And, yes, I read those warning
11 labels on the walls of elevators.

12 I was extra cautious because all the birthing and
13 parenting books I read, let me know that the first three
14 months of my pregnancy were a critical time for my baby's
15 development. I was worried, because I had already had two
16 miscarriages, one at the age of 25. I really wanted to
17 become a mother, so I was not going to take any chances.

18 I first read about BPAs in Newsweek and I was
19 alarmed. Not only did the science around their toxicity
20 seem real, but they are everywhere, including the plastic
21 plates we eat from and the cups we drink from. I read
22 that they have been found in human breast milk and even in
23 babies.

24 What is frustrating about these articles is
25 there's no way for the consumer to know which products

1 contain BPAs and which ones don't.

2 Categorizing BPAs under Proposition 65 would be
3 helpful to prospective mothers. It would allow those of
4 us uncomfortable with these chemicals to have the option
5 to purchase other products. It would bring tremendous
6 piece of mind to those of us who have suffered from
7 multiple miscarriages or simply do not want to find out
8 the consequences of long-term exposures to these
9 chemicals.

10 Thank you for your time.

11 CHAIRPERSON BURK: Thank you.

12 MS. NOERO: Good afternoon. My name is Sophie
13 Noero. I would like to thank the Committee for the
14 important work you do and for the opportunity to comment
15 today.

16 I work for an organization called Worksafe.
17 We're a California-based nonprofit organization dedicated
18 to promoting occupational safety and health through
19 education, training, technical and legal assistance, and
20 advocacy. We focus on eliminating all types of workplace
21 hazards and also on workplace created toxic hazards that
22 impact at-risk communities in California.

23 I'm here today in particular for those workers
24 who can't be here to speak about their potential
25 exposures. Often it is the low wage, non-english speaking

1 immigrant worker population who faces the greatest
2 barriers in pursuing their right to know what chemicals
3 they are working with and what their potential exposures
4 are.

5 We believe that consumers have a right to know
6 what it is in the products they use and why we are
7 concerned about consumer exposure to toxic chemicals.
8 Those concerns cannot be separated from the issue of
9 worker exposure.

10 Workers are consumers after all, but
11 manufacturing jobs that bring workers into contact with
12 BPA are another important source of potential exposure to
13 BPA.

14 Prop 65 listings have been very much a process
15 that consider worker exposures. And indeed, chemicals
16 have been listed based on scientific evidence that showed
17 harmful worker exposures, 1-bromopropane being an example.

18 We are very concerned about workplace exposures
19 to BPA, because the scientific evidence has been mounting
20 regarding the dangers of this chemical. Workers are
21 exposed to BPA and the chemical And manufacturing
22 production processes. Workers usually do have higher
23 exposures than the general population, and BPA is no
24 exception.

25 Breast cancer, reproductive and developmental

1 health problem are very troubling issues. Occupational
2 exposures to BPA are significantly greater than what the
3 general adult population faces, a finding that has serious
4 implications for both male and female workers, especially
5 those of child-bearing age, who work in manufacturing and
6 other industries using BPA or BPA-based products, such as
7 thermoforming of polycarbonate and spray application of
8 epoxy coatings.

9 In conclusion, we urge the Committee to follow
10 the science, protect public health, and list BPA, so that
11 all Californians, including our workers, are aware of
12 their potential BPA exposure.

13 Thank you.

14 CHAIRPERSON BURK: Thank you.

15 MS. VENTURA: Good afternoon, and thank you for
16 the opportunity to address you this afternoon.

17 My name is Andria Ventura and I'm here on behalf
18 of Clean Water Action and our 60,000 California members.
19 We are a national organization concerned with the
20 environmental health of our communities, and in particular
21 the water resources that those communities rely on.

22 I certainly -- and I will make this part very
23 brief, I'd like to echo the remarks you've heard today
24 regarding the strong body of scientific evidence that
25 demonstrates Bisphenol A's role as a developmental and

1 reproductive toxicant, even at low levels, during the most
2 vulnerable times of human development.

3 And we certainly entreat you to look at the most
4 recent research that was presented earlier today. We
5 believe it is strong and very compelling.

6 We've heard that the daily intake of BPA is
7 primarily through our diet, and that that is a variable
8 intake. Clean Water Action is concerned about another
9 route of exposure, that is our drinking water, which can,
10 in fact, provide a consistent dose intake over time.

11 Bisphenol A is being detected in water sources
12 worldwide, and at levels that could impact human health.
13 For instance, it appears to be a significant wastewater
14 contaminant and is escaping through the wastewater systems
15 into streams, lakes and even groundwater. In other words,
16 we can't rely on treatment to adequately remove it.

17 There are a number of studies. I'm not going to
18 go through all of them. I will just provide three very
19 quick examples, that the U.S. Geological Survey found.

20 In a 2002 study of wastewater contaminants
21 impacting water sources, BPA was detected in 41 percent of
22 139 U.S. streams that they looked at.

23 A study of drinking water sources published in
24 2008 found that Bisphenol A was one of the five most
25 frequently detected organic wastewater contaminants

1 impacting groundwater sites that were tested. It was
2 found in 20 percent of those sites.

3 And then finally, a third U.S. Geological Service
4 study that same year found the chemical in 30 percent of
5 the groundwater samples collected from 47 sites across 18
6 states. Fifty percent of Californians, I should tell you,
7 drink groundwater. That is their drinking water source.

8 In sum, Bisphenol A is entering the environment
9 with the ultimate effect of contaminating our drinking
10 water supplies. Wastewater treatment is not removing it
11 adequately to protect public health, and we are concerned
12 that levels are significant enough to have potential
13 impacts on public health.

14 Given this oft unconsidered exposure pathway and
15 the clear evidence that we believe is out there that
16 Bisphenol A does cause harm, we urge you to add this
17 chemical to the Proposition 65 list. And I'll leave it at
18 that. We thank you for your consideration.

19 CHAIRPERSON BURK: Thank you. The next folks
20 will be Angie Garling, Alissa Shaw, Joseph Guth, Nancy
21 Bellen, Sarah Janssen and Gretchen Lee Salter.

22 And I urge you to be as brief as possible.

23 DR. GUTH: Okay. I've timed this out at four
24 minutes or so. Well, we're going to take a little of a
25 break from the science. My name is Joe Guth. I'm the

1 legal director of an NGO called the Science and
2 Environmental Health Network. Besides being a lawyer, I
3 also have a Ph.D. in biochemistry.

4 But I want to focus on some of the legal issues
5 that are involved in the decision that you're making
6 today. It's actually an interesting and complicated
7 decision that you're being asked to make, because it
8 involves elements of both science and the law.

9 You've heard that other governmental bodies have
10 made decisions about BPA and that's true. But keep in
11 mind that every law that governs those decisions has its
12 own purposes, its own structure, details its own criteria,
13 and it's own balance of interests. So some of those
14 decisions on BPA might be helpful to you, and some might
15 not be. I just urge you to think about that when you're
16 thinking about what the significance of these other
17 decisions is.

18 So turning then to Prop 65. As you know, it can
19 result in placement of warnings on products. But today
20 you're not deciding whether products should have warnings
21 about BPA. You're not deciding whether BPA is useful,
22 whether it's important, whether it should be regulated,
23 whether its benefits outweighs its costs. None of that is
24 relevant to the decision that you're making today. And
25 many of those issues aren't even relevant to Proposition

1 65 at all.

2 You are being asked, under the proposition by the
3 people of California, who voted for Proposition 65 simply
4 to make a threshold decision in a process that's
5 established by that law.

6 And that is to answer the question of whether BPA
7 has been clearly shown through scientifically valid
8 testing according to generally accepted principles to
9 cause reproductive toxicity.

10 But I want to focus on my remarks here on those
11 two words "clearly shown". Those two words establish the
12 level of scientific certainty that Californians decided is
13 appropriate for listing a chemical under Proposition 65.
14 But what do they mean exactly?

15 So laws use different standards of certainty,
16 depending on what they're trying to accomplish. The most
17 common standard - you will know this one - is used in --
18 that is used in civil cases is called the preponderance of
19 the evidence. It means 51 percent of the evidence.

20 In civil cases, the party with the best case
21 wins, even if it's only by a hair. Another standard you
22 know is the criminal one of beyond a reasonable doubt.
23 This is a very high standard. It requires government to
24 eliminate every reasonable doubt in order to obtain a
25 conviction. And a doubt is reasonable, if a reasonable

1 person could believe it, even if you -- even if a
2 particular juror doesn't.

3 So if there is a reasonable doubt under the
4 beyond-the-reasonable-doubt standard, then a jury
5 shouldn't convict.

6 Now, "clearly shown", the standard that you must
7 apply today, is somewhere in between. It's similar to
8 another commonly legal standard called "clear and
9 convincing evidence". It's a fairly high standard, but it
10 is not as high as beyond a reasonable doubt. It admits of
11 some uncertainty, some conflicting evidence, some doubt.
12 It even allows a reasonable doubt to be present.

13 So this means that once you look at all the
14 evidence, you can find that BPA should be listed, even if
15 you can also see that a reasonable person could disagree.
16 The "clearly shown" standard does not require that the
17 decision to list BPA be the only reasonable one. So there
18 could be good reasons for a law to list a chemical even if
19 there's a reasonable doubt.

20 Proposition 65 reflects a concern that the
21 downside of failing to act once a danger becomes clearly
22 shown. Using too high an evidentiary standard, risks
23 false negatives and the consequent harm to public health.
24 Using the clearly shown standard, allows California to
25 start a process that could protect public health, even

1 where there is some remaining doubt.

2 So in conclusion then, California is asking you
3 to apply the legal standard of "clearly shown". It would
4 not be appropriate for you to use a different standard,
5 even if that standard that you would use in your own work
6 as a scientist.

7 It's entirely possible for you to think that
8 further experimentation is needed to remove all doubt
9 about whether BPA is a reproductive toxicant, and still at
10 the same time conclude that BPA has met the legal standard
11 for listing under Proposition 65.

12 So I think it's critical that you be very clear
13 about this standard and the question that you're being
14 asked to answer. And if there any doubts, I'd urge you to
15 seek clarification from OEHHA's general counsel, Carol
16 Monahan-Cummings.

17 Thank you very much.

18 CHAIRPERSON BURK: Thank you.

19 MR. BELLEN: Hello. My name is Nancy Bellen.
20 I'd like to thank the Committee for the important work
21 you're doing. I'm a mother, a wife, a daughter, a friend.
22 I'm also a fourth generation Californian and I'm the first
23 person in my family to be diagnosed with breast cancer.

24 Like most breast cancer survivors, I did not
25 carry the breast cancer gene. At the age of 32, I was

1 pregnant and diagnosed with breast cancer. My invasive
2 breast cancer tumor was found at a prenatal check. And at
3 that time it was the size of a nickel.

4 Over the next 11 days, the tumor, which was
5 clearly estrogen fed, grew to the size of a golf ball,
6 which could be seen across the room with my shirt off. As
7 a survivor of an estrogen receptor positive breast cancer,
8 I'm at greater risk over the rest of what I hope to be a
9 very long life, at a new primary cancer or a recurrence of
10 breast cancer.

11 Through my own research, I've learned how
12 important it is to avoid certain exposures -- to avoid
13 exposures to synthetic estrogens like BPA.

14 But how can I avoid BPA if I don't know which
15 products contain it?

16 It has become clear to me that daily exposures to
17 toxins like synthetic estrogens are the cause of breast
18 cancer in women under the age of 40.

19 What has the exposure to synthetic estrogens cost
20 me? My child, my breast, 13 years of biopsies and more
21 sleepless nights than I can count.

22 Future generations should be spared this risk and
23 can be spared this risk of BPA, if BPA is listed on Prop
24 65. Listing BPA will give families and women like me at
25 higher risk for breast cancer reoccurrence the information

1 we need to avoid the chemical.

2 I respectfully ask you to list BPA on Prop 65.

3 Thank you for your time and your attention.

4 CHAIRPERSON BURK: Thank you.

5 MS. SHAW: Good afternoon. My name is Alissa
6 Shaw, and I'm associate vice president of Planned
7 Parenthood Mar Monte, headquartered in San Jose. Our
8 affiliate covers 40 counties in California. We have over
9 500 patient visits every year in our over 30 health
10 centers throughout the State.

11 Thank you for giving me the time and opportunity
12 to speak to you today, and for the important work each and
13 every one of you does for the residents of California.
14 We're grateful for your commitment to the health and
15 safety of every child and every family living in this
16 State.

17 I'm here today not only as a public policy
18 advocate for the essential community health services that
19 Planned Parenthood provides, which includes prenatal care,
20 primary care, childhood immunizations, cancer screenings,
21 in addition the reproductive health services, to all that
22 need them, regardless of their ability to pay, but also as
23 a parent to a one year old little boy and as a breast
24 cancer survivor. I was diagnosed at age 27.

25 At Planned Parenthood Mar Monte, our mission is

1 to provide health services, information, and resources to
2 our clients, so that they can make life-long healthy
3 decisions for themselves and their families.

4 It is this mission and commitment to the people
5 who we serve that we urge the Committee to join us in
6 protecting the public's health, children's health, and
7 list of Bisphenol A, the toxic chemical, numerous unbiased
8 scientific studies have shown it to be on the Prop 65
9 list. Accessing information so that families can make
10 informed decisions about their health, and the health of
11 their cherished responsibility, their children, should be
12 accessible to everyone.

13 At PPMM we take environmental health and its
14 impact on reproductive health seriously. With our
15 coalition partners, we held a speaker series last year
16 that focused solely on environmental factors and
17 reproductive health.

18 And the issue of Bisphenol A was a central topic
19 at each and every event. We currently have a program in
20 the Pajaro Valley, near Watsonville, to work closely with
21 farm workers and their employers to protect the farm
22 workers and their families from harmful chemicals.

23 As a mother, I can tell you this issue keeps me
24 awake at night. And the science is very, very compelling.
25 And as a parent, I want the best for my child and all

1 children.

2 As a breast cancer survivor, my story is horribly
3 common amongst survivors. I had no family history. And I
4 do not know why I developed breast cancer. Now, I know
5 it's likely that I was exposed in utero, and that it's
6 also likely that that is a reason why I had difficulty
7 carrying a pregnancy to term.

8 In closing, I urge you to consider the
9 overwhelming scientific evidence and independent studies
10 that have concluded that even very small trace amounts of
11 this toxicant causes specific and harm to the developing
12 fetus, infants and children. Children depend on their
13 caregivers to protect them. I urge you to give them the
14 tools to do so.

15 Thank you again for the opportunity for me to
16 speak to you today and thank you for the service and
17 commitment that you have to a healthy California.

18 CHAIRPERSON BURK: Thank you.

19 DR. JANSSEN: Good afternoon. My name is Dr.
20 Sarah Janssen. I'm a physician, board certified in
21 occupational and environmental medicine. And I'm speaking
22 here today on behalf of the Natural Resources Defense
23 Council. I also have a background in reproductive
24 physiology and an expertise in endocrine disrupting
25 chemicals.

1 I want to commend you all for hanging in there
2 for a lot of comments, and I'm going to keep my comments
3 brief, because many of the things that I want to talk
4 about have already been addressed, but I want to maybe
5 make them in some bullet points.

6 The first is the characterizations of the NTP
7 process. In addition to the endpoints that were
8 identified in the levels of some concern, which have been
9 held up today as reasons to support your listing, I wanted
10 to also remind you that the NTP report, which was
11 published and finalized in September of 2008, made several
12 other conclusions that would support a listing on Prop 65.

13 These include, number one, that, yes, there is
14 widespread exposure in the human population; number two,
15 that BPA can "possibly" affect human development and
16 reproduction; and number three, that based on the weight
17 of evidence, there is "clear evidence of adverse effects",
18 for high dose developmental toxicity in laboratory
19 animals.

20 These same criteria have been used to list other
21 chemicals on the Proposition 65 list in the past,
22 including other endocrine disruptors, such as the
23 phthalates.

24 I also want to come back to this question of
25 maternal toxicity, which was brought up both this morning,

1 and then again this afternoon, but I'm going to mention
2 that at the end of my remarks.

3 On the second point of the route of exposure in
4 the low-dose studies, there's been a lot of discussion
5 again about that today.

6 The NTP, in fact, did consider at least one dozen
7 studies, which were identified as being of utility in
8 their evaluation. These included eight low-dose studies
9 and four studies that used a non-oral route of exposure.
10 And I wanted to read to you one particular -- actually,
11 two sentences from their report, which I think you have.
12 They're on pages 13 and 14 of the NTP brief.

13 And the quote is that "Taken together, these data
14 indicate that compared to adults at a given dose, neonatal
15 rats, and presumably mice, metabolize Bisphenol A more
16 slowly and suggest that differences in circulating levels
17 of free Bisphenol A, arising from oral and subcutaneous
18 routes of administration, as a result of first-pass
19 metabolism, are reduced in fetal or infant animals
20 compared to adults."

21 "And further, NTP concluded...", this is the
22 second quote, "...while more research in this area is
23 warranted, data from studies where Bisphenol A was given
24 by subcutaneous injection were considered as useful in the
25 NTP evaluation as oral administration when treatment

1 occurred during infancy when the capacity to metabolize
2 Bisphenol A is low," end quote.

3 So therefore it's incorrect to say that you
4 should discount this route of exposure as being relevant
5 when you do your deliberations. The 12 studies I have
6 listed here, in case you're interested in knowing what
7 they were, but they were based on a variety of effects
8 related to neuro and behavioral alterations, lesions in
9 the prostate and mammary gland, altered prostate gland and
10 urinary tract development and the early onset of
11 puberty.

12 My second point is the characterization of the
13 FDA analysis. There's been again a lot of discussion
14 about that process today. The FDA did conduct a
15 preliminary analysis last fall to determine whether there
16 was an adequate margin of safety between exposures that
17 are occurring in food and the current estimated levels of
18 human exposure in toxicity, especially in vulnerable
19 populations, such as infants.

20 The draft conclusions by the FDA that BPA was
21 safe were sharply criticized by their scientific board of
22 advisors, and the draft was never finalized. The key
23 criticisms, include an over-reliance on the
24 industry-funded guideline studies, which were the only
25 studies used by the FDA when making their draft

1 conclusion. There were other criticisms for an under
2 estimate of exposure and a too limited reading of the
3 evidence.

4 Two of the bulletin points, which are from that
5 report that I wanted to read for you, were, one, "The
6 draft FDA report does not articulate reasonable and
7 appropriate scientific support for the criteria applied to
8 select data used in this assessment." And number two, "The
9 weight of evidence provides scientific support for use of
10 a point of departure substantially below at least one or
11 more orders of magnitude lower than the five milligram per
12 kilogram body weight day level selected in the draft."

13 And again, I'll remind you that five milligram
14 per kilogram body weight per day level was based on the
15 guideline studies.

16 In fact, FDA had a public meeting last September,
17 which I was in attendance in. There was an invited panel
18 of experts, many of whom are in this room from different
19 organizations, which also included a representative from
20 the National Toxicology Program.

21 That panel was asked by the Board of Science
22 Advisors to state what they felt the dose level that FDA
23 should be using when calculating their margin of safety
24 exposure level. And there was an overwhelming consensus
25 in the room or on that panel, I should say, that the

1 effect level should be considered to be ten micrograms
2 grams per kilogram per day. This is on the record and you
3 can obtain that information. Again, that level is based
4 on the - "some concern" endpoints that were identified in
5 the NTP report.

6 As a result, the FDA has gone back to the drawing
7 board and is reevaluating the science on Bisphenol A.

8 My third point is, despite everything I just told
9 you about the FDA, the question before you is not whether
10 food is a major source of exposure or whether BPA is safe
11 in our food supply. The other government bodies who have
12 been held up as evaluating the evidence today also were
13 evaluating the safety of BPA in food. And the question
14 before you is very different.

15 You're being asked, as the NTP was, to decide
16 whether or not the scientific evidence supports
17 identifying Bisphenol A as a developmental and
18 reproductive toxicant. NTP has reached this conclusion,
19 and their analysis is most relevant to your deliberations
20 today.

21 And then my fourth and final point is that on the
22 topic of the generally accepted principles of experimental
23 design for scientifically valid testing as you heard
24 earlier, it's generally accepted by the scientific
25 community that appropriately designed experiments used

1 both negative and positive controls.

2 And as was described in Dr. Vom Saal's
3 presentation earlier today, many of the industry studies,
4 which have been held up as the gold standard, have had
5 problems with their positive control. There's also
6 another publication, which I can give you, that was
7 authored by a number of authors, including Dr. Vom Saal,
8 which talks about some of the programs with the guideline
9 studies.

10 In particular, I wanted to mention that, while
11 the GLP studies are the federal gold standard for sharing
12 laboratory credentialing, meticulous record keeping, and
13 all of the other certifications that go along with that,
14 they do not assure that the experiment has formed the
15 right hypothesis, that the right questions were asked, or
16 that the relevant endpoints were evaluated.

17 In this case, they did not include the more
18 subtle, yet very important and relevant endpoints, of
19 altered brain development, behavioral changes, and
20 histopathological analyses of the prostate and mammary
21 tissues using the very sensitive immunohistochemistry
22 techniques.

23 On the issue of the maternal toxicity, I'd like
24 to point you to the OEHHA draft brief. And while this is
25 not my area of expertise, I will note that on page 31,

1 OEHHA staff talk about the statistical analysis of these
2 studies. And note that there were a number of statistical
3 outliers that were eliminated from the data sets, as well
4 as using a one-sided rather than a two-sided group mean
5 comparison for some of the variables, which could explain
6 some of the reasons why there was a trend, but not a
7 statistically significant change at the lower dose levels,
8 which did not cause maternal toxicity. And I think maybe
9 OEHHA staff could clarify this for you in your
10 discussions.

11 So I wanted to conclude by saying that we feel
12 the listing, based on each of the three endpoints is
13 warranted, because of the large weight of evidence, and
14 number of animal studies that have been done for all these
15 endpoints in multiple species.

16 To disregard this large body of literature, which
17 has demonstrated a wide variety of effects, in favor of a
18 minority of guideline studies with design flaws and no
19 evaluation of the endpoints that are relevant to
20 neurobehavioral changes or the sensitive changes in the
21 reproductive tissues of the prostate and mammary gland is
22 not consistent with a weight-of-evidence approach.

23 I thank you for your attention.

24 CHAIRPERSON BURK: Thank you.

25 Last but not least.

1 MS. SALTER: Last in this group anyway.

2 Hello. My name is Gretchen Lee Salter, and I'm
3 here representing the Breast Cancer Fund, and it's 17,000
4 supporters.

5 I thank you for examining the science on BPA.
6 You truly do have an important job in front you. Part of
7 that is to separate fact from fiction and relevant from
8 irrelevant.

9 As Dr. Guth and others have stated, you are not
10 being asked to ban the chemical. That is not your job
11 today. It's merely to decide whether or not the evidence
12 warrants a listing of the chemical.

13 Listening to the presentations just after lunch,
14 I found it interesting to note that the metal can, food
15 and chemical industry are employing the fear tactics that
16 they promised to use at this meeting.

17 It was widely reported in the Washington Post and
18 other publications last month that a meeting of these
19 industries in Washington D.C. concluded that the best way
20 for them to beat back regulation was to use fear tactics,
21 such as claiming that there will be no more canned food if
22 BPA is regulated.

23 It was also reported that these industries
24 decided to make this Prop 65 meeting their test case for
25 these tactics. I think we saw that they were true to

1 their word at least on that score.

2 Over 200 studies show exposures to low doses of
3 BPA, particularly during prenatal period and early infancy
4 are associated with a wide range of adverse health effects
5 and exposures that occur before birth are particularly
6 troubling to us as the effects on the developing fetus are
7 irreversible and can be transgenerational.

8 Recently, the Endocrine Society concurred with a
9 statement, "The Endocrine Society is an international body
10 with 14,000 members from over 100 countries. The
11 Society's membership represents medicine, molecular and
12 cellular biology, biochemistry, physiology, genetics,
13 immunology, education, industry, and other allied health
14 fields."

15 This well-respected group of scientists released
16 a scientific statement stating that endocrine-disrupting
17 compounds, like BPA, can affect not only the individual
18 but also subsequent generations. This statement also
19 concluded that quote, "Exposure to estrogens throughout a
20 women's lifetime, including the period of intrauterine
21 development is a risk for the development of breast
22 cancer. The increased incidence of breast cancer noted in
23 the past 50 years may have been caused in part by exposure
24 of women to estrogen-mimicking chemicals."

25 This is the first time in this Society's 93 year

1 history that it has released such a statement.

2 BPA is classified as an endocrine disrupting
3 compound, and is often cited in the Endocrine Society
4 statement. Its ability to mimic estrogen is not
5 surprising, because the chemical was developed in the
6 1930s as one of the first synthetic estrogens. BPA was
7 soon shunted aside as a pharmacological estrogen in favor
8 of diethylstilbestrol, or DES, now known to cause cancer
9 and reproductive abnormalities and is California's Prop 65
10 list.

11 In 1941, the Food and Drug Administration ignored
12 the animal evidence of DES reproductive toxicity and
13 approved it for use in humans and subsequent years during
14 pregnancy and for use in cattle and poultry. Between 1941
15 and 1971 an estimated five to ten million women were
16 prescribed DES. Their daughters and granddaughters paid
17 the price, reproductive abnormalities and rare vaginal
18 cancer that led to infertility and sometimes loss of life.

19 Research has shown that the daughters who were
20 exposed prenatally had a nearly two-fold increase of
21 breast cancer risk for women over the age of 40. And DES
22 is a recognized reproductive toxicant and
23 transgenerational carcinogen.

24 I mention DES, because we cannot continue to make
25 the same mistakes that have been made in the past. A

1 number of studies in rodents have shown DES like effects,
2 from prenatal exposure to BPA. For example, one study
3 showed that neonatal exposure to low levels of BPA causes
4 uterine fibroids and cystic ovaries in middle-aged female
5 mice. In women, such effects are major contributors to
6 infertility and most common reasons for hysterectomy.

7 The science is clear and it is compelling, BPA
8 causes a wide range of developmental and reproductive
9 effects. The materials that have been prepared by OEHHA
10 staff, and in addition to the presentations that you've
11 heard from Dr. Vom Saal, Dr. Woodruff, Dr. Solomon
12 demonstrate the clear reproductive and developmental harm
13 from BPA.

14 In addition, I also urge the Committee to heed
15 Dr. Janssen's presentation that she just gave pointing out
16 the flaws in some of those studies presented by the
17 industry-funded study earlier today.

18 While these vested interests claim that BPA does
19 not cause harm or that the science is unclear, I would ask
20 the panel to recall that we have heard such protestations
21 in the past from the tobacco industry as well as the lead
22 paint industry.

23 These industries wanted to continue using their
24 products that scientists knew were harmful, and therefore
25 manufactured their own science to support their aims

1 causing unwarranted doubt, uncertainty and inaction on the
2 part of regulators that led to needless harm of
3 Californians and the American public.

4 It is clear this pattern is being repeated. We
5 must not allow industries who stand to gain financially
6 from your decision to confuse and cloud the issue.

7 Given the evidence of the multi-system
8 transgenerational hazards of BPA, it is essential that
9 this chemical be legally defined as a developmental and
10 reproductive toxicant. Failing to do so would knowingly
11 put the public's health at risk.

12 Thank you very much.

13 CHAIRPERSON BURK: Thank you.

14 How is our court reporter doing?

15 THE COURT REPORTER: I am fine.

16 CHAIRPERSON BURK: Do you need five minutes?

17 THE COURT REPORTER: No, I'm good.

18 CHAIRPERSON BURK: Okay. We will begin our
19 committee discussions now.

20 In order to facilitate this, I have sort of
21 pre-assigned people to take the leads on the three main
22 endpoints here. And so I'm arbitrarily going to start
23 with the male reproductive system. And I guess for that
24 we have Dr. Ken Jones and Calvin Hobel, so which one of
25 you would like to -- shall I choose?

1 Well, why don't you start, Dr. Hobel, and then
2 we'll get the discussion going.

3 COMMITTEE MEMBER HOBEL: Yeah, I think you wanted
4 me to focus on male reproduction --

5 CHAIRPERSON BURK: Could you move closer to the
6 mike.

7 COMMITTEE MEMBER HOBEL: You wanted me to focus
8 on male reproduction.

9 CHAIRPERSON BURK: Yes.

10 COMMITTEE MEMBER HOBEL: And, you know, I find
11 this very difficult, because if I focus on sort of what I
12 spent most of my time looking at, I reviewed very
13 carefully all the information. I looked at the NTP and
14 the CERHR monograph. And I really liked the way they
15 looked and ranked the risk.

16 I think they did a very good job in giving some
17 parameters to look at. But if I look at their assessment
18 of reproductive effects, they indicate there's negligible
19 concern about exposure to BPA.

20 However, in that same paragraph, they mentioned
21 that it's mainly in reference to non-occupational
22 exposure. And when you look at occupational exposure,
23 they add additional risk by saying it's minimal risk. So
24 that at least points out to me that there are, you know,
25 two groups of people you're looking at, in terms of

1 reproductive toxicology.

2 So I'd like to just step back for a minute and
3 sort of let you know how I look at this issue. I think
4 there are really four different issues. One is the timing
5 issue. In perinatal biology, I think that what we're now
6 doing is looking at the life-course perspective over time.
7 And when you do that, you begin looking at sort of
8 reproduction and pre-conceptual events. Clearly, in
9 reproduction medicine today, it's very clear that with
10 IVF, for example, there's been identified effects of the
11 environment on sperm and eggs. And the epigenetic
12 phenomena that's been described is quite remarkable, that
13 there are effects on sperm and the unfertilized egg,
14 leading to changes in the genetics. And some of it has to
15 do with imprinting of genes that goes from generation to
16 generation.

17 So I think that then when you move into after
18 fertilization, and with the development of the fetus, and
19 then the fetus then with implantation is exposed to the
20 mother. And then to the effect of the transfer of the
21 substance to the fetus increasing the risk. And, you
22 know, it's very clear that there's a very big difference
23 between levels in the fetus and in children.

24 And clearly, that identifies an area of risk, but
25 then that risk decreases as the child gets older, and then

1 during adulthood.

2 But then when you even go toward the end of life,
3 the recent article published showing that there is a
4 relationship between BPA and diseases, like cardiovascular
5 disease and diabetes. So clearly, there's a difference
6 over time, and that makes it very difficult to, you know,
7 point your finger at one area of this continuum and say
8 that's an area of concern when other areas may not --
9 there may be less risk.

10 Then we already talked about the difference
11 between the consumer and workers exposed to BPA. Then
12 there's a difference in the models that are used to study
13 this, whether you're looking at animal experimentation or
14 human exposure. And there are really very big differences
15 between metabolism and the turnover rates of BPA in terms
16 of animals compared to humans.

17 So trying to put all that into perspective has
18 been -- is a bit difficult, but I felt that what I
19 mentioned about the National Toxicology Program
20 assessment, I think they did really quite a good job.

21 But I think that as I begin to identify the areas
22 that are of concern, I think there are areas, and
23 especially in the more recently published data, which I
24 think is very important for us to consider. The primate
25 studies in the monkey recently published by Nakagami, and

1 showing this behavioral sexual dimorphism. So this
2 suggests that it doesn't only affect the reproductive
3 system. It affects the brain. And so this is an area of
4 major concern in perinatal biology today because of the
5 high incidence of autism and all these issues with
6 children, behavioral problems.

7 So I think that then as you go into other areas.
8 The area -- the recent publications by Hugo on the effect
9 of obesity, and the effect of BPA on some of the hormones
10 that play a very important role in insulin sensitivity.

11 So I think that there are things that are
12 beginning to develop that clearly points to me that there
13 are areas of risk. And so I think that as I look at this,
14 clearly there's more studies that need to be done. They
15 need to be carefully designed. And as you probably know,
16 in the United States, there are plans to have a national
17 children's study. I'm very involved in that in Los
18 Angeles. In Los Angeles, we're going to follow a 5,000
19 women over five years and their children for 20 years.
20 And we're collecting all kinds of samples, urine samples,
21 blood samples, samples from the carpets, samples from the
22 walls, samples from the yard.

23 And, you know, I think a study like that over the
24 next many years would give us important answers. But do
25 we wait 20 years? I'll be 90 years old.

1 (Laughter.)

2 COMMITTEE MEMBER HOBEL: So clearly I think there
3 are issues. And the way I presented it to you, it's going
4 to help me decide how I'm going to vote.

5 And so that's the end of my comment.

6 CHAIRPERSON BURK: Okay. Well, maybe I'll --
7 actually, before Dr. Jones starts, I did ask, just to be
8 thorough here, Ellen Gold to look at all the human
9 studies. So maybe you want to comment on -- and let's
10 stick to male first so we can be more systematic here and
11 then we'll go to the animal.

12 COMMITTEE MEMBER GOLD: I think in the interests
13 of time and the lateness of the hour and the fact that
14 OEHHA's staff did such a good job reviewing this morning,
15 I don't need to go into the details of the studies. And
16 so maybe I'll just jump to sort of my summary at the end.

17 So I just have two sentences.

18 CHAIRPERSON BURK: Perfect.

19 COMMITTEE MEMBER GOLD: I mean, they did
20 summarize -- there are seven studies published on the
21 topic that are human studies, four of which were in vitro
22 and then there were a couple of small cross-sectional
23 studies.

24 So in summary, just jumping into it, I think the
25 data on human male reproductive toxicity are largely

1 cross-sectional and derived from very small studies with
2 inadequate control of confounding variables. And
3 therefore even though some of the positive -- even though
4 some positive associations were reported with
5 gonadotropins and so forth, the evidence seems inadequate
6 to determine if BPA -- so this is the human evidence -- to
7 determine if BPA has an adverse effect on human male
8 reproduction.

9 CHAIRPERSON BURK: Okay. Are we all pretty much
10 in agreement that we're not certainly going to be able to
11 identify BPA as a male reproductive toxicant on the basis
12 of sufficient human data?

13 So that brings us to the animal.

14 COMMITTEE MEMBER JONES: Right. So I will just
15 start off by saying that my comments here are based, to a
16 great extent, as were yours, on the NTP, CERHR expert
17 panel report, as well as the DART Committee report, both
18 of which I think were incredibly good and very complete.

19 And I'll just also sort of cut to the chase here.
20 I think that Bisphenol A at low concentrations that would
21 be expected for the majority of people in the State of
22 California, and by that I mean those who are not
23 occupationally exposed to BPA over long periods of time.

24 I think it is my estimation here that BPA has not
25 been clearly shown to be a male reproductive toxicant.

1 Secondly, I would say that for men who are
2 exposed for prolonged periods of time at high
3 concentrations, concern has been raised, primarily based
4 on animal studies. And I would bring that up as you did.

5 However, at this point, I don't believe there is
6 sufficient scientific evidence that BPA is a male
7 reproductive toxin. And I think obviously that there is a
8 need for further studies on this. And I would await them
9 in terms of like National Children's Study and others.
10 And I know that there are studies going on now in humans
11 looking at this.

12 But at this point, I don't think we have enough
13 evidence to say that this drug is a reproductive -- or
14 this chemical is a reproductive toxin.

15 CHAIRPERSON BURK: Male reproductive?

16 COMMITTEE MEMBER JONES: Yes.

17 CHAIRPERSON BURK: The highest level of concern
18 by NTP was for the prostate endpoints. What's your
19 thinking on that?

20 COMMITTEE MEMBER JONES: Let me be specific here.

21 CHAIRPERSON BURK: I know you gave kind of a
22 general. I do want to make sure that we -- if anyone has
23 anything to say about any of those that they have a chance
24 to say it. And I know you tend to be relying on them.
25 But that was the one that got the greatest level of

1 concern.

2 COMMITTEE MEMBER JONES: Yeah. The way I read
3 this data, primarily from the DART Committee's summary is
4 that BPA leads to primarily non-significant effects in
5 some studies using advanced molecular and or cellular
6 approaches, but not using traditional methods, such as
7 organ weight or routine histopathologic evaluation.

8 And the way I read this was that there was
9 statistically significant in one of three studies in which
10 molecular and/or cellular studies were done in mice. And
11 that there was a lack of statistical significance as far
12 as the prostate in rat studies, but I may be wrong here.

13 Does anybody from the Committee want to comment
14 on that?

15 CHAIRPERSON BURK: I think a staff comment
16 would -- to make sure we're on the right page here.

17 DR. LI: So are you asking for clarification of
18 the prostate studies?

19 COMMITTEE MEMBER JONES: I am, yes.

20 DR. LI: I actually have a slide of the five
21 studies that used the quantitative Or immunostaining
22 method, if you want to look at it, the data will --

23 CHAIRPERSON BURK: I think we have it in our
24 handouts here, so we can just refer to it.

25 DR. LI: Yeah. And talking about the statistical

1 analysis of each individual studies, I don't remember the
2 details.

3 CHAIRPERSON BURK: Well, I think the bigger issue
4 is --

5 COMMITTEE MEMBER JONES: If you look at page 142
6 here in this chart, I think that the changes in dose
7 ranges that were significant are in bold. You can't hear
8 me -- are in bold. And I don't see too much here that's
9 in bold, except perhaps this adult exposure study on the
10 bottom.

11 DR. LI: Okay. As much as I can remember the
12 details, if there is a statistical significant analysis,
13 if it is not significant, I would report it as not
14 significant. I mean you see a change, but it's not
15 significant. If you see anything that I've said that
16 increase the volume that means what's also reported, okay.

17 If they did the analysis, it's significant. If
18 they did not do analysis, that's what they say.

19 If you look at -- if you look at the prostate
20 this in mice on the screen, you have the Y uses the
21 quantitative immunostaining. So those are the five
22 studies. I don't think most of them are histopathological
23 studies. They don't routinely do statistical analysis.

24 CHAIRPERSON BURK: Are you saying they don't use
25 statistical analysis on the results of immunostaining?

1 DR. LI: Not often. I mean, you know, people do
2 that academic research studies and they report the slides
3 and look at -- review the tissue sections to find out what
4 is representative, you know, of the lesion then we report
5 it.

6 But we can go back to look at each individual
7 study to see which one did analysis and which one didn't.

8 COMMITTEE MEMBER JONES: And how about on Table
9 D6.

10 DR. LI: D6. So what's the question?

11 COMMITTEE MEMBER JONES: The question is the
12 significance of the studies? These are not the -- these
13 are just the histopathology and other changes in dose
14 ranges. I don't think they're --

15 DR. LI: For the studies by Timms et al., I
16 believe that was from a group associated with Dr. vom
17 Saal. I think he can speak for their study better than I
18 do.

19 And for the studies of Ogura et al., and I don't
20 believe that study did a statistical analysis.

21 Yeah.

22 CHAIRPERSON BURK: Okay. Yeah, I just wanted to
23 make sure that we addressed -- essentially, what I've
24 heard is that the quote "guideline studies" don't look at
25 these in great detail, but some of these others have. And

1 I think the big question is, is that clear indication of
2 male reproductive toxicity? In other words, can we
3 reasonably expect that to go on or not?

4 COMMITTEE MEMBER JONES: Translate into problems?

5 CHAIRPERSON BURK: And we don't really have the
6 kind of data that we would like to see that would show
7 male reproductive toxicity in a -- maybe more from the
8 fertility point of view or more from -- you know -- but,
9 you know, you have to be concerned about these things.
10 They probably mean something, but it's just hard for us I
11 think to --

12 COMMITTEE MEMBER JONES: It would seem to me that
13 we're still --

14 CHAIRPERSON BURK: -- say that it's clear.

15 COMMITTEE MEMBER JONES: Right, I agree with you.

16 CHAIRPERSON BURK: I don't know, any other
17 comments on that?

18 DR. LI: I just wanted to clarify table D6 is
19 just studies in mice. Also, you have one, two, three
20 studies in rats that is another slide, you know, a
21 PowerPoint slide.

22 So if you're looking at table D2 on page 142,
23 it's just studies in mice.

24 CHAIRPERSON BURK: So it's a combination between
25 that and what's on our screen?

1 DR. LI: Yeah. Then you should look at Table D7
2 as well. That is on page 144.

3 CHAIRPERSON BURK: Well, I think the bottom line
4 was, again, what we've heard is, if you use more sensitive
5 techniques, you may see something, but again --

6 COMMITTEE MEMBER JONES: We don't know what the
7 true endpoint is.

8 CHAIRPERSON BURK: It's hard to know what the
9 true outcome of that is going to be.

10 DR. LI: If you think about the long-term study,
11 that one study on the slide is by Dr. Ho et al., '06. That
12 study treated animals against SD rats neonatal, then wait
13 until the animals grow into adulthood, give combined
14 injection of T and estrogens and they found it increased
15 the incidence of -- what is it called at PIN, which is
16 cancer.

17 So if you question is about the meaning of the
18 effect, there is at least one study that found that
19 effect.

20 CHAIRPERSON BURK: Okay. So you consider that's
21 outright cancer and that it was initiated prenatally.

22 DR. LI: Neonatally.

23 CHAIRPERSON BURK: Neonatally.

24 DR. LI: I'm not saying it's initiated. What I'm
25 saying is the neonate was treated with Bisphenol A by SC

1 injection at a level, if I remember, it's about ten
2 micrograms per day -- per kilogram per day of injections
3 into the neonates. They let those animals grow into
4 adulthood, then give an injection T & E, then those
5 animals develop, you know, neoplastic lesions, which is
6 also investigated through epigenetic mechanism, because
7 you are asking the meaning of the effects. That's one
8 study that found that effect.

9 CHAIRPERSON BURK: Do you know, and I think this
10 was in the documents somewhere, if BPA has been evaluated
11 as a carcinogen?

12 DR. LI: That's not in the topic of our review,
13 but there are review papers on that issue.

14 CHAIRPERSON BURK: All right.

15 Go ahead.

16 COMMITTEE MEMBER ROBERTS: Carol, for
17 clarification, would neoplastic lesions that are
18 attributed to exposure neonatally be under the DART
19 Committee or under the Carcinogen Identification
20 Committee?

21 CHAIRPERSON BURK: I know if it's transplacental
22 carcinogenesis, meaning prenatal, then it would be in our
23 domain, otherwise I'm not sure.

24 DR. DONALD: That's an issue that we've never
25 actually formulated a position on. It could be argued

1 that it does fall under the province of the cancer
2 committee, unless you consider the lesion to impact the
3 reproductive potential of the animals, but I think it's
4 probably an issue for you to determine rather than us.

5 CHAIRPERSON BURK: Because I think on page 23 of
6 the HIM, there is a little thing on carcinogenicity, but
7 interestingly, it seems like the only thing you're -- it
8 says the European Union stated the evidence does not
9 suggest carcinogenic activity of BPA in rats or mice, but
10 now it says maybe a modulator.

11 DR. LI: Yeah. One of mine personal opinions for
12 you to consider -- to think about how to address this
13 issues is to think about the clinical. You know, doctors,
14 you know, who treated the prostate disease or cancer,
15 usually go to the American Society of Andrology meeting.
16 So it's a male repro effect. So I don't know whether that
17 will carry any weight or not, but, you know, it's a male
18 repro issue. Again, that's from my personal opinion
19 again.

20 (Laughter.)

21 CHAIRPERSON BURK: Well, what about the legal,
22 did you have any comment on that?

23 CHIEF COUNSEL MONAHAN-CUMMINGS: Boy that's
24 really sensitive.

25 Maybe you could frame the question for me a

1 little differently, so that I could see where the legal
2 question is, whether you can consider something that may
3 cause cancer later to be a male reproductive effect, is
4 that what you're asking?

5 CHAIRPERSON BURK: Yeah, I think we're just
6 trying to distinguish between the cutoff between it being
7 a developmental effect and a male repro effect, I guess.

8 CHIEF COUNSEL MONAHAN-CUMMINGS: Right. So it
9 would seem that the cancer could have to affect the male
10 reproductive system or process, right?

11 CHAIRPERSON BURK: Um-hmm.

12 CHIEF COUNSEL MONAHAN-CUMMINGS: So, you know,
13 it's probably not as clear as it could be, but it is
14 somewhat in your area of expertise whether you think that
15 is an effect or not. It wouldn't necessarily be a legal
16 question, per se.

17 DR. DONALD: One other thing you might perhaps
18 consider is the histopathological effects on reproductive
19 organs are typically regarded as reproductive effects. So
20 a neoplastic lesion could be interpreted as a
21 histopathological effect.

22 CHAIRPERSON BURK: That's true, but then we're
23 back to the not seeing anything in the sort of typical
24 histopath observations. And then these indications here
25 with changes in the epithelium, the proliferation and so

1 forth, but one of them showing increased neoplastic
2 lesions.

3 COMMITTEE MEMBER ROBERTS: How old were the rats
4 when they were --

5 COMMITTEE MEMBER JONES: Mice.

6 COMMITTEE MEMBER ROBERTS: No, this one says
7 rats -- when they were evaluated for neoplastic lesions?

8 DR. LI: If my memory is correct, I think they
9 also injected the Bisphenol A on Day 1, 3, 5.

10 COMMITTEE MEMBER ROBERTS: And when they looked
11 for the neoplastic lesions, they were how old?

12 DR. LI: That should be the adulthood. It should
13 be at least 90 to 120 days old. I don't remember the --
14 it's the adult.

15 COMMITTEE MEMBER ROBERTS: You said how old?

16 DR. LI: At least 90 days old. I don't remember
17 clearly, but we can go back to the study, described in
18 Appendix too, there should be a description for that
19 study.

20 COMMITTEE MEMBER ROBERTS: The reason I'm asking,
21 is that I'm comparing it to the age of animals from
22 reproduction studies, and those are typically around six,
23 eight weeks old when they get started on exposure. They
24 have to go for ten weeks in advance. They don't typically
25 get sacrificed until -- well, obviously until the breeding

1 part is over, sometimes a little bit later, so they would
2 be within that age range. They would not be two year old
3 rats?

4 DR. LI: No, certainly is not two years old.
5 That's what I remember.

6 COMMITTEE MEMBER ROBERTS: Thank you.

7 CHAIRPERSON BURK: Okay. Well, we've got to keep
8 moving. Are there any other comments about male
9 reproductive toxicity?

10 DR. LI: Twenty-eight weeks. The animals were 28
11 weeks.

12 CHAIRPERSON BURK: Twenty-eight weeks.

13 DR. LI: So it's about half a year, six months
14 old.

15 CHAIRPERSON BURK: Tough one. Any other
16 comments?

17 I think -- you know, I hate to rush this, but I
18 know we want to be able to discuss the others endpoints.

19 Any other -- anybody else want to make a case for
20 these more sensitive changes and/or carcinogenicity?

21 If not, are we ready to vote?

22 We can vote now or we can wait till the end and
23 vote on all of them? Which would you prefer?

24 You want to vote on all of them at the end.

25 That's probably wise. I don't want to rush anybody on

1 anything.

2 So let's move on to the female reproductive
3 toxicity. And for that I have asked Dr. La Donna White
4 and Dr. Gold to take the lead. So would you like to go
5 first, Dr. White?

6 Dr. Gold, would you like -- which color do you
7 prefer, gold or white?

8 (Laughter.)

9 CHAIRPERSON BURK: Take it away.

10 COMMITTEE MEMBER GOLD: I'm going to take the
11 same strategy as I did before, which is not to summarize
12 again all the studies that were summarized this morning,
13 and also in the handouts so effectively by the OEHHA
14 staff.

15 Just briefly, there were seven studies, six of
16 which were cross-sectional and four of which were very
17 small. And so then with without going into the
18 particulars, let me just go cut to the chase, which is my
19 summary.

20 The human evidence regarding female -- I'm doing
21 human, right?

22 CHAIRPERSON BURK: Sure. It's a good place to
23 start.

24 COMMITTEE MEMBER GOLD: I think that's what you
25 asked me.

1 So this is the human evidence regarding female
2 reproductive toxicity is largely cross-sectional with
3 inadequate control of confounding variables making the
4 determination of the likelihood of any causal relation
5 almost impossible. And the three studies with potentially
6 adequate samples size and some control of confounding were
7 also cross-sectional and found no associations.

8 Therefore, the human evidence seems inadequate to
9 support a causal relation of BPA to adverse effects on
10 female reproduction in the human.

11 CHAIRPERSON BURK: Okay, do you think any of
12 those studies would lend support to any of the animal
13 studies? Or maybe can I ask Dr. White if she has --

14 COMMITTEE MEMBER GOLD: Let me think about it for
15 a minute.

16 CHAIRPERSON BURK: Okay. Think about it and
17 let's hear what you came up with.

18 COMMITTEE MEMBER WHITE: Well, I think for me the
19 thing that was most interesting and compelling with
20 respect to the data, of course, is the high dose. The
21 high doses in the animal models. And I know we're talking
22 about humans, and you had mentioned the animal model.

23 There was insufficient evidence for me to feel
24 like we could actually say that there is an effect with
25 respect to female developmental and reproductive toxicity.

1 I'm not so sure that can be extrapolated on -- the animal
2 model can extrapolate into the human model, because the
3 doses were so incredibly high. They were very high doses
4 that we would not expect humans to be exposed to.

5 So looking at the weight of the uterus, looking
6 at possible mammary lesions in the mice and possibly rats,
7 a lot of the data said possible, maybe has the potential.
8 But I didn't quite feel like there was conclusive and
9 clear evidence to be able to say that in doses where
10 humans would normally be exposed, there would be an
11 adequate toxicity to affect development and reproduction.
12 I didn't see it.

13 I suppose it could be there. But the thing that
14 really made me think that I was very interested in would
15 be the BPA -- the level of BPA and the structure of the
16 BPA in the amniotic fluid. I think that would be
17 something to really take a look at, because the endpoint,
18 of course, would be any effects that it could have on the
19 fetus, and then, of course, the newborn. That to me is
20 most interesting at this point.

21 There's not enough information to say that --
22 with respect to females and reproduction, that there is a
23 problem at low doses. Everything is just really confusing
24 in the literature with respect to low doses.

25 High doses, we know that. We have information

1 there. But I'm not exposed and I don't think anyone here
2 is exposed to greater than 600 milligrams per kilogram per
3 day of BPA. If we are, then we need to see the studies.
4 We need to see the human data on that to make it
5 compelling. It's not compelling for me with respect to
6 female reproduction. I just can't see it.

7 And I can't see the extrapolation of the animals
8 into human data. I can't see it, primarily because of the
9 physiology -- well, not the physiology, but the
10 biochemistry and the bioavailability of BPA after it's
11 actually been -- after humans have been exposed, it
12 actually converts to an inactive form. But in that
13 inactive form, if it's at high-dose levels, anything --
14 particularly with meds, as we know, the higher you go with
15 respect to dose, then you're going to start to see some
16 effects.

17 But I'm not convinced that the data is clear with
18 respect to the inactive form that we tend to see in humans
19 more so than we do in rats or mice. I'm not clear. It's
20 just not clear. I mean, if it is, somebody please tell me
21 that it's really clear, because I have not -- I've heard
22 clear evidence. I'm still looking for it.

23 CHAIRPERSON BURK: Well, we all have our, as we
24 heard before, probably our own definition of clear. So I
25 want to make sure that we address some of these things.

1 CHIEF COUNSEL MONAHAN-CUMMINGS: Dr. Burk, could
2 I just make one clarification in terms of what the
3 Committee is doing today. One of the considerations is
4 not what the current dose is that people are receiving.
5 And so I understand that you don't believe that people are
6 currently receiving a particular dose or we don't have
7 evidence of that.

8 But the dose that a person would be receiving or,
9 you know, those kind of things are not considerations for
10 today, because it's a matter of identifying the chemical
11 as causing the effect or not in animals or humans. And we
12 deal with those at a different point in the Prop 65
13 process.

14 So I just want to clarify that. And I'm not
15 saying that you were incorrect, but I just want to clarify
16 that if you're basing your -- hold on. Wait.

17 COMMITTEE MEMBER WHITE: No, I'm not basing my
18 decision.

19 CHIEF COUNSEL MONAHAN-CUMMINGS: I understand
20 that. And certainly the dose is something that you do
21 need to consider when you're looking at the difference
22 between animals and humans. And so that's why I just want
23 to clarify that at the point where you make a decision
24 about whether it causes an effect or not, you can consider
25 the evidence in animals, even if there's no evidence at

1 all in humans.

2 COMMITTEE MEMBER WHITE: Right. Right. I
3 understand that. I'm speaking in respect to the NTP brief
4 and what was mentioned with respect to the high doses
5 verse the low doses in animals, as well as with humans.

6 CHAIRPERSON BURK: I think I understand what
7 Carol is trying to say though, is that we are performing
8 just the hazard identification step of a risk
9 assessment --

10 COMMITTEE MEMBER WHITE: Right. No, I understand
11 that.

12 CHAIRPERSON BURK: -- and we don't have to
13 determine a dose. What we have to do is sort of weigh the
14 evidence of the studies we've seen --

15 COMMITTEE MEMBER WHITE: Yeah, that was sort of
16 an aside with respect to the human concentration.

17 CHAIRPERSON BURK: -- to make a determination if
18 we can say that it's known to be, you know, one of these
19 endpoints at any level I suppose. I think maybe the
20 bigger issues here are more with the fertility and so
21 forth, the role of maternal toxicity, and so forth, as
22 just a way to understand those kind of things, but --

23 COMMITTEE MEMBER WHITE: Right, and those things
24 are still not clear.

25 CHAIRPERSON BURK: -- it still doesn't dismiss

1 the more sensitive endpoints and the lower dose things.

2 So I think we have to consider them.

3 Did you have any thought on the mammary gland,
4 which was, I think, mentioned by NTP as --

5 COMMITTEE MEMBER WHITE: Right. Based on the
6 higher doses -- or the doses in the rats that there were
7 the possibility of mammary gland alterations and lesions.
8 I mean, that can be significant when you're thinking of
9 breast cancer lesions, et cetera. I mean, that is
10 significant. I think that's very significant. And so,
11 yeah that did impress me. I did see that and I thought
12 that was pretty significant.

13 CHAIRPERSON BURK: Linda.

14 COMMITTEE MEMBER ROBERTS: Are there any life --
15 did anybody in the room, please raise your hand, are there
16 any lifetime exposure studies with Bisphenol A?

17 DR. HENTGES: Are you talking cancer studies?

18 COMMITTEE MEMBER ROBERTS: Yes, I'm talking two
19 year bioassay type of studies.

20 DR. HENTGES: Yeah, there are lifetime studies --
21 these are NTP -- sorry, Steve Hentges -- lifetime
22 bioassays by NTP rats and mice, male and females. Those
23 were probably published around -- or reports around late
24 eighties or so. And they concluded -- I don't remember
25 the terminology they used, but basically they didn't find

1 any compelling evidence that BPA was a carcinogenic risk.

2 CHAIRPERSON BURK: Yes. And one second -- I
3 mean, please go ahead and then Lauren will speak after.

4 DR. vom SAAL: I just wanted to briefly mention
5 that Dr. Huff from the National Cancer Institute has
6 actually written an article - that I have, that I'd be
7 happy to give to your staff - that draws the conclusion
8 that if Bisphenol A were evaluated using current
9 standards, it would be deemed a carcinogen, based on the
10 studies that Dr. Hentges just mentioned to you. And that
11 is a National Cancer Institute scientist who's written
12 that.

13 I'd be happy to give those reports to you.

14 DR. ZEISE: Yeah. We didn't evaluate the
15 carcinogenicity studies. And I would like to point out
16 there has been a good deal of work looking at some of the
17 developmental effects on the mammary gland. And we did
18 see some discussion earlier today, but we didn't evaluate
19 those studies. There are many studies exploring both
20 effects on breast cancer as well as prostate cancer.

21 CHAIRPERSON BURK: All right. Well, so I think
22 as far as it goes, we may be able to sort of defer that,
23 because we really don't have the information that we would
24 need to have.

25 Any other comments on female reproductive

1 toxicity?

2 Let's move on to developmental tox. And I've
3 asked Linda Roberts and Carl Keen to take the lead on
4 that, and I'll chime in myself.

5 I'll let Linda go first.

6 COMMITTEE MEMBER ROBERTS: Thank you.

7 CHAIRPERSON BURK: Always.

8 COMMITTEE MEMBER ROBERTS: I tried to limit
9 myself -- although there are a huge numbers of studies,
10 what I tried to limit it to --

11 CHAIRPERSON BURK: Get closer to the mike.

12 COMMITTEE MEMBER ROBERTS: Better?

13 Although, there are a huge number of studies,
14 what I tried to limit myself to are where the exposure in
15 the animal studies occurred in what would be considered
16 equivalent to prenatal exposure in the human, which is
17 pretty much the gestational period in a rodent, plus maybe
18 a few days afterwards.

19 And because we're looking at clearly shown, I
20 focused upon the manifestations that the consensus of
21 manifestations of developmental toxicity that have existed
22 for a long period of time, which is the prenately, the
23 malformations, the growth and the functional changes.

24 And, of course, in the functional changes, that's
25 one of the areas of teratology that is really still in

1 progress and always will be in progress. And so there are
2 new bits of evidence about that, that may be becoming
3 stronger with time.

4 We referred to high dose studies. The high dose
5 studies have clear evidence of developmental toxicity.
6 They do occur in the presence of maternal toxicity. And
7 the issue isn't whether or not developmental toxicity
8 occurs. It's whether or not there is sufficient maternal
9 toxicity to potentially be causing the other.

10 And when you have situations where the animals
11 are either losing weight or gaining very little weight or
12 they're described as emaciated, that to me can be a cause
13 of something like an increase in resorptions prenatally.
14 Surprisingly, even when there were some fairly strong
15 forms of maternal toxicity, it did not cause
16 malformations. So it doesn't seem that that particular
17 endpoint out of the four is of concern.

18 When there is maternal toxicity, it does have a
19 decrease in fetal body weight. It has an increase in
20 prenatal loss. Those are both endpoints that are more
21 commonly associated with severe maternal toxicity than
22 others.

23 And a decrease in ossification does not -- as
24 long as it is a decrease in ossification, and not a
25 structural change, it tends to go along with decrease in

1 fetal body weight.

2 There were some less common endpoints that were
3 evaluated in some studies. Those included changes in gene
4 expression, lung -- the retinoic gas receptors, which are
5 known to be affected for things like retinoids which are
6 known to have developmental effects, but they change the
7 receptor expression, and they end of up having
8 malformations, so there is something different here
9 between whatever Bisphenol A is doing and whatever
10 retinoid gases are doing.

11 There was a prenatal study that founded a change
12 in the -- a decrease in the number of splenic lymphocytes.
13 One study was just prenatal. It was supported by a study
14 that was both pre- and postnatal. We don't have an actual
15 immunotox follow up to review with that. There was a
16 change in sheep in the amplitude of the luteinizing
17 hormone surge. The N was 11. I should say also I tried
18 to look for studies that have group sizes at least in two
19 digits. I don't tend to put a lot of strength in N of 2
20 or 3 or 4.

21 And there were changes in anogenital distance
22 of -- in mice there was one that was longer in females and
23 longer in males. That didn't seem to be consistent with
24 what I would be expecting.

25 You know, I'm -- that's pretty much where it

1 comes to. There are clear effects from the high-dose
2 levels. At least, in my perspective, there are not clear
3 effects on the low-dose levels, because we have seen
4 situations where some studies are positive and some
5 studies are negative. And when you do have very small
6 numbers of animals in groups, that does increase the
7 variability within a group.

8 And it either makes it harder to statistically
9 find something. It also puts a lot more variability in
10 it. So if anything, when I've come through this entire
11 binder of information, one of the strongest most
12 compelling things, that I hope some of you who do research
13 in here come away with is please put adequate numbers of
14 animals into it, so we have clearer evidence whether a
15 study is negative or positive.

16 CHAIRPERSON BURK: Carl.

17 COMMITTEE MEMBER KEEN: My reading of the binders
18 was remarkably similar to what you read. As is usually
19 the case, I'd like to really compliment OEHHA for bringing
20 a lot of these together, because I think the materials
21 that we got were -- I'll use the word "overwhelming", but
22 in a positive sense of the word. It gave a pretty good
23 comprehensive view of what the state of the literature is.
24 I just want to iterate some of the points so it's clear
25 that we're pretty much on the same page.

1 As I look at the literature, I see very little
2 evidence that there is an increased risk, absence of
3 maternal toxicity, of fetal or neonatal mortality. I
4 don't see any clear trends for malformations or specific
5 birth effects. No clear evidence of reduced birth weight
6 or growth.

7 In the occasional paper, and there's over 70,
8 which I went back and read each of the individual papers,
9 you'll find a sporadic report of something. But where I
10 get a little concerned or actually quite concerned is the
11 lack of consistency as you go across the reports. Part of
12 this is because the doses which are used in the different
13 studies are all over the board. And sometimes -- I don't
14 want to use the word "deceptive", but it is not helpful,
15 because you'll read one thing in an abstract and then
16 later you'll find out well, that's not quite what they
17 meant. As an example with the Nakagami paper, which has
18 been referred to, which I think is very provocative.

19 This is the very recent non-human primate Rhesus
20 monkey study. You'll look at the abstract, and it says
21 the dose was ten micrograms per kilogram. One gets very
22 excited at that point or a concern and excited sort of
23 fashion, when they say there's behavioral abnormalities,
24 but that was done by -- deep in the paper it says well,
25 this really translates into a dosage of five milligrams

1 per kilogram. I appreciate that we're not supposed to be
2 focused on doses here, but that is in the same range where
3 one does see signs of maternal toxicity, so one cannot
4 completely ignore it.

5 My own sense is that the literature is confused,
6 because you almost have to construct tables as to what is
7 the real dose which is being administered to the mother
8 and how might maternal toxicity be differentially
9 expressed when it's given internally by intraperitoneal
10 injection or perhaps my mini pump relative to when it's
11 taken orally.

12 I was -- I found very persuasive that the
13 classical or conventional animal test studies, I think the
14 two- and three-gen studies have a bit of a bright line
15 that say that if you see evidence of maternal toxicity,
16 even using old conventional methodologies, that seems to
17 be about where the trigger point is for seeing some fetal
18 and embryonic damage.

19 I think it's worth noting that as we use more
20 sophisticated techniques or what defines potential
21 neonatal pathologies, we should apply the same logic for
22 looking at maternal toxicity using things a little more
23 cleaner than reductions in body weight, reductions in food
24 intake, et cetera. If we go into that criteria, it
25 depends where do we say maternal toxicity should be a

1 major driver or not.

2 I was impressed that there's clearly some effects
3 at relatively low levels on some immune parameters with
4 the low dose. The difficulty is knowing how to interpret
5 that. One interpretation is it was an improvement in some
6 of the parameters of the immune system. You see an
7 increase in antibody production. That's not necessary --
8 so are we asking the question is there any evidence that
9 BPA is just having an effect or is the evidence that it's
10 having a deleterious effect.

11 As I read the papers where the immune system has
12 been looked at, I could not pull that out of there. The
13 same thing with the gene effects, which has already been
14 alluded to, the fact that one sees alterations in the
15 expression of gene A, gene B, gene C, it's difficult to
16 know what to do with that information till you see some
17 level of consistency across studies, and you ask the next
18 question, whether or not the alteration in gene expression
19 ultimately translates into changes in protein.

20 We can get big changes in genes routinely. I was
21 a bit disturbed some of the things that were reported are
22 30 or 40 percent changes. Typically, we don't get excited
23 by a 30 or 40 percent change in the expression of a gene,
24 unless what you mean by that is you're actually seeing
25 changes in the protein. So a lot of what I'll use the

1 word is "provocative" information, but that's where I kind
2 of see it standing.

3 I need to comment that I think, again one of the
4 very provocative observations that's out there, that was
5 only briefly touched upon in one of the presentations
6 today, and I don't think was actually captured in the
7 monographs we had, though I may have missed it, and that
8 was the recent paper, the journal paper was in PNAS, where
9 it suggested that BPA -- not that it suggested, but at
10 very high levels can affect some gene methylation
11 patterns.

12 That was interesting. But the real value or
13 impact of that paper, was that if they then proceeded to
14 give some of those mouse strains high single carbon
15 donors, such as folate, they effectively blocked the
16 effect of the BPA or masked the effect of BPA on gene
17 expression.

18 That throws in an entirely new equation. It says
19 that perhaps different sensitive populations are going to
20 have very -- will respond differentially to BPA, and that
21 could be anything from the amount of methionine in the
22 diet to folate in the diet to B12 in the diet. And that
23 kind of reshifts for me how I would even want to look at
24 the human data, because looking at a person who has an
25 MTHFR abnormality, that is they have a problem with folate

1 metabolism, they may indeed may be more sensitive.

2 So it's those sorts of questions that I think we
3 have to be open to. But as I read the literature now,
4 it's confusing, and it doesn't, by any criteria, meet my
5 definition of clear. So I'll stop at that point.

6 CHAIRPERSON BURK: Very good.

7 Are there any comments on the brain and behavior
8 studies?

9 I know that was the one that -- at least one of
10 the ones that NTP thought had some concerns. So I looked
11 at that and I found it really intriguing and with some
12 consistency. But again, most of the studies are not our
13 generally accepted sort of things, due to the numbers, as
14 you mentioned, and the, you know, single dose and all
15 those kind of things.

16 But there is a pattern there. And the truth of
17 the matter is, testing right now for behavioral toxicity,
18 I think, is still relatively rare. And so we don't have
19 those typical kind of studies that we'd like to see.

20 COMMITTEE MEMBER ROBERTS: Actually, Dotty, in
21 this case, a guideline developmental neurotoxicity study
22 would not have been a help to us, because you have
23 extensive postnatal exposure, as well as the prenatal, so
24 we couldn't have used it as we would have liked.

25 CHAIRPERSON BURK: So you're saying in a

1 guideline study, you have prenatal and postnatal exposure.

2 COMMITTEE MEMBER ROBERTS: Yes.

3 CHAIRPERSON BURK: Would that -- I just have to
4 know this, because I went through and just picked out the
5 prenatal ones for the animals. But I still find that
6 troubling legally, that, is it true we can only look at
7 prenatal exposure in a rat, even if the rat's brain is
8 developing the equivalent to -- that the postnatal time,
9 that's it's equivalent to still prenatal in a human.

10 DR. ZEISE: Right.

11 CHAIRPERSON BURK: That's fine, right. So what
12 you're saying is how long do they do the -- well, it
13 doesn't really matter, since we don't have one, but I
14 mean --

15 COMMITTEE MEMBER ROBERTS: I think it goes -- and
16 I'm doing this from memory and I haven't looked for --
17 been involved with one for a couple of years. I believe
18 they go on further postnatally than we would be able to --
19 from what I recall.

20 But there may be somebody in OEHHA that would be
21 much better at the neurobehavioral aspects.

22 DR. DONALD: We had prepared a slide on

23 CHAIRPERSON BURK: Thank you

24 DR. DONALD: Well, the good news is we prepared
25 it. The bad news is we can't find it.

1 (Laughter.)

2 DR. DONALD: This slide is too help elucidate the
3 relative developmental stages that occur in various
4 different species. The reason we prepared this slide was
5 we anticipated this may become an issue.

6 And the short answer is that there is --
7 certainly, there are developmental stages neurobehavioral
8 developmental stages that occur postnatally in rats, that
9 occur prenatally in humans. So our feeling is that is
10 perfectly valid to consider postnatal effects on rodent
11 models, if they correspond to what's clearly a prenatal
12 development period in humans.

13 CHAIRPERSON BURK: Yes. And quite a few of them
14 were designed, I think, with that in mind, if you look at
15 the exposure days. And I think -- because a number of
16 these were looking at specific nuclei in the brain and
17 they know when those develop and they know when they
18 develop in humans and so forth. I think they're an
19 intriguing model that I find very interesting. And the
20 fact that, you know, there's a reduced volume in number of
21 cells in the locus coeruleus and all that.

22 Whether this is enough at this point for me to
23 say it's clear, I don't know.

24 What page?

25 I will tell you the studies that I'm on start on

1 B7 and go through about B12, I think. So what they are is
2 there's Wistar rats are B7 and 8, SD rats are B9. I think
3 Fischer rats are B10. Mice are B11. And then we add in,
4 a long with this, the prenatal exposure in the -- to the
5 non-human primates in the Nakagami study.

6 All I'm saying is that they're all -- oh, sorry.
7 Start on page 47. There's a certain pattern in there that
8 I think may be of concern. I'm not sure yet it meets our
9 standard of clarity.

10 But did anybody else have any thoughts on that?
11 Unfortunately, there's different behaviors tested in
12 different ones. But the sex --

13 DR. DONALD: Hopefully, it's not too late to be
14 of value, but this is the slide we were looking for.

15 CHAIRPERSON BURK: Wow. So tell me in the rat --
16 okay.

17 DR. GOLUB: So they're standardized to the rat.
18 And it's showing where the rat is and then it's showing
19 where birth and where -- on development of different areas
20 in Macaque and human come relative to that.

21 And you can see that the -- and there's several
22 different species there. And the birth comes at different
23 times relative to rat development in some of the different
24 species.

25 And then moving over into Macaques and humans,

1 it's the development of the limbic areas and cortical
2 areas is much later. And it occurs before birth rather
3 than after birth as in the rats and the mice.

4 Even this is confusing, but I think you very well
5 stated the general principle that the brain development
6 that takes place prenatally in humans takes place
7 postnatally in rats and mice.

8 CHAIRPERSON BURK: And some of these studies, I
9 think, were designed to hit certain developmental points,
10 particularly the ones that evaluated specific nuclei in
11 the brain, because they know when those develop in humans
12 and so forth.

13 DR. GOLUB: Right, with the locus coeruleus being
14 earlier than that.

15 CHAIRPERSON BURK: Being earlier. And then you
16 know -- anyway, I don't know how anyone else feels about
17 those, but I just felt that I had to point out. There are
18 quite a few studies coming along there. Again, whether
19 they're our kind of studies if that has a meaning, you
20 know, in terms of the number of animals, and the way they
21 do the statistics and all that.

22 I know that NTP picked out certain ones that they
23 thought were adequate studies. So among all those tables
24 that you see, they liked Kwon, Negishi, Della Seta,
25 Palanza, and Ryan, and Vandenberg. So at least NTP

1 thought that some of those studies were useful for them.

2 One of the other more intriguing things is the
3 looking at the dopamine system too. I thought that
4 was -- again though, I have to say some of these I think
5 are just perhaps what they truly call
6 hypothesis-generating type of studies, and they're not the
7 traditional type of neurotox that we want to see.

8 COMMITTEE MEMBER KEEN: But I think it's also
9 worth noting those as when they did signal some out as
10 being, what they thought I guess were, the more robust
11 studies, I see females no effect, males no effect.

12 So the ones that they identified as perhaps some
13 of the higher tiered studies by their criteria, seemed to
14 be the studies that actually had less effects reported or
15 observed than the ones which they found to be weaker
16 studies.

17 COMMITTEE MEMBER ROBERTS: I'm looking at the NTP
18 brief on page 20. And on the left-hand column, it says,
19 "Overall the current literature cannot yet be fully
20 interpreted for biological or experimental consistency or
21 for relevance to human health", which implies that they
22 think that something may come of this in the future, but
23 they are not there yet.

24 CHAIRPERSON BURK: Okay. We're getting near the
25 end of our legal time, I guess, so -- we can do till close

1 of business, what does that mean? How long is that?

2 Oh, okay. Well, anyway are there any more
3 comments?

4 Ellen.

5 COMMITTEE MEMBER GOLD: Can I just say that in
6 the NTP report just to go along with what you were saying,
7 they do express some concern about neural and behavioral
8 effects. So, you know, their level of concern is raised
9 compared to some of the other things we've been talking
10 about.

11 COMMITTEE MEMBER ROBERTS: There was a comment
12 made about the change in how we evaluate going from the
13 20th century to the 21st century. And I think this
14 particular chemical is a good example of where - I hate to
15 say it - we may need to revisit it at some point, because
16 there may be additional information that comes out that
17 strengthens one area or another. The neurobehavioral is
18 one aspect of it. I think the immunological is
19 potentially another. And even more with, you know, the
20 gene expression could be an area as well. The functional
21 changes are, I think, where we're seeing -- and long-term
22 health effects from the prenatal exposure are where we may
23 be seeing the science develop.

24 CHAIRPERSON BURK: Yes, I totally agree. And I
25 want everyone to realize that it's always possible for the

1 Committee to revisit when more evidence surfaces. And it
2 seems like there's a trajectory. There seem like a lot of
3 people interested in BPA right now and looking at it. So
4 maybe some studies will come that will be, what can I say,
5 provide clearer evidence for us, more sufficiency. I
6 don't know now. I'm not saying how anyone is going to
7 vote though, so I'm just --

8 (Laughter.)

9 CHAIRPERSON BURK: I don't want to make it sound
10 like it's pre-determined.

11 But I did want to make a point though, that we
12 have been known to revisit a chemical. One comes to mind
13 after a number of years, which was, I think, environmental
14 tobacco smoke. We thought there was something there in
15 the beginning, but there wasn't enough evidence, and more
16 studies came out and we looked at it again and there was.

17 All right, are we ready to --

18 DR. ALEXEEFF: Dr. Burk, I just wanted to make a
19 point, since you mentioned about the revisiting. We
20 could -- and you raised -- there are several issues that
21 were raised, the behavioral, the breast cancer, sort of
22 thing like that. So we could keep a look at that and to
23 see if there's maybe something that might be of interest
24 that we could bring back to the panel to look at more
25 specifically on some of these endpoints you mentioned.

1 CHAIRPERSON BURK: That would be very nice. What
2 would we like to put on their list to keep a look out for?

3 COMMITTEE MEMBER KEEN: I think it's a high
4 probability that there will be future work probing this
5 issue as to whether or not the single carbon status or
6 folate status alters the susceptibility, because that
7 seems to be a message that could be pulled out of that
8 paper. And if that's the case, then suddenly one can talk
9 about target populations that could change considerably
10 the ability to actually detect BPA effects, assuming that
11 they're there, with a high level of precision.

12 CHAIRPERSON BURK: Lauren.

13 DR. ZEISE: The other issue, while we didn't look
14 in detail at the cancer endpoint, there are number of
15 early-in-life studies and in utero studies that show
16 precursor lesions. And we could, again, look at that very
17 carefully and come back to the Committee on that point.

18 COMMITTEE MEMBER WHITE: That sounds wonderful.

19 CHAIRPERSON BURK: Dr. Hobel.

20 COMMITTEE MEMBER HOBEL: Yeah, I think there's
21 also this issue of nutrition. The cancer people are
22 becoming very interested in nutritional supplementation to
23 address issues that lead to cancer. And it's all related
24 to folic acid -- or some of it's related to folic acid.
25 So I think that that literature should be looked at very

1 carefully.

2 CHAIRPERSON BURK: I would ask for any neuro and
3 behavioral tox studies.

4 Also, maybe from what Carl said earlier, maybe
5 Epi studies are never going to be helpful, but I still
6 think that if there are any good ones, we would sure want
7 to see those.

8 COMMITTEE MEMBER KEEN: Yeah, I would hesitate to
9 ever want to be accused of saying Epi studies have no
10 value here. I think the difficulty might be that it's
11 going to be -- if indeed we have not even a bimodal, but a
12 trimodal type of response, that really screams to me that
13 that means there needs to be prospective studies and a
14 fair amount more attention paid to what the serum
15 concentrations or blood concentrations are in the
16 metabolite profile, which is largely absent right now.

17 You know, I do have a fear. My fear is, is that
18 we are -- because we're looking at the data the way we're
19 supposed to, and it's as a whole that, you know, we may be
20 under -- not underestimating but we could be missing a
21 clear and present danger. It's a real possibility.

22 And I think that that though could be cleaned up
23 pretty quickly with getting some more information in these
24 areas. These are not daunting studies. They shouldn't
25 take years and years and years. And they could be

1 published very quickly.

2 The second-hand smoke was referred to just a few
3 minutes ago, that was pretty -- for those unfamiliar with
4 it, I want to make you maybe feel a level of comfort. The
5 Committee went from an almost unanimous no, the evidence
6 isn't strong enough. I'm remember distinctly one member
7 who said yes, he thought it was, because it was literally
8 only about a month later, that a key publication came out
9 that we all said, that's what we were waiting for.

10 You know, so things can change very quickly. We
11 just need the appropriate data, I think, to marshal that
12 way. It's not like it's decades.

13 CHAIRPERSON BURK: All right. Any further
14 comments from the Committee?

15 I think this, again, just like you said, is
16 something -- this has happened to me many times on here.
17 It's not an easy decision, because there are things that,
18 you think, oh, there's something there, but is it clear
19 enough.

20 So that's what we'll find out right now. So I
21 will read these verbatim. We require five positive votes,
22 five "yes" votes to list, and that's a majority of the
23 Committee members.

24 So, has Bisphenol A been clearly shown, through
25 scientifically valid testing, according to generally

1 accepted principles, to cause developmental toxicity?

2 All those voting "yes", please raise your hand.

3 (No hands raised.)

4 CHAIRPERSON BURK: All those voting "no", please
5 raise you hand.

6 (Hands raised.)

7 CHAIRPERSON BURK: So that's all.

8 And I guess we have nobody abstaining.

9 Okay. So, secondly, has Bisphenol A been clearly
10 shown, through scientifically valid testing, according to
11 generally accepted principles, to cause female
12 reproductive toxicity?

13 All those voting "yes", please raise your hand?

14 (No hands raised.)

15 CHAIRPERSON BURK: I see none.

16 All those voting "no", please raise your hand?

17 (Hands raised.)

18 CHAIRPERSON BURK: Okay, seven.

19 And no one abstaining.

20 And finally, has Bisphenol A been clearly shown,
21 through scientifically valid testing, according to
22 generally accepted principles, to cause male reproductive
23 toxicity?

24 All those voting "yes", please raise your hand?

25 (No hands raised.)

1 CHAIRPERSON BURK: Zero.

2 All those voting "no", please raise your hand?

3 (Hands raised.)

4 CHAIRPERSON BURK: So again seven.

5 So the Committee has not voted to list Bisphenol

6 A.

7 Okay. On the agenda now we have staff updates.

8 We'll wait.

9 (Thereupon there was a pause in the proceedings.)

10 CHAIRPERSON BURK: Okay, so we'll have two staff
11 updates. First Cynthia Oshita.

12 MS. OSHITA: This will be very quick here.

13 As you will recall, the Committee last met in
14 November of 2008. And since that time, there remain two
15 chemicals that was mentioned at the last meeting. They
16 are 4-methylimidazole and methanol that are under
17 consideration for administrative listing as known to cause
18 cancer and reproductive toxicity respectively.

19 Each chemical has progressed to the Notice of
20 Intent to List phase and the review efforts continue on
21 both.

22 In addition, in December of 2008, OEHHA announced
23 the possible administrative listing of four other
24 chemicals, and they include carbaryl, metam potassium,
25 metofluthrin, and spirodiclofen as chemicals known to the

1 State to cause cancer. And comments have been received on
2 these chemicals as well and are under review.

3 And last, since last November, a Maximum
4 Allowable Dose Level for di-n-hexyl phthalate has been
5 adopted at 2,200 micrograms per day oral. And that level
6 became effective January 1st, 2009.

7 Thank you.

8 CHIEF COUNSEL MONAHAN-CUMMINGS: Hi. This is
9 Carol Monahan-Cummings. Just real quickly, I was thinking
10 that Cindy was going to mention also that we have some
11 current chemicals up for listing under the Labor Code
12 Provision of Prop 65. We have 30 chemicals that have been
13 proposed, and they're fairly evenly split between repro
14 and cancer chemicals.

15 The public comment period closed on Monday the
16 13th, July the 13th. And on Tuesday -- yes, yesterday --
17 we got a third lawsuit that is related to Labor Code
18 listings.

19 And so that's the lead into the fact that we have
20 three cases pending currently against the Governor and/or
21 OEHHA and the Agency. I think I mentioned to you before,
22 there was an action that was filed by the Sierra Club as
23 the lead plaintiff, but it's a coalition of environmental
24 and labor groups that filed that case. It doesn't concern
25 this group directly. The CIC members individually are

1 sued in that case, but not the DART members.

2 It indirectly affects you, because it has one
3 component that's related to prioritization for Committee
4 listings. And it also deals with authoritative body
5 listings and listings under the Labor Code mechanism.

6 A related case was filed in November, I believe,
7 or December by the Chamber of Commerce related to the
8 Labor Code listings as well. It was consolidated with
9 Sierra Club case. And it has actually been decided the
10 issues in that case, and it's up on appeal currently.

11 And then the third case that I mentioned that was
12 filed yesterday was filed by the styrene -- a styrene
13 trade group. And it is also related to Labor Code
14 listings. In particular, the proposal to list styrene as
15 a chemical known to cause cancer. So the majority of the
16 litigation is really focused on cancer, but with the
17 exception of the prioritization process for committee
18 listings. Does anybody have questions?

19 DIRECTOR DENTON: I'll just take a few minutes to
20 summarize the action of the Committee today.

21 The Committee unanimously decided not to list
22 Bisphenol A as a developmental and reproductive toxicant.
23 The Committee would like us to follow up with further
24 evaluations. And you might double check me on this to
25 make sure that I accurately captured what it is that you

1 would like to see.

2 But I see that there are three different areas
3 that you would like us to follow up on. One is the
4 Bisphenol A and nutritional status, specifically regarding
5 folic acid, but there could be others.

6 Well, actually four, the nutritional status,
7 susceptibility. There may be susceptible populations or
8 target populations, which could be more susceptible to
9 Bisphenol A.

10 The third is the issue of the cancerous lesions,
11 which could potentially be a result of Bisphenol A
12 exposure. And then finally, the further review of the
13 neurobehavioral studies.

14 And the fifth is the immunotox function. The
15 immune function, so those five areas you would like us to
16 investigate more fully and bring back to the Committee.

17 So my closing remarks that, first of all, that I
18 would really like to -- well, I want to thank the panel
19 for taking such a deliberative approach to this chemical.
20 It's a very high profile chemical. It's a very important
21 chemical. And I think that you did your job today and I
22 really appreciate your attention to this matter.

23 I'd also like to thank my staff, who have spent
24 an incredible amount of time, many months, on this
25 chemical, many hours preparing for this meeting. Once

1 again, the OEHHA staff are just an incredible group of
2 scientists. And I know that all of us appreciate that. I
3 do.

4 I'd also like to thank the audience, most of
5 which have left.

6 (Laughter.)

7 DIRECTOR DENTON: But for those of you who are
8 remaining, we also appreciate your input. I think your
9 input is critical to making this a public and transparent
10 process.

11 And so with that, I guess we're in adjournment.

12 Thank you very much.

13 (Thereupon the Developmental and
14 Reproductive Toxicant Identification
15 Committee adjourned at 5:16 p.m.)

16

17

18

19

20

21

22

23

24

25

1 CERTIFICATE OF REPORTER

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, and Registered
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the
6 foregoing California Office of Environmental Health Hazard
7 Assessment, Developmental and Reproductive Toxicant
8 Identification Committee was reported in shorthand by me,
9 James F. Peters, a Certified Shorthand Reporter of the
10 State of California, and thereafter transcribed under my
11 direction, by computer-assisted transcription.

12 I further certify that I am not of counsel or
13 attorney for any of the parties to said workshop nor in
14 any way interested in the outcome of said workshop.

15 IN WITNESS WHEREOF, I have hereunto set my hand
16 this 3rd day of August, 2009.

17

18

19

20

21

22

23

JAMES F. PETERS, CSR, RPR

24

Certified Shorthand Reporter

25

License No. 10063