

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
PROPOSITION 65
DEVELOPMENTAL AND REPRODUCTIVE TOXICANT
IDENTIFICATION COMMITTEE

JOE SERNA JR./CALEPA HEADQUARTERS BUILDING
1001 I STREET
COASTAL HEARING ROOM
SACRAMENTO, CALIFORNIA

MONDAY, DECEMBER 10, 2007

10:04 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES

COMMITTEE MEMBERS

Dr. Dorothy T. Burk, Chairperson

Dr. Ellen B. Gold

Dr. Calvin Hobel

Dr. Kenneth L. Jones

Dr. Hillary Klonoff-Cohen

Dr. Linda G. Roberts

Dr. La Donna White

STAFF

Dr. Joan E. Denton, Director

Dr. George Alexeeff, Deputy Director

Ms. Carol Monahan-Cummings, Chief Counsel

Dr. Marlissa Campbell, Reproductive and Ecological
Toxicology Section

Dr. Jim Donald, Chief, Reproductive & Ecological
Toxicology Section

Dr. Mari S. Golub, Reproductive and Ecological Toxicology
Section

Dr. Poorni Iyer, Reproductive and Ecological Toxicology
Section

Ms. Fran Kammerer, Staff Counsel

Dr. Farla Kaufman, Reproductive and Ecological Toxicology
Section

Dr. Ling-Hong Li, Reproductive and Ecological Toxicology
Section

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES CONTINUED

STAFF

Dr. Francisco Moran Messen, Reproductive and Ecological
Toxicology Section

Ms. Cynthia Oshita, Proposition 65 Implementation

Dr. Lauren Zeise, Chief, Reproductive and Cancer Hazard
Assessment Branch

ALSO PRESENT

Ms. Irma Arrollo, El Quinto Sol

Mr. Davis Baltz, Commonweal

Dr. Carol Burns, Dow Chemical

Dr. William Butler, Consumer Health Products Association,
Council for Responsible Nutrition, Natural Products
Association

Ms. Caroline Cox, Center for Environmental Health

Ms. Teresa DeAnda, Californians for Pesticide Reform

Ms. Lisa Halko, Greenberg Traurig

Dr. Steven Hentges, American Chemistry Council

Dr. Sarah Janssen, Natrual Resources Defense Council

Dr. Daland Juberg, Dow AgroSciences

Ms. Anne Katten, California Rural Legal Assistance
Foundation

Ms. Gretchen Lee, Breast Cancer Fund

Ms. Domatila Lemus, El Quinto Sol

Dr. Alan Leviton, American Beverage Association

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES CONTINUED

ALSO PRESENT

Dr. Debbie MacInnis, University of Southern California,
Marshall School of Business

Dr. Margaret Reeves, Pesticide Action Network North
America

Dr. Jay Murray, Murray & Associates

Dr. Barbara Peterson, Exponent

Mr. Gary Roberts, Sonnenschein

Dr. Jay Schreider, California Department of Pesticide
Regulation

Ms. Renee Sharp, Environmental Working Group

Dr. Robert Tardiff, The Sapphire Group

Mr. Christian Volz, McKenna, Long & Aldridge

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

INDEX

	PAGE
I Welcome and Opening Remarks	1
II Prioritization of Chemicals for Developmental and Reproductive Toxicant Identification Committee Review	
A. Process Overview and Application of Epidemiology Data Screen	5
B. Results of Epidemiology Data Screen	
1. Bisphenol A	
- Staff Presentation	11
- Committee Discussion	13
- Public Comments	15
- Committee Discussion and Advice and Consultation Regarding Possible Development of Hazard Identification Materials	38
2. Bromodichloromethane	
- Staff Presentation	49
- Committee Discussion	51
- Public Comments	53
- Committee Discussion and Advice and Consultation Regarding Possible Development of Hazard Identification Materials	65
3. Caffeine	
- Staff Presentation	77
- Committee Discussion	79
- Public Comments	86
- Committee Discussion and Advice and Consultation Regarding Possible Development of Hazard Identification Materials	122

INDEX CONTINUED

	PAGE
Afternoon Session	139
4. Chlorpyrifos	
- Staff Presentation	139
- Committee Discussion	141
- Public Comments	144
- Committee Discussion and Advice and Consultation Regarding Possible Development of Hazard Identification Materials	167
5. Chromium(Hexavalent)	
- Staff Presentation	176
- Committee Discussion	177
- Public Comments	179
- Committee Discussion and Advice and Consultation Regarding Possible Development of Hazard Identification Materials	183
6. DDE	
- Staff Presentation	185
- Committee Discussion	187
- Public Comments	189
- Committee Discussion and Advice and Consultation Regarding Possible Development of Hazard Identification Materials	189
7. Methylisocyanate	
- Staff Presentation	194
- Committee Discussion	195
- Public Comments	198
- Committee Discussion and Advice and Consultation Regarding Possible Development of Hazard Identification Materials	198

INDEX CONTINUED

	PAGE
8. Sulfur Dioxide	
- Staff Presentation	200
- Committee Discussion	202
- Public Comments	206
- Committee Discussion and Advice and Consultation Regarding Possible Development of Hazard Identification Materials	206
9. Other Chemicals Proposed for Committee Consideration	
- Committee Input and Discussion	207
- Public Input and Comments	208
- Committee Discussion and Advice and Consultation Regarding Possible Development of Hazard Identification Materials	209
III Discussion of Next Prioritization Data Screen	
- Staff Presentation	209
- Committee Discussion	210
- Public Comments	215
- Committee Discussion and Advice Regarding Next Prioritization Data Screen	215
IV Staff Updates	
- Chemical Listings via the Administrative Listing Mechanisms and Safe Harbor Level Development	220
- Proposition 65 Litigation	222
V Summary of Committee Advice and Consultation	222
Adjournment	225
Reporter's Certificate	226

1 PROCEEDINGS

2 DIRECTOR DENTON: I would like to welcome all of
3 you to the DART IC meeting. Seems that we're always doing
4 this every December. Annual holiday event I guess is the
5 DART IC meeting. But this is a very important meeting
6 today.

7 And I'd like to start by introducing the members
8 of the Committee. Name plates are in the front, but I do
9 like to introduce the members of the Committee.

10 To my left is Dr. Dorothy Burk, who is the Chair
11 and will be taking over the Committee in a moment. And
12 she is an associate professor at the University of
13 Pacific.

14 Next to her is Dr. Kenneth Jones, who is a
15 professor in the Department of Pediatrics at UC Davis --
16 sorry -- UC San Diego. I'm sorry. UC San Diego.

17 Dr. La Donna White is a clinical faculty
18 physician at the Methodist Family Practice Residency
19 Program.

20 And then to her left is Dr. Linda Roberts, who's
21 a senior toxicologist at the Chevron Research and
22 Technology Company.

23 To my right is Dr. Ellen Gold, who's Chairman of
24 the Department of Public Health Services at UC Davis --
25 Sciences at UC Davis.

1 And next to her is Dr. Hillary Klonoff-Cohen.

2 She is a professor at the Department of Family and
3 Preventive Medicine at UC San Diego.

4 And then to her immediate right is Dr. Calvin
5 Hobel. And he is Vice-Chair of Obstetrics and Gynecology
6 at the Cedars-Sinai Medical Center.

7 So welcome to all the Committee members and to
8 all of you.

9 I'd like to make a few opening marks before we
10 get into the agenda. And, that is, that all of us today
11 are experiencing a new process and are in the process of
12 implementing the 2004 prioritization process.

13 And it's 2007, and it's basically taken this
14 amount of time to work out the epidemiology screen, which
15 has been utilized as the first screen in our
16 prioritization process. And we're essentially following
17 that 2004 document.

18 What we're doing today is receiving the advice
19 and consulting with the Committee on those chemicals which
20 have passed this epidemiology screen. So I would like to
21 remind all of us, the Committee, the audience, the staff,
22 everyone, that today the Committee is not going to be
23 considering listing the chemicals on the agenda. This is
24 not a listing decision which the Committee is undertaking.
25 Rather it's going to be making recommendations and

1 providing advice to OEHHA regarding which of these
2 chemicals merit -- from the abstracts, merit taking a
3 closer look at.

4 So that's the essential purpose of the meeting
5 today.

6 I'd also like to mention that because these
7 chemicals have come to this Committee does in no way mean
8 that OEHHA is recommending that these chemicals either be
9 taken for further consideration or not taken for further
10 consideration. These are chemicals which passed the
11 epidemiology screen, we provided the information, and
12 we're soliciting the advice of the Committee on how to
13 proceed or if to proceed on these chemicals.

14 Finally, I'd also like to mention that it's not
15 usual practice for us to limit discussion especially of
16 the participants. It's important that all of the
17 individuals in the audience be heard. And because of the
18 lengthy agenda, because of the importance of some of these
19 chemicals, we have limited the discussion time to five
20 minutes per participant. And I think Dottie or myself
21 will be trying to keep track of that -- will be keeping
22 track of it.

23 Again, we're not looking at the details of the
24 study but just the general evidence and recommendations
25 from the Committee on whether or not they need to be

1 further looked at in greater detail.

2 So that's basically what I wanted to say. And I
3 think at this point, I will turn it over to Dr. Burk for
4 the Committee.

5 CHAIRPERSON BURK: Good morning, everyone. Thank
6 you all for coming, particularly the Committee members at
7 this always busy time of year. And we are remarkably
8 missing only one member, which is sad, but at least we've
9 got a pretty good group here today.

10 And as you just heard, we're here to consider
11 these eight prioritized chemicals and to make our
12 recommendations about which ones should move forward in
13 the process, that is, to be considered at a later date for
14 listing. We're not considering today.

15 But before I go any further, I want to thank the
16 staff for implementing this process. I know it's been a
17 long time coming and it's something we asked for. So
18 we're pleased for all the work that went into making this
19 happen. And it is a novel thing for all of us, so we will
20 see how it progresses.

21 The way I think we'd like to work this is to take
22 each chemical in alphabetical order so there's no
23 favoritism here. And in each case we'll have a staff
24 presentation, followed by the quick Committee discussion,
25 then public comments, and then further Committee

1 discussion and a polling as to whether we want to
2 recommend the chemical to go forward.

3 I think at the end of the day, it would be wise
4 if we would sort of review how the process went, if time
5 permits, and see whether it met our needs.

6 So I think without further ado, we will start
7 with the first chemical on the list.

8 Oh, okay. See, I always miss something. So
9 before we start with the first chemical, we will have a
10 process overview from Jim Donald. And he's ready.

11 (Thereupon an overhead presentation was
12 Presented as follows.)

13 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

14 CHIEF DONALD: Good morning. My name is Jim Donald. I'm
15 Chief of the Reproductive and Ecological Toxicology
16 Section.

17 --o0o--

18 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

19 CHIEF DONALD: I'm going to give just a quick overview --
20 It seems I've jumped ahead already -- a quick overview of
21 the current iteration of our prioritization process. And
22 in that iteration we have applied an epidemiologic data
23 screen, and I'm going to describe that also. Some of what
24 I present will be a little bit reiterative of what Joan
25 has already said. But hopefully that will help reinforce

1 forward for review and based on the recommendations that
2 we received from the Committee, OEHHA will select
3 chemicals for preparation of hazard identification
4 materials.

5 --o0o--

6 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

7 CHIEF DONALD: And then very briefly, for the chemicals
8 that are so identified, we will conduct what we call a
9 data call-in to allow for submission of any data that we
10 may have missed in our literature searches. We'll prepare
11 comprehensive hazard identification materials containing
12 all of the evidence, all of the relevant information on
13 reproductive or developmental toxicity for each chemical.
14 Those materials will be provided to the Committee and also
15 provided for public review.

16 And there will be a future public meeting at
17 which the Committee will review the chemicals and make a
18 listing decision. And at that meeting there will be again
19 further opportunity for public comment.

20 --o0o--

21 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

22 CHIEF DONALD: The epidemiologic data screen that we
23 applied in this iteration of the process was applied to
24 286 candidate chemicals, with a goal of narrowing that
25 down to a manageable number to bring before the Committee.

1 Committee.

2 It's important to remember that again this a very
3 preliminary toxicological evaluation of the overall
4 evidence of developmental and reproductive toxicity and
5 that it's based on abstracts of the studies.

6 --o0o--

7 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

8 CHIEF DONALD: So based on this process to date, we have
9 identified eight chemicals for which this preliminary
10 evaluation indicates that developmental or reproductive
11 toxicity may be a concern. These are Bisphenol A,
12 bromodichloromethane, caffeine, chlorpyrifos, hexavalent
13 chromium, DDE, methylisocyanate, and sulfur dioxide.

14 So for each of the proposed chemicals we compiled
15 the abstracts of epidemiologic studies, experimental
16 animal studies, and other relevant data that we identified
17 during the preliminary toxicological evaluation.

18 To further assist the Committee in evaluating
19 this information, we also categorized these abstracts into
20 different categories such as those showing effects, those
21 not showing effects, and so forth. And we recognize that
22 there is room for perhaps differing opinions on where some
23 of those abstracts were placed.

24 These materials were provided to the Committee
25 and released to the public for what was initially a 60-day

1 comment period that was subsequently extended for another
2 month -- another three weeks. And all the public comments
3 that were received were provided to the Committee prior to
4 today's meeting.

5 --o0o--

6 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

7 CHIEF DONALD: So the purpose of the meeting today is for
8 OEHHA to receive advice from the Committee on the
9 chemicals that should undergo the development of
10 comprehensive hazard identification materials and also to
11 allow an additional opportunity for public comment.

12 And that concludes my presentation. I'd be happy
13 to answer any questions you have at this point.

14 CHAIRPERSON BURK: Are there any questions for
15 Dr. Donald?

16 No?

17 Okay. Then I guess now we can begin.

18 The first chemical on the list is Bisphenol A.
19 Staff presentation Dr. Marlissa Campbell.

20 (Thereupon an overhead presentation was
21 Presented as follows.)

22 DR. CAMPBELL: My name is Marlissa Campbell and I
23 will be talking about Bisphenol A.

24 --o0o--

25 DR. CAMPBELL: Polycarbonate plastic is a polymer

1 of Bisphenol A. And polycarbonate products include items
2 such as eyeglass lenses, baby and water bottles, and
3 reusable food and drink containers.

4 Bisphenol A is also a component of epoxy resins,
5 which are used in products such as dental composites,
6 paints and adhesives, and protective coatings on food and
7 beverage containers.

8 Next slide.

9 --o0o--

10 DR. CAMPBELL: The epidemiological data set on
11 Bisphenol A includes two analytical studies of adequate
12 quality, which reported increased risk for adverse
13 developmental or reproductive outcomes. These studies
14 measured blood levels of Bisphenol A and examined
15 reproductive function and hormones.

16 A third study that reported adverse outcomes was
17 considered to be of inadequate quality.

18 One study reported no increased risk of adverse
19 developmental or reproductive outcomes. And the outcome
20 of another study was unclear from the abstract.

21 And there were two related additional articles
22 that were also identified.

23 Next slide.

24 --o0o--

25 DR. CAMPBELL: Sixty-three animal studies of

1 Bisphenol A reported findings of reproductive or
2 developmental toxicity. These studies used a variety of
3 protocols in species to primarily examine estrogenic
4 effects in males and females.

5 Thirteen meeting abstracts reported findings of
6 reproductive or developmental toxicity.

7 Twenty-six studies and four meeting abstracts
8 reported no reproductive or developmental toxicity.

9 Ninety-one related articles and meeting abstracts
10 were also identified.

11 And 15 studies without abstracts were identified
12 by title only.

13 And that concludes this presentation.

14 CHAIRPERSON BURK: Are there any questions of Dr.
15 Campbell?

16 Any preliminary discussion? I shouldn't say
17 preliminary. But the way it's stated here, it says
18 Committee discussion followed by public comments and then
19 more Committee discussion.

20 What we have done in preparation is to assign a
21 lead person on each one of these chemicals to kind of get
22 us going. But I don't know -- the first one will be Dr.
23 Ken Jones. I don't know if you want to start discussing
24 now or if you would like to hear the public comments and
25 then --

1 COMMITTEE MEMBER JONES: Up to you, Dottie.

2 CHAIRPERSON BURK: You could set the tone.

3 COMMITTEE MEMBER JONES: Yeah. Well, I guess
4 that I would just start off by saying that I believe that
5 there is animal data which is of substantial concern
6 regarding male and female reproductive function. And at
7 present I would say that there's very little human
8 epidemiologic data. Clearly there's this study which
9 shows an increase in miscarriage, which looks to me like
10 it's a pretty darn good study -- or recurrent miscarriage.

11 There are a few other studies which I think are
12 important. But clearly I think the animal data is of far
13 greater concern than is the human study -- the human
14 studies. And when we get into this more completely, I
15 would like, if it doesn't come up before then through
16 public discussion, to go through in a little bit more
17 depth the human studies, because from my perspective at
18 any rate the human studies are of greater significance as
19 far as our recommendation about where to go with this.

20 But I'd be happy to hear the public comments
21 first.

22 CHAIRPERSON BURK: Okay. I think, if I can find
23 my list now, we can start with the public comments.

24 Oh, I lost it already.

25 No, here it is.

1 Now, I have people that have already signed up
2 and then I have the cards. So which ones should I use?
3 The cards?

4 Okay. Well, first up then we have Davis Baltz of
5 Commonweal.

6 MR. BALTZ: Dr. Denton and Chairperson Burk,
7 members of the Committee. My name is Davis Baltz. I work
8 for a health and environmental research institute called
9 Commonweal. We're located in Bolinas, California.

10 I'm here today to urge you to vote to prepare
11 hazard identification materials for Bisphenol A. I think
12 that in the comments that we submitted to you during the
13 public comment period, which you have had a chance to
14 review, we submitted a letter signed by 32 separate
15 organizations. They are health -- public health
16 organizations, environment organizations. And
17 significantly there are a number of reproductive health
18 organizations who have joined in signing this letter. And
19 I think it's significant that you have a -- we have a new
20 sort of sector of the public health community who's
21 starting to track Bisphenol A and has significant concerns
22 about the reproductive and developmental toxicity of
23 Bisphenol A.

24 You know from your literature review that
25 there -- and as Dr. Jones has just mentioned, there is

1 some animal data that is of concern. And I'd like to just
2 remind everyone that the levels that have been found in
3 the animal studies are levels at which humans already are
4 exposed. The biomonitoring data that we have shows that
5 virtually everyone who's tested has Bisphenol A in their
6 bodies. And as some of you may know, California's new
7 biomonitoring program is just getting launched this fiscal
8 year and, in fact, one week from today will have their
9 first meeting. And this will shed further light on the
10 exposure that we have here in California.

11 So I think that it's, from our point of view, a
12 prudent step for the Committee to recommend that hazard
13 identification materials are now prepared for Bisphenol A,
14 and again we urge that you take this step today.

15 Thank you.

16 CHAIRPERSON BURK: Thank you. And next we have
17 Gretchen Lee of the Breast Cancer Fund.

18 MS. LEE: Thank you very much. I'm Gretchen Lee.
19 I'm with the Breast Cancer Fund.

20 The Breast Cancer Fund is the only national
21 organization that focuses solely on breast cancer
22 prevention by identifying and advocating for the
23 elimination of the environmental causes of breast cancer.
24 And I'm encouraged that the Committee has decided to take
25 up the issue of Bisphenol A today.

1 We strongly urge the Committee to direct OEHHA to
2 prepare hazard identification materials for Bisphenol A.

3 Every two years the Breast Cancer Fund compiles
4 the evidence on the environmental links to breast cancer
5 in a report called State of the Evidence. With each
6 report the evidence linking Bisphenol A with breast cancer
7 becomes stronger. What is most alarming is that it's the
8 early life in in utero exposures to Bisphenol A that are
9 setting young girls on a path for increased breast cancer
10 later in life.

11 Exposure to Bisphenol A is widespread. According
12 to a new analysis by the U.S. Centers for Disease Control,
13 roughly 93 percent of Americans have detectable levels of
14 BPA in their bodies. Because of the relatively short
15 half-life of BPA, this analysis suggests that most
16 Americans are exposed continuously to this chemical.

17 BPA leaches into our bodies through our everyday
18 contact with household products containing the chemical.
19 The following have all been shown to result in an increase
20 of the rate of leaching of Bisphenol A:

21 The presence of acidic or basic food or beverages
22 stored in cans lined with epoxy resin containing BPA or in
23 polycarbonate plastic, the heating of polycarbonate
24 plastic in plastic containers, and repeating washing of
25 polycarbonate products.

1 Because the exposure to BPA is so widespread and
2 because it can leach out of materials so easily, including
3 those products that children use every day, and there is
4 extensive scientific literature demonstrating the evidence
5 of harm, we strongly urge you to direct OEHHA to
6 expeditiously prepare hazard identification materials for
7 Bisphenol A.

8 Thank you.

9 CHAIRPERSON BURK: Thank you.

10 Next on the list is Caroline Cox, Center for
11 Environmental Health. Is she here?

12 No. Okay. We didn't get a blue card, but
13 she -- Okay. So we will move on then to Steven Hentges.
14 I can't pronounce that, but I hope that's close. And I
15 will say that he is representing the American Chemistry
16 Council, which is a group, so we will allow a longer
17 period of time.

18 What do you estimate?

19 DR. HENTGES: Within 15 minutes.

20 CHAIRPERSON BURK: Okay. 15 minutes sounds good.

21 (Thereupon an overhead presentation was
22 Presented as follows.)

23 DR. HENTGES: Okay. So, Dr. Denton, Dr. Burk,
24 all the members of the Panel, good morning, and thank you
25 for this opportunity to provide comments to you. We did

1 provide written comments, which I trust you have had the
2 opportunity to take a look at already. And what I'll do
3 in my presentation today is really cover some of the high
4 points of the written comments.

5 And who's in control?

6 Okay. We'll go to the next slide.

7 --o0o--

8 DR. HENTGES: We'll start with prior evaluations
9 of Bisphenol A.

10 While you're here today to think about whether
11 Bisphenol A is appropriate and necessary to review under
12 Proposition 65, there have been a number of other
13 evaluations of Bisphenol A that have been conducted in
14 recent years.

15 And the most prominent ones are the four that
16 I've listed on this slide from the NTP Center for the
17 Evaluation of Risks to Human Reproduction and the European
18 Food Safety Authority. Both of those were released this
19 year. A couple years ago the Japanese National Institute
20 of Advanced Science and Technology, which is Japan's
21 largest public research institute. And then before that,
22 a very comprehensive risk assessment was issued by the
23 European Union. That one, although it was issued in 2003,
24 is now in the final stages of being finalized, with that
25 update to be available very early next year.

1 conclusions listed here under the first four bullets:

2 Bisphenol A does not cause malformations or birth
3 defects in rats or mice.

4 Does not alter male or female fertility after
5 gestational exposure.

6 Does not permanently affect prostate weight.

7 All of these are at very high doses, up to the
8 very highest doses that were tested in these studies. And
9 at those very high doses, the animals do experience
10 systemic or maternal toxicity.

11 The panel did conclude that Bisphenol A did
12 change the age of puberty in male or female rats also at a
13 very high dose. And that conclusion is worthy of a couple
14 of additional comments to clarify. The first is that the
15 effects that are driving this conclusion are delays in
16 preputial separation in male rats and vaginal opening in
17 female rats. Both of these effects are linked or
18 correlated to reduce offspring body weight, which is a
19 result of the very high doses that were tested, doses that
20 result in systemic or maternal toxicity.

21 These slight developmental delays, however, did
22 not have any apparent functional effect, in particular no
23 effect on the reproductive outcome for any generation in
24 the three generation study in rats, which is the study
25 that found those two effects.

1 the panel's lingo, suggest neural behavioral effects.
2 However, the panel also noted that it was unclear if those
3 observations should be considered as adverse effects.
4 And, in addition, the panel also recognized that there was
5 no definitive data available.

6 And in addition to these "concern" conclusions,
7 they also identified critical data needs and they
8 identified neural and behavioral effects as a critical
9 data need because there is no definitive data that's
10 available.

11 Next slide.

12 --o0o--

13 DR. HENTGES: Just to finish up on CERHR, the
14 evaluation process is both scientifically rigorous and
15 procedurally sound. The panel members -- you saw those on
16 a previous slide, probably recognize some of them -- are
17 very highly qualified. The entire process complies with
18 FACAs guidelines to avoid any conflict of interest among
19 the panel members. It's an open and transparent process
20 with ample opportunity for public participation. And the
21 final NTP report does represent the official views of NTP.

22 You may have heard or you may here today about
23 a -- something that has become known as the Chapel Hill
24 statement on Bisphenol A. That's a different review that
25 followed a process quite different from a CERHR process.

1 In fact, it was quite the opposite of the CERHR procedural
2 guidelines. It was a closed process. Conflict of
3 interest was not controlled. And the outcome of that
4 process is not an official NIEHS or NTP view.

5 Next slide, please.

6 --o0o--

7 DR. HENTGES: In addition to the animal studies,
8 the CERHR panel also took a look at the five human studies
9 that were identified by OEHHA as part of the
10 epidemiological screen for today's proceedings.

11 They did of course look at the studies in great
12 detail. And what they concluded is that all five of those
13 studies are of limited utility for human health
14 evaluation. They identified quite a few technical
15 limitations in these studies that limited their utility,
16 including small size, confounders and effect modifiers
17 that were not effectively managed or controlled. A couple
18 of the bigger problems are that there are very significant
19 different time frames for collecting the biological
20 samples for exposure evaluation and occurrence in
21 development of the health effects that were being
22 examined.

23 In addition, it was subsequently found after
24 these studies were published that the analytical method is
25 unsuitable for measurement of Bisphenol A in biological

1 in human urine. That's where all of it comes out.

2 We now have a very large data set that was very
3 recently published, just a few months ago, by CDC in the
4 form of their NHANES 2003-2004 data set. That data
5 indicates that typical human exposure to Bisphenol A is in
6 the range of about 0.05 micrograms per kilogram of body
7 weight per day. That study included more than 2500
8 participants, ages 6 to 85. And, by design, the results
9 of this study are representative of the U.S. population.

10 The results are also consistent with many other
11 biomonitoring studies that have been conducted worldwide,
12 all of which are smaller in scale. This is by far the
13 largest scale study so far.

14 That low exposure is consistent with the use
15 patterns for Bisphenol A, which were highlighted at the
16 very beginning of this section. There are no consumer
17 products that contain anything more than trace impurity
18 levels of Bisphenol A. Typically less than 50 parts per
19 million is the most you would find in any product made
20 from polycarbonate plastic or an epoxy resin.

21 And so you would not expect to find very high
22 exposure in the human population. And you don't. It's
23 not there.

24 To put that in comparison, I mentioned the
25 European Food Safety Authority review earlier this year.

1 The EFSA panel -- that evaluation was conducted by a panel
2 of 21 scientists from throughout the EU -- established a
3 TDI, a tolerable daily intake, of 50 micrograms per
4 kilogram per day. So typical human exposure is about a
5 thousand times below the TDI established in Europe.

6 And then the last slide.

7 --o0o--

8 DR. HENTGES: For our conclusions, we do not
9 believe that Bisphenol A should be considered a priority
10 for review by DARTIC and OEHHA. It has been recently and
11 comprehensively reviewed, and those reviews indicate that
12 Bisphenol A does not meet the Proposition 65 standard, the
13 "clearly shown to cause reproductive toxicity" standard.

14 We also believe that Bisphenol A does not meet
15 the Proposition 65 technical criteria to recommend it as
16 known to the state to cause reproductive toxicity. There
17 are no suitable epidemiological studies. And the multiple
18 animal studies consistently show that Bisphenol A is not a
19 selective reproductive or developmental toxicant.

20 And then, finally, from a practical perspective,
21 review of Bisphenol A by DARTIC and OEHHA would consume
22 considerable time and effort and likely would duplicate
23 the work of other highly qualified bodies that have
24 recently reviewed Bisphenol A.

25 So that, just barely within the 15 minutes that I

1 promised. But I can answer questions if you have any, now
2 or later.

3 CHAIRPERSON BURK: Are there any questions?

4 COMMITTEE MEMBER JONES: Yeah. You made the
5 point that there was only one issue that raised concern.

6 DR. HENTGES: "Some concern", yeah.

7 COMMITTEE MEMBER JONES: "Some concern". Could
8 you just go over that once more.

9 DR. HENTGES: Right. That goes back to the
10 Five-point scale. Those are the qualitative risk
11 concerns.

12 And one for "some concern" was from neural and
13 behavioral effects. And that was driven -- if you dig
14 back deeper into where did that come from, there were a
15 small number -- it was about six small scale laboratory
16 animal studies that, again to use their terminology -- I
17 don't want to put words in their mouth -- but to use their
18 terminology, suggest neuro behavioral effects. But the
19 panel did acknowledge that it was not clear if those
20 observations or those effects were actually adverse
21 effects. And a big part of the problem is that there
22 is -- they did not have any definitive data to evaluate to
23 really be able to interpret that data. So that led to the
24 "some concern" that also, probably more importantly, led
25 to their first critical data need, which is for additional

1 research in that area.

2 COMMITTEE MEMBER JONES: Right. I've read quite
3 extensively this report that came out on the 26th of
4 November as well. And I would just like to make the point
5 that -- you know, I think you're playing down the neural
6 and behavioral effect to a certain extent. I mean to say
7 they -- I agree with you, they pointed out that it was a
8 suggestion. But they also came out in their conclusions
9 as saying that there was some concern. And "some concern"
10 was the middle concern that -- they had five levels and
11 "some" was in the middle.

12 So it's not as though I think that this is
13 negligible or minimal. This is "some concern" that they
14 raised.

15 DR. HENTGES: Right. And, again, I think it's
16 because of a lack of definitive data, which we would agree
17 with. Additional research is needed in that area.

18 CHAIRPERSON BURK: Yes, Linda.

19 COMMITTEE MEMBER ROBERTS: Do you recall what the
20 exposure periods were for those -- the neural or
21 behavioral studies?

22 DR. HENTGES: I think most of those I'd have to
23 go back and check -- study the study. But I believe most
24 of those were gestational exposure.

25 COMMITTEE MEMBER ROBERTS: Okay. And you

1 mentioned critical data needs that they identified.

2 Are those underway?

3 DR. HENTGES: I'm sorry. Are they --

4 COMMITTEE MEMBER ROBERTS: Are there any critical
5 data needs that you're aware of that are in the process of
6 being met?

7 DR. HENTGES: Probably the answer is yes. They
8 identified eight areas, and undoubtedly there's research
9 somewhere that's ongoing that would hit some of those.
10 But I don't have any comprehensive view of what all might
11 be underway. Those are not -- the CERHR doesn't actually
12 have the authority to require additional testing. So this
13 is more of a research agenda that might be used for
14 grant-making purposes or to suggest research that others
15 might want to pick up on.

16 COMMITTEE MEMBER ROBERTS: Okay. And is the
17 CERHR report, is that a consensus report or is it one in
18 which that they do sort of a majority opinion and --

19 DR. HENTGES: I believe it would be called a
20 consensus report, yeah.

21 COMMITTEE MEMBER ROBERTS: All right. Thank you.

22 CHAIRPERSON BURK: Okay. Thank you.

23 DR. HENTGES: Thank you.

24 CHAIRPERSON BURK: Are there any other
25 individuals that wish to -- okay. I didn't have a blue

1 card, but --

2 MS. SHARP: Actually I was supposed to be on your
3 list. I have a nice little e-mail --

4 CHAIRPERSON BURK: Okay. This is Renee Sharp?

5 MS. SHARP: Yeah.

6 CHAIRPERSON BURK: Okay.

7 MS. SHARP: Thank you for allowing me the time to
8 speak.

9 So I'm Renee Sharp. I'm a senior analyst with
10 the Environmental Working Group, which is an environmental
11 research and advocacy organization based in Washington DC,
12 with an office in Oakland. And I'm here today to urge you
13 to recommend that OEHHA prepare hazard identification
14 materials for BPA.

15 You know, just briefly, over the last decade a
16 growing body of science has provided substantial evidence
17 of the developmental and reproductive toxicity of BPA in
18 lab animals at low environmentally relevant doses, and has
19 demonstrated widespread exposures among the public.

20 And I think it's important to point out that --
21 you know, of course I'm not saying there's a cause and
22 effect relationship, but that many of the diseases and
23 health conditions linked to BPA in animal studies are
24 common among the U.S. population. And this gives us great
25 concern the BPA exposures may pose significant health

1 risks to the U.S. population and to pregnant women and to
2 children, in particular.

3 And in our written comments to you all, we
4 outlined, you know, many of the reasons why we think that
5 OEHHA should prepare hazard identification materials for
6 BPA. So I'm just going a touch on a few.

7 But before I do, I do think that there's another
8 piece of the CERHR puzzle that needs to be addressed to
9 you all. And, that is, that the review was actually
10 plagued by significant issues around conflict of interest.
11 For example, the House Oversight and Government Reform
12 Committee basically leveled conflict of interest charges
13 on the part of the subcontractor, Scientists
14 International, that conducted the initial literature
15 search and prepared the first draft for that panel. And
16 that contractor was subsequently fired due to those
17 concerns. But the document that they prepared continued
18 to be used by the expert panel.

19 And it should also be noted that the panel itself
20 lacked BPA experts, and their final draft was found to
21 contain significant numbers of errors of omission and fact
22 upon review by several scientists with BPA expertise.

23 So I just think that's an important thing to
24 consider when looking at the findings from that review.
25 Though I was glad to hear that you did clarify that they

1 did identify that there was "some concern" regarding this
2 in utero exposures that led to near behavioral effects.

3 So moving on to the reasons why you should vote
4 to have OEHHA prepare these materials for BPA. There are
5 more than 60 studies that clearly show BPA-related
6 developmental and reproductive toxicity, including
7 persistent changes to breast tissue and prostate tissue
8 that predispose cells to carcinogenesis in the offspring
9 of exposed animals; neural behavioral changes and germ
10 cell damage in the offspring of exposed animals; and
11 adverse effects on both fertility and the reproductive
12 system in the offspring of exposed animals. And as
13 several people have mentioned, there is also extraordinary
14 widespread exposure among the general public to this
15 chemical. The CDC study showed that 93 percent of the
16 more than 2500 people they tested found -- they found BPA
17 in their urine.

18 And the fact that BPA has a short half-life in
19 the body actually to me is more of an example of why you
20 should be concerned. Because if you find it in 93 percent
21 of the population it means that we've all been having
22 recurrent ongoing exposures.

23 Also, that study found that children were found
24 to have higher levels than adolescents, who in turn had
25 higher levels than adults.

1 And BPA has also been found in breast milk,
2 amniotic fluid, and core blood, indicating exposure to the
3 developing fetus and neonates in addition to older
4 children and adults.

5 And then, finally, I want to mention a study that
6 EWG itself conducted last spring where we looked at BPA in
7 canned food. And the reason why we looked at canned food
8 is it's thought that this is probably a major source of
9 exposure. And we found that in 56 percent of the 97 cans
10 of name brand fruit, vegetables, and infant formula, we
11 found detectable levels of BPA.

12 And of all the foods tested, chicken soup,
13 instant formula, and ravioli had BPA levels of highest
14 concern. And when we did our calculations, we found that
15 just one to three servings of these foods -- or any foods
16 with those concentrations would expose a pregnant woman or
17 child to BPA levels that were found to cause serious
18 adverse effects in animal tests.

19 And when we looked at just the infant formula
20 results and combined this information that FDA had done --
21 had done in their own testing 1996 on formula, what we
22 found was especially troubling because we found that one
23 of every 16 infants fed ready-to-eat canned formula would
24 be exposed to BPA doses exceeding those that altered
25 testosterone levels, affected neuro development and caused

1 other permanent damage to male and female reproductive
2 systems in animal tests. And at the highest levels that
3 we found, 17 parts per billion, nearly two-thirds of all
4 infants fed ready-to-eat formula would be exposed above
5 doses that proved harmful in animal tests.

6 So, finally, I do want to close by reading the
7 consensus statement released earlier this year by a group
8 of 38 independent scientists who have done extensive
9 research on BPA toxicity. And they published a series of
10 four articles in the Journal of Reproductive Toxicology
11 that outlined their conclusions drawn from more than 700
12 scientific articles related to BPA. And just two
13 sentences of their consensus statement reads:

14 "The wide range of adverse effects of low doses
15 of BPA in laboratory animals exposed both during
16 development and in adulthood is a cause for great concern
17 with regard to the potential for similar adverse effects
18 in humans. And recent trends in human disease relate to
19 adverse effects observed in experimental animals exposed
20 to low doses of BPA."

21 So in closing, I hope that you vote to have OEHHA
22 prepare hazard identification materials for BPA.

23 Thank you.

24 CHAIRPERSON BURK: Okay. Thank you.

25 Are there any further speakers on this chemical?

1 Okay. So seeing none, we'll begin our
2 discussion. And I'll turn it back over to Ken.

3 COMMITTEE MEMBER JONES: Thank you all for your
4 comments as well from the audience.

5 I just -- I'm going to be very brief. And I'm
6 just -- I also, as I indicated, read the Center for
7 Evaluation of Risk to Human Reproduction that was put out
8 in November 26th. And I agree pretty much with the
9 conclusions that were made about it.

10 The conflict of interest issues I knew about.
11 But I've talked to people from the group that in fact did
12 that study, and there's a great deal of disagreement with
13 them about whether there was a conflict of interest. So I
14 don't know about the conflict of interest issues as far as
15 that CERHR evaluation is concerns.

16 But just to conclude, at least based on my
17 conclusions in terms of reading, first of all, the human
18 data, there really are no studies that have looked at
19 birth defects as a developmental outcome in BPA. There's
20 one study which was indicated shows an increase in
21 miscarriages -- or recurrent miscarriages. There's one
22 study which raises concern based on evidence of maternal
23 blood, core blood, and placental tissue which shows levels
24 of BPA which are similar to animal studies that were
25 associated with reproductive organ problems. There's a

1 study raising concern based on concentrations of BPA in
2 colostrum.

3 So there's absolutely no question, as has been
4 indicated, that there are levels of this chemical that are
5 of concern based upon the animal work in humans. There is
6 insufficient data providing information whether BPA causes
7 male or female reproductive toxicity in humans.

8 Now, it is indicated there's 63 animal studies.
9 And from my perspective, there's more concern here. As
10 far as developmental toxicity, there's obviously a lot of
11 issues that were brought up by the CERHR evaluation that
12 indicate that in animal studies there's not significant
13 developmental toxicity -- or there's not substantial
14 developmental toxicity. However, clearly rodent studies
15 suggests that this chemical causes neuro and behavioral
16 alterations related to disruptions in normal sex
17 differences in rats and mice.

18 And you can I guess make an issue as to whether
19 this was a moderate concern or whether this was a minimal
20 concern. The issue is that they felt that there clearly
21 was concern as far as this neuro and behavioral
22 alterations.

23 And then as far as reproductive toxicity, I
24 think -- that at least my reading of this shows that
25 there's sufficient evidence that BPA does cause

1 reproductive toxicity, albeit perhaps minimal, in both
2 males and females, in both rat and mouse studies.

3 I would just bring up a couple other things. One
4 of which I would bring up the report that has been
5 circulated from this international conference on fetal
6 programming and developmental toxicity that occurred in
7 the Faroe Islands in May of 2007. And clearly BPA was
8 suggested in that -- from that conference to be of serious
9 concern. And I think that without question the
10 individuals that attended that conference and that came up
11 with the final report from that conference are a pretty
12 impressive group of people, and they certainly have raised
13 concern about this chemical.

14 I would finally say -- and perhaps everyone here
15 knows this -- but there is a bill that has come up before
16 the California Legislature, Assembly Bill 558, which is
17 called the California Toxics Use Reduction Act. It was
18 brought up by Assembly Member Mike Feuer. And in this
19 bill I think that BPA again was raised as concern and
20 something which should be reduced as far as this Assembly
21 member felt.

22 So I really think that it is in the best
23 interests certainly of the chemical industry as well as
24 the public that this committee, the DART Committee, take
25 up this chemical and look at it with the possibility that

1 it is or is not a developmental and reproductive toxin.

2 I think it would be crazy for us not to do it.

3 CHAIRPERSON BURK: Thanks.

4 Comments from other Committee members?

5 Linda.

6 COMMITTEE MEMBER ROBERTS: Yeah, I just had a
7 question. Ken, since you've read the report, since the
8 estrogenicity of it has been tested quite a bit, was that
9 not really much of a point in their report?

10 COMMITTEE MEMBER JONES: No, it isn't?

11 COMMITTEE MEMBER ROBERTS: It isn't. And that's
12 just related to the sexual differentiation and the neural
13 and the behavioral?

14 COMMITTEE MEMBER JONES: Yes.

15 COMMITTEE MEMBER ROBERTS: Okay.

16 CHAIRPERSON BURK: Any comments, questions from
17 the other end? I keep looking this way.

18 Dr. Hobel.

19 COMMITTEE MEMBER HOBEL: I'll just make one
20 comment. And I think this comment really applies to all
21 the materials we're going to be talking about.

22 Is that we don't understand and know who the
23 vulnerable population is. And that's why epidemiological
24 studies are so important to try to identify who might be
25 vulnerable to this, whether it begins during pregnancy or

1 maybe before pregnancy. And over the life course of
2 changes that occur, at what point in time does it become
3 important? And it's a timing issue. And I think that's
4 what makes all of these subjects so complex.

5 And so we have to frame it in a way that we can
6 recommend studies and approaches to provide us better data
7 for us to make reasonable scientific conclusions. And so
8 I think that's how I look at all of these substances.

9 And just keep that in mind.

10 CHAIRPERSON BURK: Thanks.

11 Any other comments?

12 La Donna.

13 COMMITTEE MEMBER WHITE: I agree with Dr. Jones
14 with respect to the animal studies versus looking at this
15 in a more human context.

16 What I'm hearing is most of the animal studies
17 and the repeated exposure of this particular chemical.
18 But I'm not hearing a lot about human adverse effects.
19 And I think that it would be warranted in this case to
20 take a closer look. Yes, I heard the animal studies.
21 Yes, I've read the animal studies. Yes, it is metabolized
22 in the urine. But what does that mean for the communities
23 or potential communities who are exposed? We don't have a
24 lot of data on that. And a closer look needs to be looked
25 at it with respect to humans and the outcomes and the

1 adverse effects.

2 I mean the animal models -- the animal studies
3 are great. But really what does that do for a population
4 of people? And it needs to be looked at I think closer
5 with respect to the communities that it affects.

6 CHAIRPERSON BURK: Any other discussion?

7 I think one thing we have to keep in mind -- and
8 this is more philosophical than scientific. I think we
9 should be scientific about all this, which is our job.

10 I'm perfectly comfortable with animal data
11 because that's sort of my background. But of course the
12 idea of this prioritization was to get some human Epi data
13 as well. But the big question I have is if we recommend
14 this go forward and have a hazard identification document
15 prepared, and then we consider it for listing, do you
16 think there will be enough information in there for us to
17 make a decision, that it is clearly a cause? And that's
18 always, you know -- and I'm not saying we shouldn't go
19 forward, because I actually believe we should. I think
20 it's our responsibility to look at the data independently.
21 But I worry about again the time that it takes to do that
22 if we think ahead of time that we'll just be sort of
23 unable to actually ultimately list it because it won't be
24 clear enough.

25 COMMITTEE MEMBER JONES: Yeah. And I feel the

1 same way. I don't know. But I think that either way we
2 should be looking at this agent more carefully so that we
3 can say whether we think it should be listed or we think
4 based on a lack of information, which is why we would not
5 list it, I suspect -- based on a lack of information that
6 it shouldn't be listed.

7 But I think for -- I mean all -- this is a
8 big philos -- let's put it right up front. It's a
9 political issue right now. And this agent is being
10 brought up by all kinds of different people at this point
11 and all kinds of different organizations. And if it's
12 going to be even in the Legislature at this point, I think
13 they deserve to have this group evaluate this agent and
14 say whether it is or is not.

15 CHAIRPERSON BURK: Good.

16 Any other comments?

17 COMMITTEE MEMBER KLONOFF-COHEN: Dottie?

18 CHAIRPERSON BURK: Hillary.

19 COMMITTEE MEMBER KLONOFF-COHEN: I have to say
20 that I didn't look at this carefully other than to say
21 that in terms of for the human data, I'm looking at the
22 outcomes of the studies, the seven studies you've got, the
23 ones that are worth looking at. The recurrent miscarriage
24 would be one of the outcomes that's important. And
25 toxicity of reproductive organs of male and female

1 offspring, there's a good study on that. And then two
2 studies on the relationship between BPA and --
3 concentrations.

4 So there is some literature out there on humans,
5 just not obviously that matches the number in the animal
6 studies.

7 COMMITTEE MEMBER JONES: I have one further
8 question maybe for --

9 CHAIRPERSON BURK: Go ahead.

10 COMMITTEE MEMBER JONES: The study that was -- I
11 will just tell you that last March or April, I heard a
12 talk by a woman by the name of Patricia Hunt, who's a
13 distinguished professor at Washington State, in which she
14 talked about damaged -- myotic disruption in aneuploidy in
15 mice in her laboratory at Washington State University that
16 was due to an accident in the -- they finally traced it
17 back to an accident in the laboratory, in which there was
18 contamination of the water supply of the mice with
19 Bisphenol A.

20 Have you come across that study? I couldn't find
21 it anywhere in the --

22 DR. CAMPBELL: That sounds vaguely familiar,
23 yeah. I could look through the book and --

24 COMMITTEE MEMBER JONES: I couldn't find it in
25 the book. But --

1 DR. CAMPBELL: Is this the one in PLoS P-l-o-s
2 Susaharo?

3 COMMITTEE MEMBER JONES: It's "Currents in
4 Biology," and she published it in "Currents in Biology" in
5 2003. I heard her talk about it last year at the American
6 College of Human Genetics meetings.

7 DR. CAMPBELL: Tell me the name again? Hunt?

8 COMMITTEE MEMBER JONES: Yeah, Patricia Hunt
9 is --

10 DR. CAMPBELL: Yeah. Well, she's on at least one
11 of the papers in here. So I don't know. I mean I could
12 dig harder for that particular one, you know, if we were
13 going to go forward.

14 COMMITTEE MEMBER JONES: Does anyone from the
15 audience know of her work?

16 DR. CAMPBELL: The story sounds familiar.

17 CHAIRPERSON BURK: Well, if someone wants to come
18 up and enlighten us. I believe I actually read it in some
19 of the materials that we were --

20 COMMITTEE MEMBER JONES: It's pretty frightening.

21 DR. HENTGES: Just a quick comment.

22 There's a study from about three years ago. And
23 it's in -- it's "Current Biology" is the journal. But if
24 you look at that, you should also look at two papers which
25 have just been published on line in "Mutation Research," I

1 think is the journal, one from Pacchiarotti. These would
2 not be in the OEHHA screen because they weren't available
3 yet. But Pacchiarotti. And then I think the other one is
4 Eichenlaub-Ritter. Both were conducted by a group of
5 scientists in Europe, research that was funded by the
6 European Union, specifically to follow up on that Hunt
7 study. And what they found is that the results could not
8 be replicated in a series of experiments that were more
9 comprehensive than the original one.

10 So look at the whole set of data, not just one
11 study at a time, is really what I would suggest.

12 DR. CAMPBELL: Do you want me to jump in?

13 If you look at the second abstract in the animal
14 DART studies, that's the one that she is an author on that
15 paper. And it does, you know, address that issue
16 specifically.

17 That's on early --

18 DR. JANSSEN: I can also comment on this
19 situation.

20 My name is Sarah Janssen. I'm with the Natural
21 Resources Defense Council, and I'm a physician and a
22 reproductive biologist.

23 And Pat Hunt has published several studies on
24 aneuploidy and Bisphenol A, both in rat -- mice and then
25 their offspring. The oocyte sites also have chromosomal

1 aneuploidy. And if you have problems finding those
2 articles, I'm happy to provide them for you.

3 MS. SHARP: And I think there's also one other
4 really important -- I'm so glad you brought that up
5 actually -- one other important point to make and, that
6 is, in one of the studies, at least one that looked at
7 miscarriage, they actually looked at -- and they actually
8 looked at the miscarried fetuses to see if any of them
9 were related to aneuploidy. And in fact they found that a
10 greater proportion than you might expect were.

11 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

12 CHIEF DONALD: I may mention also -- as I said in my
13 presentation, we conducted focused literature searches.
14 So we were trying to strike a balance between being broad
15 enough to capture all the relevant information and not
16 being so broad that we captured lots of irrelevant
17 studies. So we recognized that there are probably a few,
18 such as this study where aneuploidy is not commonly a
19 reproductive or developmental endpoint, where we simply
20 missed it.

21 CHAIRPERSON BURK: Good. Good comments.

22 Any further comments? Are we ready to take our
23 poll?

24 Okay. Before we do I'm going to read a statement
25 just to remind us of what this vote means.

1 The Developmental and Reproductive Toxicant
2 Identification Committee is being asked whether any of
3 these chemicals today presented should undergo the
4 development of hazard identification materials and be
5 brought back to the Committee at a future meeting for our
6 consideration in making a listing decision. We are not
7 making any listing decisions at this meeting.

8 With this in mind, I will conduct a polling of
9 the Committee members for their advice to OEHHA concerning
10 these chemicals.

11 So the question then is: Do you advise OEHHA to
12 begin preparation of the hazard identification materials
13 for Bisphenol A? All those advising yes, please raise
14 your hand.

15 (Hands raised.)

16 CHAIRPERSON BURK: 1, 2, 3, 4, 5, 6 -- 7.

17 Okay. All those advising no -- I'm assuming 0.

18 Okay. So that was 7 to 0.

19 Okay. Good.

20 All right. The next chemical on the list is
21 bromodichloromethane. And the staff presentation will be
22 given by Dr. Li.

23 (Thereupon an overhead presentation was
24 Presented as follows.)

25 DR. LI: Okay. I'm Ling-Hong Li. I'm going to

1 present evidence available for bromodichloromethane, or
2 BDCM.

3 --o0o--

4 DR. LI: Human exposure to BDCM mainly occurs
5 through drinking water. BDCM is a one of the major
6 trihalomethanes that are formed as byproducts during water
7 chlorination for disinfection.

8 Next slide, please.

9 --o0o--

10 DR. LI: Our literature search identified a total
11 of eight epidemiological studies. Four of them reporting
12 increased risk of adverse developmental or reproductive
13 outcomes. All these four studies are analytical studies
14 of adequate quality.

15 These four studies investigated the association
16 of BDCM levels in drinking water with developmental
17 outcomes such as birth defects, stillbirth, spontaneous
18 abortion, reduced birth weights, et cetera.

19 There are four studies reporting no increased
20 risk. In addition, there are two relevant human studies
21 that investigated the effect of BDCM in cultured human
22 placental trophoblasts Next slide.

23 --o0o--

24 DR. LI: With regard to evidence from animal
25 studies, our literature search identified a total of ten

1 studies, four studies reporting developmental or
2 reproductive toxicity.

3 Among these four studies, three are developmental
4 studies and one is a chronic study in rats. That study
5 included endpoints for the male reproductive toxicity.

6 There were six studies reporting no developmental
7 or reproductive toxicity.

8 There is one meeting report -- abstract reporting
9 developmental or reproductive toxicity.

10 In addition, there are three relevant studies
11 investigating the effect -- the study effect of BDCM
12 containing mixtures in lab animals.

13 That concludes my presentation.

14 CHAIRPERSON BURK: Thank you, Dr. Li.

15 I assigned this chemical to Linda Roberts. And
16 so, Linda, do you want to get things started?

17 COMMITTEE MEMBER ROBERTS: Sure.

18 I noticed that in public comments -- we received
19 three of them -- one of them was a recommendation not to
20 move forward with preparation of a document to consider it
21 for listing, one was to move forward with it for a
22 consideration for listing, and one was to move all the
23 trihalomethanes forward as a group for consideration for
24 listing.

25 So two out of three people won't be happy no

1 matter what.

2 (Laughter.)

3 COMMITTEE MEMBER ROBERTS: There were the
4 epidemiology studies. Four of them had an association
5 with adverse findings, four without. There's really no
6 data on males.

7 The exposure side of the studies tended to be
8 measurement of bromodichloromethane in water as well as
9 total trihalomethanes and some of the other components.
10 So it's indirect exposure measurement, but it did actually
11 look at the material in question.

12 The finding -- they're both positive and negative
13 studies looking at spontaneous abortion and pre-term
14 birth. The related studies were looking at placental
15 differentiation in culture. And the in vitro studies with
16 human placentas indicated that there was an association
17 with decreasing differentiation with the material in
18 exposure and decreasing chorionic gonadotrophin secretion.

19 Developmental studies were pretty much limited to
20 some findings for still birth and some not finding it.
21 The same thing with intrauterine growth retardation or
22 small for gestational age.

23 One study looked at birth defects and found that
24 there was an increase in neural tube defects and a
25 decrease in cardiovascular defects, both of which were I

1 believe statistically significant.

2 Surprisingly, the decrease in cardiovascular
3 defects looked like a dose response. But neither of them
4 were a particularly strong change in incidence.

5 The animal studies, there are four with adverse
6 findings and four without. The interesting -- one of
7 the -- as an animal person, so to speak, the interesting
8 part to me is that these seem to be associated with a
9 strain difference. Fisher 344s will have a response,
10 Sprague-Dawley's do not.

11 The typical guideline type of study for
12 reproduction and developmental toxicity have been clean.
13 The reproduction study was done with the Sprague-Dawley
14 rat. The developmental study was done with the
15 Sprague-Dawley rat. And the rabbit was also negative.

16 The studies that have used the Fisher 344 strain
17 have found effects. They seem to be -- the most
18 predominant finding is that with exposure the animals
19 either have a total litter loss or they seem to do fine.

20 So that kind of wraps up the information that was
21 available to us, I think.

22 CHAIRPERSON BURK: Okay. We have two names
23 submitted to make public comments. The first one is Sarah
24 Janssen from NRDC.

25 DR. JANSSEN: Good morning, members of the

1 Committee. My name is Sarah Janssen. I'm a physician
2 with Natural Resources Defense Council. And I'm here
3 first to congratulate you for taking on these eight
4 chemicals for priority review. We're quite pleased that
5 finally your expertise is being used, and we encourage you
6 to consider all of them.

7 But with exception for bromodichloromethane, we
8 feel it's a special case because it tends to co-occur in
9 the environment with other chlorinated and brominated
10 halomethanes. In particular, chlorodibromomethane,
11 bromoform, and chloroform.

12 And in the epidemiological studies these four
13 chemicals tend to occur as a group, and it's hard to
14 separate out one from the other. In some cases the
15 statistical association was stronger with one of the THMs
16 over another. In other cases it was hard to separate them
17 out.

18 So due to the fact that these chemicals tend to
19 co-occur, it's likely that you're going to have a hard
20 time figuring out a single THM in isolation without also
21 reviewing at the same time the scientific evidence around
22 the other chemicals.

23 So we encourage you instead to prepare the
24 document on trihalomethanes as a group. That way you're
25 not wasting your time looking at these other chemicals at

1 the same time and then having maybe later on to come back
2 and evaluate them. It gives you a little more flexibility
3 in your scientific evidence and use of your time.

4 And that's really all I have to say about these,
5 unless you have any questions for me.

6 CHAIRPERSON BURK: Thank you.

7 The next speaker is Dr. Robert Tardiff, Sapphire
8 Group.

9 (Thereupon an overhead presentation was
10 Presented as follows.)

11 DR. TARDIFF: Thank you very much, members of the
12 Committee, Dr. Denton and Dr. Burk.

13 I represent the Chlorine Industry. The comments
14 that we submitted and the information that I'm about to
15 summarize for you this morning was information that I'd
16 been working on for many decades now. But I do represent
17 the Chlorine Industry through the American Chemistry
18 Council.

19 If I could have the next slide, please.

20 --o0o--

21 DR. TARDIFF: I want to make a point before
22 talking about the data themselves. The reason that we're
23 dealing with bromodichloromethane is because it is a
24 byproduct of the use of chlorine to destroy infectious
25 organisms that we know produce serious illness in the

1 population; illness not only to the general population,
2 but also to women of childbearing age and to women who are
3 pregnant and also to their offspring. So this is a pretty
4 serious issue.

5 And in looking at the evidence at this point,
6 I've tried to summarize here for you the evidence
7 specifically for bromodichloromethane since that's the
8 topic of your main interest.

9 What we have at this point is based on an
10 examination of all of the literature that's been published
11 so far over the past several decades. We have nine
12 studies that have looked at eight reproductive and
13 developmental measures in epidemiology studies where BDCM
14 was looked at specifically.

15 There are another 25 studies that have looked at
16 chlorination byproducts in one way or another. And that
17 issue is discussed in our comments.

18 But in all of those 25, you can't really
19 differentiate between bromodichloromethane and/or any of
20 the other 200-plus substances that are in there. So
21 there's no way to use that evidence as a means for
22 deciding what that might mean for the conclusion that
23 you're looking for with regard to bromodichloromethane and
24 whether or not to proceed with a hazard identification
25 measure.

1 For six of those eight measures that will look at
2 the epidemiologic -- I'm sorry. For the eight measures
3 that were looked at, six of them have no statistically
4 significant association. Many of those were only looked
5 at in one study. But, nonetheless, we know that for six
6 of them that's the case.

7 With regard to spontaneous abortion, the
8 so-called seventh one, if you will, we have a false
9 positive study which for a couple of years didn't appear
10 to be false positive until Dr. Savitz and his team,
11 sponsored by the Environmental Protection Agency -- the
12 Federal Environmental Protection Agency, conducted what is
13 one of the most extensive and robust studies of this
14 particular outcome with regard to not only the major
15 chlorination byproducts but bromodichloromethane
16 specifically. And their exposure assessment was so
17 extensive that it basically demonstrated not only that
18 there was no association, but there was such a close
19 correlation with the exact dosimetry of these women that
20 one could make the judgment that indeed the first study
21 was no doubt a false positive one.

22 And they even went so far as to recommend, much
23 to my surprise, that the degree of information that they
24 had now with regard to this compound and with regard to
25 other -- some of the trihalomethanes didn't require any

1 further epidemiologic investigation. They didn't say, no,
2 don't do any more research, period. But with regard to
3 that, that was the case.

4 Finally, neural tube defect was a source of
5 considerable concern for a while. And what we have is we
6 basically have two studies. One is a case control and the
7 other is a cohort study. The one was positive and the one
8 was negative. So we have an equivocal set of information
9 here. We can't tell whether one is necessarily better
10 than the other. The case control was really fairly
11 strong, even though there were a few individuals that were
12 looked at. But, indeed, the cohort study had many more
13 subjects associated with it.

14 So at this point we really can't tell.

15 The toxicology information is I think a bit more
16 clear-cut. We've got state-of-the-art investigations that
17 we've done on reproductive toxicity -- two generation
18 reproductive toxicity in rodents, as well as a
19 developmental toxicity study, which were done with the
20 latest and greatest designs, increasing number of animals
21 that were included in there. And what we have with those
22 is an indication that there is maternal toxicity at the
23 highest doses. And that maternal toxicity led to some
24 fetal toxicity, but it didn't lead to any kind of
25 impairment of fertility. Nor did it lead to any degree of

1 structural malformations.

2 And because the fetal toxicity was associated
3 with a secondary phenomenon, namely maternal toxicity,
4 it's felt that that's not really suitable for judging the
5 hazardous properties of this material.

6 Now, in our business in toxicology and in risk
7 analysis, one of the things we look for is what's the
8 margin of exposure between a no-observed adverse effect
9 level in a laboratory animal and what people are exposed
10 to on a daily basis. And we certainly have good
11 information about human exposures. And basically what we
12 find is the margin of exposure is no less than 5,000, and
13 can be up as high as 70,000, which would suggest that
14 there probably is no reason for concern for this
15 particular set of adverse consequences.

16 Now, there were three other studies that I wanted
17 to mention. And they were studies of what we call
18 hypothesis generation. Some of them were in vitro
19 studies. And all of them were unusual inasmuch as people
20 were looking for ways in which to find out whether or not
21 at very high doses, doses that are physiologically
22 unrealistic -- you can't reach these concentrations in an
23 in vivo setting in humans -- but it's interesting to
24 determine whether or not there may be certain hormonal
25 influences that might be altered as a result of these

1 unusual events.

2 Those studies are not the kind of studies that
3 the World Health Organization, the Environmental
4 Protection Agency, or even California has said you could
5 possibly use to define human hazards, much less human
6 risks.

7 Could I have the next slide, please.

8 --o0o--

9 DR. TARDIFF: Basically the conclusion from all
10 of this is that there isn't any evidence to clearly show
11 that bromodichloromethane is a reproductive toxicant in
12 either animals or laboratory -- excuse me -- in humans or
13 laboratory animals; that basically there isn't any basis
14 for reaching that determination. And that conclusion --
15 that set of conclusions is consistent with what the World
16 Health Organization has said over the past several years,
17 as has the U.S. Environmental Protection Agency.

18 I might also mention -- and I know it's not part
19 of your charge. But there clearly is an indication under
20 Proposition 65 that drinking water and the constituents of
21 drinking water, which are not added to the drinking water
22 per se, are actually exempt from Prop 65.

23 And then, finally, I think the public health
24 issue. If there's an unfair warning that is issued to
25 women of childbearing age, women who are pregnant, that

1 might impede their ability to consume drinking water when
2 the entire OB/GYN community says how important it is to
3 consume water prior and during and even after pregnancy, I
4 think it would really be a great misfortunate if we were
5 to mislead them into suggesting, with virtually no
6 foundation, that this might be a hazard. And for that
7 reason I think that the Committee should vote to simply
8 not proceed any further with the hazard identification.

9 And with that, I would conclude my comments. And
10 if you have questions, I'd be happy to try to answer them.

11 You can turn the slides off if you want.

12 CHAIRPERSON BURK: Any questions?

13 Actually I missed one thing. What did you say
14 about exemptions for drinking water?

15 DR. TARDIFF: Oh, for drinking water there's
16 are -- why don't you throw up the next to the last slide,
17 I think it is. I've got the citations out of Prop 65 that
18 basically says that drinking water is exempt. And I don't
19 remember the numbers. I apologize. I'm sure Joan
20 would -- Dr. Denton would know them.

21 CHAIRPERSON BURK: Well, maybe Carol could --

22 DR. TARDIFF: There we go.

23 It's Section 12502 250249.11. It talks about the
24 exemptions for drinking water.

25 CHIEF COUNSEL MONAHAN-CUMMINGS: Well, I think

1 it's important to note here, as you'll also hear from some
2 other commenters, about warnings and things like that,
3 that the issue of providing warnings or who is subject to
4 the warning or discharge requirements under the act is
5 really -- it's a very premature question, when all we're
6 doing today is deciding whether or not to proceed with
7 preparation of materials. We're not listing. We're
8 not -- you know, and even at the point of listing, it's
9 not really something that this Committee needs to concern
10 itself with. There's regulations. There's statutory
11 provisions that can guide people on whether or not they
12 need to provide a warning and whether or not they can
13 discharge.

14 So I don't really think that that's a relevant
15 issue before the Committee today.

16 CHAIRPERSON BURK: Yes, thanks. I do agree.
17 We're here to discuss the science, not the other issues.

18 So are there -- do you have anything else you
19 want to say, Linda? And then we'll open it for other
20 comments.

21 COMMITTEE MEMBER ROBERTS: Well, maybe just one
22 point of clarification from my colleagues. When there is
23 a maternal no-effect level in an animal study that's lower
24 than what you see for a development on no-effect level and
25 the developmental effects look like they could be

1 secondary to reductions in body weight gain, reductions in
2 water consumption and what have you, I think that's what I
3 put down as negative. There was nothing that was jumping
4 out as being a developmental toxicant. The total litter
5 loss on the Fisher 344 is clearly not related to reduction
6 in body weight. It's not that kind of severe toxicity.
7 It's a strain difference there. Just to clarify what I
8 mentioned earlier.

9 CHAIRPERSON BURK: But do you place any
10 significance on the strain difference?

11 COMMITTEE MEMBER ROBERTS: I called -- well, much
12 of the work with the Fisher 344 has been done in the
13 laboratory of Michael Narotsky in North Carolina. And I
14 phoned him on Friday to ask him what he thought which one
15 might be more similar. And he declined to make a
16 suggestion about that. But he found it very interesting,
17 and he was interested in looking further in additional
18 research in the future at probably the total
19 trihalomethanes or at least the mixture of them as opposed
20 to specifically bromodichloromethane.

21 DR. TARDIFF: If I may make the comment, one of
22 the difficulties that we have with this database is the
23 fact that we have very limited metabolism information and
24 very limited kinetics. We don't have a full-based PBPK
25 model, for example; and we actually in our organization

1 generate those, maternal fetal and PBPK model. They give
2 us a chance to really know what to extrapolate to humans
3 and what not to.

4 And in addition to the negative information that
5 exists there, the absence of information really I think
6 doesn't make it persuasive on my part to think that this
7 should really move forward in any tangible way.

8 Thank you for your attention.

9 CHAIRPERSON BURK: Okay. Thank you.

10 So do you -- first I'll say, does anybody have
11 any comments on this one?

12 MS. SHARP: Can I make a comment?

13 CHAIRPERSON BURK: Yes. Well, okay.

14 MS. SHARP: It's really quickly. I'm Renee
15 Sharp, EWG again.

16 I think there's clearly significant, you know,
17 both Epi evidence and animal tox evidence to warrant a
18 closer look at this chemical. And either this chemical
19 alone and/or in conjunction with other THMs.

20 But I think the other thing that is really
21 important to note is that again, like Bisphenol A, the
22 exposure to this chemical is enormous. Right? Millions
23 of Californians are being exposed to this chemical. It's
24 not like some obscure lab chemical or, you know, whatever.
25 So I just think that's an important thing to consider.

1 You know, if you're sort of leaning, like, well, maybe,
2 maybe not, you know; this is a case where it's, like,
3 okay, well, you know, erring on the side of caution would
4 be an especially important thing to do here.

5 Thank you.

6 CHAIRPERSON BURK: So, Linda, do you want to
7 give -- I don't know -- Do you want to give us your
8 feelings on this?

9 COMMITTEE MEMBER ROBERTS: Sure.

10 CHAIRPERSON BURK: Basically I guess what I'm
11 getting at for my own mind, the idea of looking at the
12 total trihalomethanes makes a bit of sense to me. Because
13 I just don't think, knowing how we work, that this amount
14 of data is likely to make things clear enough for our
15 standards. But that's not saying that we shouldn't go
16 forward with it. I just think that maybe -- would it be
17 stronger if we looked at it as a group?

18 COMMITTEE MEMBER ROBERTS: Well, I think we don't
19 know because that wasn't the way it was presented to us
20 for today.

21 In looking at this, I tried to look at whether or
22 not we would have sufficient information to make a
23 decision if it was pulled forward. And on the basis of
24 looking at the abstracts that were put together from the
25 developmental endpoint, I think it would be doubtful that

1 there would be a pressing -- that there would be
2 sufficient evidence to convince us that something would be
3 listed if it was brought forward.

4 And the same for the male reproductive endpoint,
5 because there's virtually nothing there. There was the
6 one animal study that had a reversible finding and nothing
7 that was functional in the repro study that was done with
8 it.

9 It would come down to the female. And as -- I
10 don't know if it was mentioned in the comments or if it
11 was mentioned in the staff report. But I guess
12 trihalomethanes are regulated as a group as opposed to,
13 you know, per individual material.

14 So I think what I would personally like to see is
15 a prioritization screen put together for the
16 trihalomethanes as a group for us to make a determination
17 on that. Because what we were asked to do was make a
18 decision about bromodichloromethane. And I think it does
19 not persuade me to go forward with it as
20 bromodichloromethane. But I might feel differently about
21 looking at a similar data set for the total
22 trihalomethanes.

23 So that would be my recommendation, not to
24 proceed with listing. Not to say that we're not going to
25 list it, but to request instead that we move to the

1 trihalomethanes as a group.

2 CHAIRPERSON BURK: And let me just clarify too.

3 Your recommendation would be not to move forward on
4 bromodichloromethane but to recommend a screen for the
5 total trihalomethanes -- not a hazard identification
6 document --

7 COMMITTEE MEMBER ROBERTS: Correct.

8 CHAIRPERSON BURK: -- right, a screen, because we
9 haven't seen the abstracts that would fall out.

10 COMMITTEE MEMBER ROBERTS: Which I suspect are
11 going to -- it would look very much like what we have
12 right now, but it would be focused on the total
13 trihalomethanes as opposed to the focusing on the
14 Bromodichloro.

15 CHAIRPERSON BURK: Yeah, because many of the
16 abstracts we read are looking at multiple products.

17 Any comments down on this end?

18 Anything about the epidemiology?

19 COMMITTEE MEMBER HOBEL: One quick comment.

20 Recently there's been a lot on NPR about using
21 toilet bowl water recycling, and especially in Orange
22 County, and some of that being put back into the drinking
23 water as compared to golf courses.

24 Is there any data available on this substance in
25 that type of water product, and whether that's been tested

1 or not?

2 DIRECTOR DENTON: Ling-Hong, do you know anything
3 about Dr. Hobel's question?

4 DR. LI: Sorry. Could you repeat your question
5 again, Dr. Hobel. What's your question again? Could you
6 clarify your question?

7 COMMITTEE MEMBER HOBEL: Yes. Orange County is
8 now recycling sewer water. And through a very careful
9 process as reported on NPR, that it's okay water and it's
10 being recirculated into a certain segment of the
11 population as compared to what it used to be used for golf
12 courses -- watering golf courses. And I just wondered
13 whether or not this substance has been tested in that type
14 of product.

15 DR. LI: We did a literature search for NPR tox
16 data. We did not look for an extensive exposure data.
17 Sorry. No, I don't have any knowledge.

18 CHAIRPERSON BURK: Okay.

19 COMMITTEE MEMBER KLONOFF-COHEN: I just want to
20 talk about the four studies that found something.

21 Just looking at them one by one. The first one
22 by Dodds had a very large sample, 49,842. And they
23 determined that the BDCM exposure of 20 micrograms per
24 liter or more was associated with an increased risk of
25 neural tube defects, with a relative risk of 2.5.

1 The next study was by Wright, et al. And it was
2 a retrospective study. They examined 196,000 infants to
3 examine the effects of third trimester exposure on various
4 indices. And they observed reductions in mean birth
5 weight 12 to 18 grams for maternal DHM exposures greater
6 than 90th percentile compared to the 50th percentile.

7 The third study was by King, was a retrospective
8 cohort. And they talked about the strongest association
9 was observed for a BDCM exposure where the risk doubled
10 for those exposed to a level of greater than 20 micrograms
11 again per liter compared to those exposed to a level of
12 less than 5 with a relative risk of 2.

13 And the last study was by Waller -- this was a
14 prospective study. And they examined the exposure on THM
15 and spontaneous abortion of 5,144 pregnant women in a
16 prepaid health plan. And they found that women who drank
17 greater than five glasses per day of cold tap water
18 containing greater than 75 micrograms per liter of TTHM
19 had an adjusted odds ratio of 1.9.

20 So those are the four significant studies.

21 CHAIRPERSON BURK: What's your feeling on the
22 Savitz study though, the one that -- since we just heard
23 that that was such a great study.

24 COMMITTEE MEMBER KLONOFF-COHEN: It's an awkward
25 question since he was my dissertation advisor.

1 (Laughter.)

2 CHAIRPERSON BURK: Won't put you on the spot
3 then.

4 COMMITTEE MEMBER KLONOFF-COHEN: I have to say
5 I'd find it hard to believe that Dave would say not to do
6 other studies to confirm his findings. He's just not that
7 type of scientist.

8 So to be honest, I've looked at the abstract. I
9 haven't actually seen the entire study for him.

10 CHAIRPERSON BURK: No. And as a matter of fact I
11 mean I think the only fair thing in our whole
12 deliberations today are that we've only seen abstracts.
13 We're not really able to evaluate the quality of the
14 studies without seeing the entire study.

15 So what's your thought? Would this be -- would
16 the four positive, would that be enough for you to
17 consider it?

18 COMMITTEE MEMBER KLONOFF-COHEN: Well, I think
19 when I look at it, obviously the sizes of the samples are
20 quite large for epidemiologic studies, very large
21 actually. And so certainly -- obviously just looking at
22 abstracts it's hard to say. But there are four
23 statistically significant studies that seem like from the
24 abstracts that they may methodologically be sound.
25 However, that's really difficult to tell from an abstract.

1 So I'm just saying that perhaps it's worth a look
2 from the epidemiologic point of view.

3 CHAIRPERSON BURK: And do you have any feeling
4 one way or the other about looking at the individual or
5 the total group?

6 COMMITTEE MEMBER KLONOFF-COHEN: Can we do both?

7 CHAIRPERSON BURK: Well, I mean I guess -- I
8 guess that's possible.

9 I mean we're going to be taking a poll as to
10 whether we should proceed with this one in particular.
11 And then I suppose we could follow up with, you know,
12 requests for a screen for the group.

13 COMMITTEE MEMBER KLONOFF-COHEN: I'm just
14 looking. Just give me a couple seconds to look and see in
15 terms of their results.

16 CHAIRPERSON BURK: Yes, Linda.

17 COMMITTEE MEMBER ROBERTS: I can pass down all
18 the papers except the Waller. I'm not the Epi person, but
19 I can -- you know, so I should not be the final say on
20 this sort of thing. But I can pass them down if you'd
21 like to take a look at them.

22 COMMITTEE MEMBER JONES: Linda, was there a
23 prospective study that was negative for neural tube
24 defects? Because this second paper -- I thought this
25 gentleman indicated that there were two studies, one which

1 showed an increase and one that showed a decrease of
2 neural tube defects.

3 The only one that I can see is the one by Dodds
4 that shows the increase for neural tube defects, which
5 seems retrospective.

6 COMMITTEE MEMBER ROBERTS: Yeah, that was the
7 only one that I had for specifically birth defects.

8 Can you address that, please?

9 Could you come forward, please.

10 DIRECTOR DENTON: Bob, you need to come forward.

11 DR. TARDIFF: The first author's name is spelled
12 K-l-o-t-z and the second author is P-y-r-c-h. And they
13 published in 1998. I don't have the full citation with me
14 at the moment. But it is in our comments.

15 DR. KAUFMAN: I believe that's an unpublished
16 paper. I'm sorry. It's not published in the open
17 literature. It was a study done by ATSDR. There's a
18 subsequent publication that came much later from them that
19 hasn't been included because it wasn't at the time of our
20 screen.

21 COMMITTEE MEMBER JONES: And is that a
22 prospective or a retrospective study?

23 DR. TARDIFF: That was a retrospective study.

24 DR. LI: Could I add a little bit on that study?

25 We looked at the abstract of that study. Dr.

1 Farla Kaufman did the Epi search. We did look at the
2 abstract. And the BDCM was not initially in the abstract.
3 And if you read that abstract, it's about THM and its
4 association. And some were -- you know, reduce the --
5 alter the endpoints, some didn't. So that's why that
6 abstract is not in the pile in the document that was sent
7 to you.

8 CHAIRPERSON BURK: All right. Well, that
9 explains that, because you're looking for that specific
10 one.

11 DR. LI: Correct.

12 CHAIRPERSON BURK: So if you were to screen for
13 the total group, that paper would have shown up?

14 DR. LI: It should.

15 COMMITTEE MEMBER KLONOFF-COHEN: Dottie?

16 So all four studies -- yeah, I just looked. All
17 four studies found an association somewhere, talking about
18 the results between BDCM and birth abnormalities.

19 CHAIRPERSON BURK: Pardon me?

20 COMMITTEE MEMBER KLONOFF-COHEN: All four studies
21 described BDCM --

22 CHAIRPERSON BURK: Yes.

23 COMMITTEE MEMBER KLONOFF-COHEN: -- and those
24 different endpoints.

25 CHAIRPERSON BURK: Yes. No, I'm clear on that.

1 COMMITTEE MEMBER ROBERTS: To address Ken's
2 question just a little bit.

3 Dodds, King both used the same database. Those
4 are retrospective.

5 Wright used birth certificates. So that's
6 retrospective.

7 Savitz, it appears to be prospective in terms of
8 soliciting pregnant women and exposures at the same time.
9 It's also a smaller group size.

10 CHAIRPERSON BURK: Okay. Is there any further
11 discussion?

12 Ellen.

13 COMMITTEE MEMBER GOLD: I concur with my
14 epidemiologist colleague here on the right. But based on
15 the epidemiologic evidence, I think I would actually
16 advocate going forward with the investigation as to
17 whether we should list.

18 I guess where I'm a little more unclear, and I'd
19 appreciate more input from my colleagues, is with regard
20 to the trihalomethanes as a group. And some of it came up
21 in this. But we haven't actually asked for a search of
22 that. And I'm wondering if maybe that's what we ought to
23 do in addition.

24 CHAIRPERSON BURK: Yes, I think that's sort of
25 been suggested, that we -- we make a decision on the one.

1 COMMITTEE MEMBER GOLD: Right.

2 CHAIRPERSON BURK: And then we could always make
3 a request that the next screen that's done, look
4 specifically at that, and give us those abstracts.

5 I don't know if that's legit. But I mean we can
6 always ask, right?

7 DIRECTOR DENTON: Oh, it's certainly legitimate.
8 In fact, one of the items at the end of this is other
9 chemicals proposed for Committee consideration and
10 suggestions, as well as I think Jim will be describing.
11 As far as the next screen, we probably will do another
12 epidemiology screen anyway and could certainly consider
13 THMs if the Committee so desires.

14 CHAIRPERSON BURK: I got it. I have to find my
15 sheet.

16 Now, I don't have to read the entire thing again.
17 We know we're just recommending preparation of hazard
18 identification documents.

19 So the question to the Committee is: Do you
20 advise OEHHA to begin preparation of the hazard
21 identification materials for bromodichloromethane?

22 All those advising yes, please raise your hand.

23 (Hands raised.)

24 CHAIRPERSON BURK: Okay. I count three.

25 Four?

1 Oh, okay. Four. Okay.

2 And all those advising no, please raise your
3 hand.

4 (Hands raised.)

5 CHAIRPERSON BURK: Okay. So that's three.

6 4 to 3.

7 I think -- I don't know if there's a rule on
8 this. Does it take five for it be -- it's only a
9 recommendation, so you can decide what you're going to do
10 with it.

11 CHIEF COUNSEL MONAHAN-CUMMINGS: The rule when
12 you're making a listing decision is it has to be at least
13 five. But when you're giving advice, you know, a simple
14 majority is fine.

15 CHAIRPERSON BURK: Okay. We're getting ready for
16 a big chemical, so the suggestion has been just to take a
17 five-minute break. And then we'll start in with caffeine.

18 (Thereupon a recess was taken.)

19 CHAIRPERSON BURK: We're ready to get started
20 again.

21 And I've been asked to remind the Committee
22 members, as always, that when you speak, please speak
23 directly into the microphone so that you can be heard.

24 All right. The next chemical up for
25 consideration is caffeine.

1 developmental or reproductive toxicity. Twelve other
2 studies had unclear outcomes. And there were 63 related
3 articles and meeting abstracts.

4 That concludes the presentation for caffeine.

5 CHAIRPERSON BURK: Thank you.

6 I have asked Hillary Klonoff-Cohen to be the lead
7 person on caffeine. So I will turn it over to her.

8 COMMITTEE MEMBER KLONOFF-COHEN: After reviewing
9 the articles face significance I found that 30 studies
10 actually found a significant association of caffeine with
11 a reproductive or developmental outcome. The most common
12 outcomes with significant associations were spontaneous
13 abortion or miscarriage, where there were 11 out of 18
14 studies.

15 I'm going to start with the miscarriages. And
16 there were actually two cohort studies, nine case-control
17 studies, and one nested case control study. And I'm just
18 going to go through some of the studies and give some of
19 the pertinent results.

20 Starting with Karypidis, with a population-based
21 case control study. And he had 507 cases and 908
22 controls. And basically he was looking at CYP1B1 Val Val.
23 And the adjusted odds ratio was 100 -- excuse me -- odds
24 which was 2.63, looking at 100 to 299 milligrams per day.

25 As well, greater than 500 milligrams per day he

1 found an odds ratio of 3.61.

2 And he adjusted for age, smoking, alcohol,
3 parity, miscarriages in the past, and pregnancy symptoms.

4 The next study by Khoury looked at women with
5 type 1 diabetes and prenatal smoking, caffeine
6 consumption. He found an association with spontaneous
7 abortion. There were 191 pregnant women. And it was a
8 significantly increased risk for spontaneous abortion with
9 an odds ratio of 4.5.

10 Giannelli, which she wasn't in the table but was
11 described in the abstract, found that if you consumed
12 caffeine during pregnancy there was an odds ratio of 1.94
13 that was statistically significant if they consumed 301 to
14 500 milligrams per day and an odds ratio of 2.18 if they
15 consumed greater than 500 milligrams per day.

16 There was a little less of an effect for
17 pre-pregnancy.

18 The next study by Rasch also found an odds ratio
19 of 2.21 for greater than 375 milligrams per day.

20 Signorello in 2001 used 101 spontaneous abortion
21 with normal karyotype and 953 controls. There were
22 pregnant women at 12 -- looked at 6 to 12 weeks
23 gestational age -- weeks. Sorry. And he found with the
24 high CYP1A2 activity the odds ratio was 2.42, as well an
25 odds ratio of 3.17 for greater than or equal to 300

1 milligrams per day of caffeine for women with high CYP1A2.

2 The next study by Wen looked at a population
3 based -- they're primarily middle class white women and
4 found in a significant association between spontaneous
5 abortion and caffeine after nausea started during the
6 first trimester, with a risk ratio of 5.4.

7 Then the next study by -- I believe it's
8 pronounced Cnattingius -- found a significant increase in
9 spontaneous abortion in non-smokers consuming greater than
10 or equal to 500 milligrams per day. Klebanoff actually
11 looked at serum paraxanthine concentrations. And he found
12 an odds ratio of 1.9 for spontaneous abortions for greater
13 than 1845 nanograms per mill of serum paraxanthine.

14 Then there was Parazzini, which was a case
15 controlled study in Italy. And he looked at duration and
16 found that greater than ten years duration of drinking
17 during pregnancy he found an effect. And as well he also
18 looked at quantity at two to three cups and greater than
19 four cups and found an effect.

20 And last of all, there was a meta-analysis which
21 of course pools basically all the good and the bad in
22 studies. So we have to look at that with a lot of
23 scrutiny. And they found a moderate to heavy caffeine
24 consumption during pregnancy on spontaneous abortion was
25 small but statistically significant, with 1.36.

1 So that was the first endpoint I wanted to talk
2 about.

3 The next end point I'll talk about very quickly
4 is small for gestational age and low birth weight. And
5 that was a study by Vik in 2003. And he found that high
6 caffeine intake increased pregnancy risk. And he used
7 food records -- three-day food records and looked at the
8 second and third trimesters.

9 And moms who had small for gestational age
10 infants had higher caffeine intake in the third trimester.
11 And the odds ratios were anywhere between 1.9 to 2.3 to
12 2.7. The 1.9 was not statistically significant. But the
13 2.3 was for 205 to 309 milligrams per day and the 2.7 was
14 for greater than 310 milligrams per day.

15 Bracken's study didn't use odds ratios. But he
16 basically found that the mean birth weight basically
17 reduced by 28 grams per 100 milligrams of caffeine.

18 As well, Klebanoff also didn't use any odds
19 ratios. And he was looking at serum paraxanthine
20 concentrations. And he found that woman who gave birth to
21 small for gestational infants did have a difference of 754
22 nanograms per mill compared to normal growth infants of
23 653.

24 Eskenazi's study was a retrospective
25 population-based study on 7,855 live births. And found

1 for preterm deliveries, those who consumed both
2 decaffeinated and caffeine had an adjusted odds ratio of
3 2.3.

4 And then there was also the meta-analysis by
5 Fernandes that found an effect, but actually didn't adjust
6 for maternal age smoking or ethanol use. And they found
7 an effect of 1.51.

8 And the Santos study who found significant
9 decrease in mean birth weight.

10 So I think I could go on and on in terms of that.

11 And then I'm going to just talk for a few seconds
12 about another endpoint, and that is the fetal death. And
13 there were three studies worth mentioning. And they were
14 Matijasevich, who found a significant increased risk of
15 greater than 300 milligrams per day of caffeine resulted
16 in an increased odds ratio of 2.33 for fetal death.

17 Another study by Bech, who found that coffee
18 consumption during pregnancy was associated with late
19 fetal death. And he used hazard ratios, and they were
20 statistically significant.

21 And, let's see. Wisborg, who found that coffee
22 consumption during pregnancy increased the risk of still
23 birth. And he found an odds ratio of 3.0 for still births
24 when consuming greater than eight cups per day during
25 pregnancy.

1 And then there was, last of all, an IBF study
2 that found not achieving a live birth was associated with
3 usual caffeine consumption. They had odds ratios of 3.1
4 and 3.9. And consuming caffeine on the week of the visit
5 odds ratios were 2.9 and 3.8.

6 So looking at the various study designs and
7 sample sizes and the exposure assessments and looking at
8 the timing of -- and the quantity and the frequency and
9 the duration of the caffeine and the definition of the
10 outcome and the actual size or magnitude of the odds
11 ratios and relative risks, and if they adjusted for
12 potential confounders as well as the strengths and
13 limitations and of course the sources of caffeine, and
14 looking across studies -- and of course it's hard when
15 you're looking at abstracts, although I did try to get
16 most of the papers -- I believe that we should definitely
17 take a further look because there are certainly a body of
18 strong studies.

19 CHAIRPERSON BURK: Thank you. Very nice. You
20 didn't mention your own name there in that one.

21 Anyway, any comments before we go to the public
22 comments?

23 Linda.

24 COMMITTEE MEMBER ROBERTS: One question. But I
25 noticed that, at least when I was going through the

1 abstracts, it appeared that often caffeine was on the
2 basis of coffee, tea or cola consumption. The one study
3 that looked at decaf versus caffeinated seemed to have an
4 increased risk with consumption of decaffeinated coffee.
5 And I wondered if that one argued towards coffee
6 potentially being harmful when it's in larger amounts as
7 opposed to specifically caffeine

8 COMMITTEE MEMBER KLONOFF-COHEN: Are you trying
9 to say that we should just look at the studies that were
10 consuming coffee or -- I'm not sure what you're saying.

11 COMMITTEE MEMBER ROBERTS: No, I'm just trying to
12 ask if -- it appeared, and maybe I'm wrong -- I mean these
13 are the animal -- I mean the human studies. I don't think
14 animal has any questions about it. But it appeared that
15 these were surrogate measures on the basis mostly of
16 coffee. And we're assuming that it's the caffeine in the
17 coffee. But coffee contains other materials. I'm not
18 familiar with the data. I don't know if any of those
19 other materials have been examined for any other
20 reproductive or developmental endpoints. I'm not even
21 familiar with all the constituents in coffee.

22 So I'm posing the question as to whether or not
23 there were other exposure considerations that could be
24 influencing the information that's in the database.

25 COMMITTEE MEMBER KLONOFF-COHEN: The majority of

1 comments I think are very important.

2 The first thing that is important for you to hear
3 from us is that we do not believe that caffeine has been
4 clearly shown to cause reproductive toxicity, and that
5 Doctors Leviton and Murray will be addressing that in
6 greater detail.

7 The second point that is very important for you
8 to consider today and for you to respond to is, if
9 caffeine is listed, OEHHA has told you in the September 7
10 notice that it provided to you and that it provided to the
11 public that there would be no warnings on coffee but there
12 would be warnings on products containing manufactured
13 caffeine such as soft drinks.

14 That is an issue that is appropriate to address
15 today. OEHHA said it was appropriate to address today by
16 mentioning it in its notice. And the whole purpose of
17 this meeting and the purpose of your input is to advance
18 public health. There's a lot of information that we want
19 to provide to you about how it would not advance public
20 health to move forward with an evaluation of caffeine.

21 The first is that, as Dr. Petersen will tell you
22 in more detail, coffee exposure accounts for approximately
23 three times more exposure than exposure from soft drinks.

24 The second thing is that when we analyzed through
25 consumer research the effect of a Proposition 65 warning

1 on cola in the absence of any communication on coffee,
2 confusion and misperception not surprisingly resulted.
3 Dr. MacInnis will provide the details of that to you.

4 So we believe that moving forward with caffeine
5 would be a step back for public health.

6 One of the scientists who could not be here today
7 is someone who may be familiar to some of you, former FDA
8 Commissioner Dr. Schwetz, who also is a specialist in the
9 area of reproductive and developmental toxicology.

10 Dr. Schwetz in his letter to you, which he asked
11 us to reiterate today, included in his comments, "The best
12 of intentions of regulators sometimes cause the public to
13 draw conclusions that are not in their best interests.
14 This could happen in at least two ways with caffeine.

15 "The first relates to listing caffeine for
16 further review under Prop 65 when the large data set does
17 not really warrant such a review, raising a level of
18 concern among the public that is not necessary or
19 advisable."

20 And I footnote that there is -- it is obviously a
21 consideration that there will be a public impact of even a
22 decision to move forward here that the Committee should
23 consider.

24 The second issue that Dr. Schwetz noted, and I
25 quote, "The second issue about a further review of

1 caffeine-related risks is the problem that a distinction
2 could possibly be made between the risk of caffeine from
3 natural sources versus the risk of caffeine from other
4 sources. To suggest a higher risk from lower sources of
5 exposure through inconsistent placement of warnings is
6 contrary to good public health practice."

7 So that's the comments from Dr. Schwetz.

8 The third point that we want to be sure that you
9 hear today is the point that to provide a Proposition 65
10 warning on soft drinks or other products that contain
11 caffeine that are not exempt, as OEHHA has stated coffee
12 would be, would communicate to women that moderate amounts
13 of caffeine is not safe. And the consistent message from
14 health care providers is that moderate amounts of caffeine
15 is safe.

16 And one of the things that we would like to share
17 with you, which we did in our comments, is the groups that
18 have expressed, including quite recently, the opinion that
19 moderate consumption of caffeine is safe:

20 The American College of Obstetricians and
21 Gynecologists; the March of Dimes in a review -- in a
22 statement in 2007; ACOG, 2005; the Mayo Clinic; our
23 federal government, other organizations, including Health
24 Canada in a 2003 review.

25 So before -- this is an important consideration

1 for you to have in mind.

2 The second scientist, a practicing OB/GYN who
3 could not be here today because she's seeing 35 patients,
4 in the course of her practice of delivering 400 babies a
5 year, Dr. Laurie Green, who is also the former President
6 of the California Academy of Medicine, wanted us to
7 communicate to you again, to reiterate, that "placing
8 caffeine on the Prop 65 list would undermine the advice of
9 moderation I give my patients. It would create harmful
10 stress among a number of women in California and would
11 confuse, rather than enlighten, because of the
12 inconsistent treatment of natural and added caffeine.
13 Accordingly I recommend that you assign caffeine a low
14 priority for further Prop 65 review."

15 "If caffeine were to be included on the Prop 65
16 list as a reproductive toxicant, the harm and health risk
17 associated with the very real fear that many pregnant
18 women will develop far outweigh any theoretical benefit of
19 providing additional cautions concerning caffeine
20 consumption."

21 Thank you for your time. Thank you for your
22 efforts to advance public health. Please consider the
23 ultimate impact on public health of your decision to move
24 forward.

25 I'd be happy to answer any questions.

1 CHAIRPERSON BURK: Questions?

2 Hillary.

3 COMMITTEE MEMBER KLONOFF-COHEN: Could I respond?

4 CHAIRPERSON BURK: (Nods head.)

5 COMMITTEE MEMBER KLONOFF-COHEN: Well, thank you
6 so much for your comments, first of all.

7 When you addressed about not advancing public
8 health and public health not moving forward by reviewing
9 caffeine, I have to say that to me advancing public health
10 is to evaluate fully whether or not a substance is safe
11 for the public. And to actually discuss whether or not it
12 should go for further review to me seems like that would
13 be advancing public health.

14 A lot of the comments are based very much on
15 politics and not very much on the data. Certainly, we
16 very much want to avoid stress and confusion and not worry
17 about fear in the public if we don't need to. But we also
18 need to look at the data and what they actually are
19 showing. And so I'd like to hear some discussion in terms
20 of that rather than the ramifications of scaring the
21 public. I think we're certainly not anywhere near that.
22 We're just discussing right now whether or not we should
23 bring caffeine up for further review.

24 MR. ROBERTS: May I offer a brief perspective on
25 that?

1 This is a committee that has one tool, and that
2 tool is Proposition 65. This is not a committee of global
3 jurisdiction of general safety reviews. Please, before
4 you move forward on examining further science related to
5 the one tool you have, have in mind how that tool is going
6 to work. The comments that we have provided are not
7 comments of politics. The comments that we have provided
8 are the comments of how this tool will work. Today is
9 your opportunity to consider how the end game under one
10 scenario would play out. And if it doesn't make sense to
11 pursue that end game, this is the time today. You will
12 not be asked again, does this make sense to move forward?
13 That is the question that is before you today.

14 Thank you.

15 CHAIRPERSON BURK: Thank you.

16 The next speaker is Dr. Alan Leviton, American
17 Beverage Association.

18 DR. LEVITON: Thank you very much. I appreciate
19 the opportunity to speak to you.

20 Although I represent the American Beverage
21 Association today, you should know that I do have a day
22 job as Director of the Neuro-epidemiology Unit at
23 Children's Hospital of Boston and Professor of Neurology
24 at Harvard Medical School. I'm the principal investigator
25 of a multi-center study of the antecedents and correlates

1 of brain damage in very preterm babies.

2 My major credentials, however, are listed on the
3 handout. You will see three publications in which I have
4 reviewed the literature dealing with the relationship
5 between caffeine and coffee consumption and the risk of
6 pregnancy and fetal disorders.

7 The first one is dated 1988, and the last one in
8 2002 is almost 40 pages long. I am familiar with this
9 literature. I have reviewed it extensively.

10 In the limited time that I have, let me deal with
11 the four outcomes I think that we need to address.

12 The first is birth defects or malformations. And
13 I think that has been summarized very well by Marilyn
14 Brown. In a publication in 2006 her conclusion was there
15 is no evidence to support a teratogenic effect of caffeine
16 in humans.

17 The next item on the list is spontaneous
18 abortion. And as Dr. Klonoff-Cohen has mentioned, that's
19 a big issue. I will come back to that.

20 The risk of prematurity does not seem to be risk
21 increased at all in caffeine and coffee consumers.

22 And the risk associated with reduced birth weight
23 is minimal and often can be explained by residual
24 confounding.

25 If you turn the page, there's an illustration of

1 my presentation for residual confounding. In light of the
2 limited amount of time available, I ask that you skip that
3 and go to the next page, the one that has a figure on it.

4 This figure is from a 2000 publication by
5 Cnattingius and colleagues. And let me walk you through
6 it, because I think it's to the heart of the matter.

7 On the X axis is the week of gestation. On the Y
8 axis is caffeine intake on a daily basis. The solid black
9 line in the graph itself refers to the women who
10 miscarried. The dashed line refers to the women who
11 carried to term. Let me go through the details.

12 The first item is that the mean consumption in
13 this sample is 350 milligrams per day. That's large by
14 everybody's estimation. These data are from Sweden where
15 the consumption of coffee is higher than in most other
16 countries.

17 I want you to notice that the consumption does
18 not change for the first four weeks of pregnancy, at which
19 time the consumption declines in both groups. It declines
20 modestly in the women who miscarry, but it declines
21 dramatically in the women who carry to term.

22 The question is: What is the biology going on
23 here? And the interpretation by those who were
24 knowledgeable about it, obstetrical endocrinologists and
25 others, is that at about four weeks, five weeks perhaps,

1 women experience a pregnancy signal. They feel pregnant.

2 If they've been pregnant before, they know the feeling.

3 For many of these women the first symptom is

4 sensitivity to odors. This is the time when they avoid

5 perfume, look for fragrance-free cosmetics and soaps, and

6 they avoid the smell of brewed coffee. So what happens?

7 They decrease their coffee consumption.

8 And the interpretation here is that the women who

9 are destined to miscarry have less of a pregnancy signal.

10 And, indeed, if you look on the right, the Y axis there is

11 a measure of nausea severity. And that measure is much

12 higher for the dashed line, for the women who carry to

13 term. They had a stronger pregnancy signal than the women

14 who miscarried.

15 The issue here is that a healthy pregnancy is

16 associated with solid implantation of the ovum in the

17 endometrium, with the placenta functioning well as a

18 hormone factory. And the pregnancy signal is really minor

19 toxicity of hormones, estrogens, human chorionic

20 gonadotrophin. And that explains it. In this situation

21 caffeine and coffee consumption does not cause the

22 abortion, but is an indicator of the pregnancy signal. So

23 that the women who are destined to miscarry were the ones

24 who are destined to have a later fetal death even, have a

25 poorer placental implantation, and have lower pregnancy

1 signal.

2 If we go down to the bottom of the page, our data
3 from the U.S., from Cincinnati, to be specific, Tina
4 Lawson shows the line that is highest on the left with the
5 triangles is coffee consumption. And in her sample begins
6 even at three or four weeks. And if you look to the
7 right, the other table there, you see that most of the
8 caffeine consumption that decreases is associated with
9 coffee and not with soft drinks or tea.

10 For me, this kind of view of the relationship
11 between spontaneous abortion and caffeine or coffee
12 consumption indicates quite clearly that I don't think
13 there is a substantial relationship. It cannot be said
14 that it is clearly shown. I think that applies to
15 spontaneous abortion. I think it applies to the other
16 pregnancy and fetal disorders.

17 Thank you very much.

18 CHAIRPERSON BURK: Thank you.

19 Next.

20 Did you want to make a comment?

21 No?

22 We can discuss this all after. So we'll just
23 continue with the public comments.

24 Next is Barbara Petersen, Exponent.

25 (Thereupon an overhead presentation was

1 Presented as follows.)

2 DR. PETERSEN: Barbara Petersen from Exponent,
3 representing the American Beverage Association today.

4 I believe there are slides coming as the
5 projector warms up.

6 I've been conducting risk assessments for the
7 past 20 years or so, and in particular looking at consumer
8 exposures and the impact of regulatory decisions or the
9 potential impact of regulatory decisions on consumers'
10 exposures.

11 I've also done a wide variety of exposure
12 assessments under the rules of Proposition 65. And we'll
13 be talking a little bit about that today.

14 And in particular in the case of the warnings for
15 caffeine, I submitted the details of the research I've
16 done as part of my written comments. Today I'm just going
17 to focus on the highlights. And I do welcome any
18 questions that you might have.

19 My most important overall conclusion is that
20 coffee and tea have much more caffeine per serving than
21 manufactured beverages, including soft drinks, and that
22 they're also consumed with a greater frequency.

23 I'll show you some specific results using
24 different assumptions and different databases. In all of
25 those I've followed the procedures that are outlined and

1 applied to Proposition 65. And not to steal my own
2 thunder, but since Gary already has, consuming coffee and
3 tea beverages that would be not -- would not be subject to
4 the warning results in three times the amount of caffeine
5 that you would get from manufactured sources of caffeine,
6 regardless of which data set I use for doing that.

7 And if I can have the next slide.

8 --o0o--

9 DR. PETERSEN: Specifically I looked at soft
10 drinks. And I concluded the energy drinks, which I know
11 are of special interest. And then I also did an energy
12 drink alone. I looked at coffee and tea together. And I
13 also looked at coffee alone.

14 If I can have the next slide.

15 --o0o--

16 DR. PETERSEN: In the first set of analyses, I
17 used two data sets. These are both publicly available and
18 done by the National Center for Health Statistics. NHANES
19 2003 and 2004 is a survey of two days per person, and it's
20 a record. It's quantitative information. And I used that
21 to estimate the grams of caffeine per eating occasion.

22 But under Prop 65 we also want to look at the
23 frequencies so that we can get a usual intake. Again, in
24 all these analyses we're looking at consumers only, not
25 averaging over the whole population.

1 If I can have the last slide.

2 --o0o--

3 DR. PETERSEN: Again, coming back. So that
4 regardless of the numbers we use, the coffee from the
5 naturally occurring sources represents about three times
6 the caffeine intake per day of the manufactured beverage.
7 And if a warning were placed on soft drinks, it would be
8 likely that people would switch to a different beverage,
9 which is not warning, assuming that it would be a lower
10 intake; and that would be coffee and tea, which seems
11 counter completely to a sensible public policy.

12 Thank you.

13 CHAIRPERSON BURK: Okay. Thank you.

14 DR. PETERSEN: Are there any questions?

15 CHAIRPERSON BURK: Any questions?

16 COMMITTEE MEMBER KLONOFF-COHEN: I just wanted to
17 comment just for a second.

18 There is something put out by the Nutrition
19 Action Health Letter, which is actually the largest health
20 letter in North America. And FDA just gave them their
21 highest honor. And in September 2007 the Center for
22 Science in the Public Interest put out different amounts
23 in terms of for caffeine. And so I'm not sure how these
24 jibe with yours. I just want to state them.

25 So in terms of an 8-ounce cup of coffee, they

1 quoted 133 milligrams. A coffee choice that many people
2 go to -- I won't give the brand -- but they serve 16-ounce
3 cups of coffee. There's 320 milligrams in a cup.

4 In terms of a particular company that puts out
5 lemon peach tea, it ranges anywhere between 42 to tea
6 brewed, which is 53. High tea lattes are 100 milligrams.

7 And certainly soft drinks such as Mountain Dew,
8 Coke, Pepsi, things like that, range anywhere between 54
9 and 69, depending on what the particular brands are.

10 And then there are other things, such as
11 chocolate, which wasn't mentioned, where they can range
12 anywhere from Hershey's dark chocolate is 31; Häagen Daz
13 ice cream is 58; all the way to certain over-the-counter
14 meds such as No Doze tablet, 200 milligrams; Excedrin
15 Extra Strength is 130 milligrams.

16 So I'm not sure how those numbers jibe with what
17 you're presented.

18 DR. PETERSEN: I'd have to look at them category
19 by category. For our caffeine concentrations in the
20 analysis, caffeine is included in the USDA nutrient
21 database that is used in conjunction with the NHANES
22 surveys, and they do have data for each of the categories
23 of product. Whether it's soft drink or coffee, espresso,
24 each one has a different level of caffeine and those are
25 values we used.

1 CHAIRPERSON BURK: Okay. Any further questions?

2 All right. Thank you.

3 The next speaker is Dr. Debbie MacInnis from the
4 University of Southern California, on behalf of the
5 American Beverage Association.

6 And we found we have a timer up here. So we're
7 actually going to stick to it this time.

8 (Thereupon an overhead presentation was
9 Presented as follows.)

10 DR. MacINNIS: Well, thank you for inviting me to
11 present my comments here. My name is Debbie MacInnis.
12 I'm a faculty member at the University of Southern
13 California in the Marshall School of Business.

14 There's been discussion around the table this
15 morning about the fact that cola has -- cola will be
16 required a warning label whereas coffee will not, and that
17 this could potentially cause unintended consequences of
18 consumer misperception and confusion.

19 I was asked by the American Beverage Association
20 to design a study to determine whether those outcomes
21 would indeed be realized.

22 Next slide please.

23 --o0o--

24 DR. MacINNIS: The study I conducted was an
25 experiment that involved 309 pregnant women from the State

1 of California. They were throughout -- women who lived
2 throughout the State of California who were pre-screened
3 for consumption of both cola and coffee over the past two
4 years.

5 They were randomly assigned to one of two
6 conditions in a between-subjects design experiment.

7 Next slide.

8 --o0o--

9 DR. MacINNIS: Consumers in the control condition
10 represented the condition where there was no warning label
11 present on cola. They were exposed to a representative
12 package of a cola soft drink as well as a representative
13 package of a coffee product. They were asked to read
14 these packages and respond to a self-administered
15 questionnaire.

16 Respondents in the experimental condition were
17 given the exact same information with the exact same
18 questionnaire. Next slide, please.

19 --o0o--

20 DR. MacINNIS: But they were given the
21 Proposition 65 warning label at the bottom of the cola
22 product. You can see it at the bottom of the left-hand
23 side.

24 The placement of the warning label, its wording,
25 and the content is exactly identical to what would be true

1 population of the state in terms of demographics and other
2 variables, we were slightly under-represented in terms of
3 consumers that were at the extreme ends of the education
4 continuum and extremely high income consumers as well as
5 Asian consumers, and had a slight over-representation of
6 African American consumers.

7 The bottom line of these results though do
8 suggest that if a warning label were to be presented on
9 cola and not to be presented on coffee, we would find
10 evidence of confusion and misperception.

11 Thank you. And I'm happy to answer any questions
12 you might have.

13 CHAIRPERSON BURK: Thank you.

14 Linda, question?

15 COMMITTEE MEMBER ROBERTS: Yes. On our screen I
16 could not read what the warning statement was. Could you
17 just let us know.

18 DR. MacINNIS: Sure. The warning label reads, if
19 I can recall it from memory, "Warning: This product
20 contains caffeine, a chemical known to the State of
21 California to cause birth defects or other reproductive
22 harm."

23 CHAIRPERSON BURK: Good. Thanks. Yeah, I
24 couldn't read that either, and I wondered. Maybe my eyes
25 are too old.

1 caffeine will fall short of meeting the "clearly shown to
2 cause" standard.

3 --o0o--

4 DR. MURRAY: Now, the question you may be asking
5 yourselves is: Why if caffeine is not clearly shown to
6 cause reproductive toxicity wouldn't the American Beverage
7 Association want to see you go forward, put it on your
8 agenda and draw exactly that conclusion?

9 There's a very good reason. Because of the
10 very -- because the very consideration of caffeine for
11 listing at a DART Committee meeting will create a lot of
12 media attention. You saw the cameras here today. Those
13 cameras weren't here for the other seven compounds. They
14 were here for caffeine. And that media attention will
15 cause confusion, anxiety, and lead to a lot of
16 misinformation about caffeine.

17 And if there is any doubt in your minds -- I
18 don't know how many of you had a chance to read the
19 newspaper this morning before you came here. You all
20 think you're at a meeting where you're discussing the
21 prioritization of eight chemicals. Let me read you the
22 headline for the story. This is Sacramento Bee this
23 morning.

24 "State may eye safety of caffeine in drinks."
25 It's not till you get to paragraph number 18 that any

1 substance other than caffeine is mentioned. Now, if
2 you're a pregnant woman, wakes up, has your cup of coffee
3 this morning, because you're trying to consume caffeine in
4 moderation, and that's the headline you read, what do you
5 think that person is going to think?

6 So you really have to think about the
7 consequences of going forward with this one.

8 Next slide.

9 --o0o--

10 DR. MURRAY: Actually I missed one. Let's go
11 back.

12 --o0o--

13 DR. MURRAY: Warnings on soft drinks would not
14 advance public health. You've heard this message already
15 from some of the others. And, you know, many of you know
16 I served on your Committee for several years because, like
17 you, it was important to me that my work advance public
18 health and that I do the right thing. And what deeply
19 concerns me here is that moving forward with caffeine,
20 given the "naturally occurring" exemption of the law, is
21 going to create confusion, misperception, anxiety, and it
22 has the potential to do a lot more harm than any
23 theoretical good that could come out of this.

24 You saw professor MacInnis's study. And in all
25 the years that I've known Prop 65 it's the first time I've

1 seen anything like this. You saw the responses. That's
2 the take-home message that would result if you put
3 caffeine on the Prop 65 list. So if you go forward, the
4 message that's going to be heard is "I'm confused, I think
5 cola just have more caffeine than coffee, I think cola
6 must be less safe than caffeine." It undermines the
7 caffeine in moderation message.

8 Last slide.

9 --o0o--

10 DR. MURRAY: So, in conclusion, if you're worried
11 about any of the first three bullets on this slide, today
12 is the day when you have to do something about this.

13 If caffeine were listed, the inconsistent mix of
14 warnings on some products and not other products would
15 undermine public health and confuse the public.

16 The warnings would be at odds with the advice
17 that physicians give their patients, which is consume
18 caffeine in moderation. My goodness, you start putting
19 warnings on soft drinks, and it doesn't sound like
20 caffeine in moderation is the message anymore. You don't
21 put warnings on coffee, how is that consistent with
22 caffeine in moderation?

23 Caffeine does not meet the "clearly shown"
24 standard.

25 So this is your opportunity. If you proceed with

1 caffeine and caffeine moves forward, the question at your
2 next meeting will be: Is caffeine clearly shown to cause
3 reproductive toxicity? Dose won't matter. How many times
4 have we heard this. The consequences of listing and
5 having inconsistent warnings on products won't matter.
6 You will have to stick to the science.

7 Today you have an opportunity to consider the
8 public policy implications of this as well as the science
9 in making your decision.

10 So this is your only chance to say it doesn't
11 make sense to proceed. You should recommend that caffeine
12 be assigned a low priority and that no hazard
13 identification document should be prepared.

14 Thank you.

15 CHAIRPERSON BURK: Thank you.

16 DR. MURRAY: I'd be happy to answer any questions
17 you might have.

18 CHAIRPERSON BURK: Are there any questions for
19 Dr. Murray?

20 Okay. Thanks.

21 DR. MURRAY: Thank you.

22 Next speaker is William Butler, Ph.D,
23 representing CHPA, NPA, and CRN.

24 DR. BUTLER: That's the Consumer Health Products
25 Association, the Natural Products Association, and

1 Committee for Nutrition.

2 I'm going to speak to the epidemiologic studies
3 on coffee and adverse reproductive outcome and how they
4 relate to assessment of caffeine.

5 I will start off by calling to your attention
6 that, unlike the other substances, there were so many
7 epidemiologic studies of coffee and caffeine, that they
8 couldn't even all be listed here. So this is not an issue
9 which is not getting attention from the scientific
10 community. And if indeed it was a real resolved issue or
11 resolvable issue, you would question why are there still
12 so many studies being conducted.

13 And I start off with -- in my written comments to
14 you I listed around 20 recent review articles with their
15 quotable quotes and the citations. They're almost all
16 unanimous, that we haven't come to a conclusion, that we
17 can't come to one, that it's equivocal, that it's
18 inconsistent, that it's contradictory.

19 I know there were some specific epidemiologic
20 studies cited here at the beginning. But when you look at
21 the whole body of literature, that's not what you find.
22 And if the purpose of this meeting is to anticipate what
23 would occur with a health hazard evaluation, then I think
24 the best place to look is the last 20 reviews that have
25 taken place. And these have been by quite respected

1 bodies which I think you'll recognize: The American
2 College of Obstetricians and Gynecologists; FDA; March of
3 Dimes; NIH; National Toxicology Program; Health Canada;
4 European Commission; The Food Standard Agency, which seems
5 relevant, for the UK, all within the last couple years.

6 And they all are similar in saying, "Well, it
7 doesn't look like there's a problem. But it's
8 inconsistent. We can't come to conclusion." There are
9 some inconsistencies that weren't brought out. Some
10 studies showed very high association. But when you look
11 at the studies, you look at the details, it doesn't all
12 come together. It doesn't tell a good story, a consistent
13 story.

14 There's also one item which I'll call to your
15 attention, which was brought up, is: Are these studies of
16 coffee or caffeine? And typically, even though they say
17 they're a study of -- excuse me. Typically they're
18 studies of coffee, "How many cups of coffee did you
19 consume?" And even though they might measure coffee as
20 precisely as 182.7 milligrams per day, it really boils
21 down to a self-report of how many cups. So it's not very
22 precise.

23 If you then go further and say, "Well let's look
24 at other dietary sources of coffee," then the literature
25 gets much, much, much thinner. And often times it's not

1 reported. There's a study by Bech, which is in the list
2 from the OEHHA, listed as a positive study, a 2005
3 observational cohort. When you look at the details, it
4 says, "Well, we looked at the association of caffeine and
5 we found it" -- "with caffeine from coffee we found an
6 association." But there's two sentences that say --
7 embedded in the text, no tables, no analysis -- that "when
8 we looked at the association of caffeine from soft drinks,
9 we didn't find it. It wasn't there. It's only with
10 coffee. And when we looked for the association of adverse
11 reproductive outcome for caffeine from tea, it wasn't
12 there. It was only with coffee."

13 Now, lots of times studies -- epidemiologic
14 studies don't report that detail or it's not conspicuous.
15 But when you look at the epidemiol -- the reviews of the
16 epidemiologic studies, the 20 that I've cited there, they
17 get into those details. And the conclusions that have
18 been reached -- I'm just repeating myself -- is the
19 results are contradictory, inconsistent, equivocal.

20 There was also mention of meta-analysis. And
21 I'll call your attention to the quote -- I don't think
22 it's the same one that came here. It was from Santos,
23 1998. It says, quote, "The high heterogeneity of the
24 available literature on the effects of caffeine on low
25 birth weight, intrauterine growth retardation, and preterm

1 delivery prevents estimation of reliable pooled estimates
2 through meta-analysis."

3 That's sort of getting at the same thing that the
4 results are equivocal. Yes, there might be some high
5 relative risks. But that's -- but the body of the
6 literature doesn't support that.

7 There's also the question of controlling for
8 confounding. And I'm quoting now from Fernandes, 1998.
9 Quote, "Control for confounders such as maternal age,
10 smoking, and ethanol was not possible because of the
11 heterogeneity of reporting from the individual studies."

12 So if the purpose here of this meeting is to have
13 a priority of what it is that we anticipate we might find,
14 then I think the literature is fairly specific in saying
15 we're not going to find a specific result right now.
16 There's lots of studies still being done. There's
17 progress still being made. But right now it doesn't
18 seem -- the literature does not support putting a high
19 priority on caffeine.

20 CHAIRPERSON BURK: Thank you.

21 Any questions for Dr. Butler?

22 COMMITTEE MEMBER KLONOFF-COHEN: I just wanted to
23 state that when you were talking about Fernandes and
24 Santos, as you aptly pointed out, they're meta-analyses.
25 And meta-analyses, as you well know, are taking all the

1 studies with all the limitations that they have and all of
2 the differences in study designs and sources, et cetera,
3 et cetera, and putting them all together. So you view
4 meta-analyses results very skeptically.

5 DR. BUTLER: But the quote I gave on the
6 meta-analysis of the quantitative pooling was consistent
7 with about the 20 other studies -- the 20 other reviews
8 which were not specifically meta-analysis. They weren't
9 quantitative. They weren't driving to get a single number
10 and a confidence interval. It was incorporating all of
11 the epidemiologic information into an attempt at a causal
12 conclusion.

13 CHAIRPERSON BURK: Thank you.

14 And I think our last speaker on caffeine is Lisa
15 Halko. Same initials as the previous speaker.

16 MS. HALKO: Good morning. And thank you for
17 hearing our comments this morning. I'm Lisa Halko from
18 Greenberg Traurig and I also represent the Council for
19 Responsible Nutrition, the Natural Products Association,
20 and the Consumer Healthcare Product Association.

21 As Dr. Denton said at the beginning of this
22 meeting, the question that OEHHA is answering now and the
23 question on which OEHHA is asking your advice is whether
24 these chemicals -- and here the question is caffeine --
25 whether it merits a closer look.

1 Staff worked for two years to develop a perfectly
2 beautiful prioritization process that helps to answer that
3 question. The prioritization process focuses on exposure
4 potential and on epidemiological data. And usually you
5 would expect that the most important chemical to look at,
6 the chemical that should have the highest priority for a
7 full review, will be those with a high exposure potential,
8 will be those for which there is ample epidemiological
9 data.

10 But in this case that is not true. In this case,
11 the exception proves the rule. I should say the exemption
12 proves the rule, because, as you've heard discussed,
13 caffeine is present for most people in coffee. The source
14 of that epidemiological data that pushed this chemical up
15 on the prioritization list, the source of the exposure
16 that pushed this chemical up will never have a Proposition
17 65 warning, no matter what your closer look eventually
18 decides.

19 Now, this is an opportunity for this Committee to
20 consider factors other than exposure, factors other than
21 epidemiological data. Dr. Jones characterized those as
22 political questions and Dr. Burk I think you mentioned
23 philosophical questions. But for caffeine the question is
24 a public health question.

25 The reason that the exemption exists is because

1 both OEHHA and FDA have acknowledged that when you start
2 to put warnings on foods, you end up with unintended
3 public health consequences, unintended and undesired
4 public health consequences.

5 The reason that we have the naturally occurring
6 exemption is so that thousands of foods that have been
7 eaten over thousands of years don't have warnings that
8 will obscure the most important public health message that
9 there is about diet, and that is moderation.

10 The warning messages drown out that message. It
11 drowns out that message particularly for pregnant women.
12 I've been an anxious pregnant woman, and so I have some
13 personal experience of that. It is difficult to process
14 information when you are as risk averse as that population
15 needs to be.

16 So for that reason, OEHHA has exempted naturally
17 occurring chemicals in foods from Proposition 65 warnings.
18 For that reason FDA so carefully limits warnings on foods
19 and drugs that it reaches to the point of preempting state
20 laws sometimes including Proposition 65. Those are public
21 health realities, not just legal realities, not just
22 political realities, but the public health motivations for
23 those exemptions.

24 So let's think about -- suppose you take this
25 beautiful prioritization process that staff worked so hard

1 on and go ahead and factor in the public health questions,
2 say to yourself, "Well, okay. For good public health
3 reasons, no matter what we decide, the source of all of
4 the epidemiological data, coffee, will never bear the
5 warning, the source of two-thirds of the exposure will
6 never bear the warning, the prioritization process itself
7 will tell you then that without coffee there is no
8 epidemiological significant data to consider." Without
9 coffee there is no -- excuse me -- there's not the same
10 kind of significant exposure. So the exception proves the
11 rule. The prioritization process itself informs you that,
12 given this exemption, caffeine should have a low priority.
13 It does not merit a further look. And I would ask you to
14 make that finding and that advice to OEHHA.

15 Thank you very much.

16 CHAIRPERSON BURK: Thank you.

17 Renee, just very briefly.

18 How's our stenographer doing?

19 MS. SHARP: I wasn't planning on making a comment
20 on this chemical. But after hearing basically an hour
21 mostly from the American Beverage Association, I felt
22 really compelled to provide a comment for the
23 public-health-oriented people here. And, that is, the
24 only confusion that might be created by this panel
25 recommending to OEHHA that they go ahead and create a

1 hazard identification document for caffeine -- the only
2 confusion that might be created is if you decided not to
3 do that. Because if you had 32 Epi studies suggesting
4 that caffeine might be causing reproductive or
5 developmental harm, including fertility effects, how you
6 could not recommend that would be just baffling.

7 Thank you.

8 CHAIRPERSON BURK: Okay. Thank you.

9 So are we ready to discuss this further?

10 I think I know how you feel, Hillary. But let's
11 ask for other comments.

12 Dr. Hobel, Calvin.

13 COMMITTEE MEMBER HOBEL: Yes. I have been a
14 person who's been practicing maternal-fetal medicine for
15 over 30 years, and I've been aware of this literature for
16 a long time about caffeine. And I've reviewed these
17 papers very carefully. And I think the focus has been on
18 coffee and -- but in clinical medicine there's only one
19 situation where caffeine products have been a problem.
20 And that's in patients admitted with a fetal arrhythmia,
21 an intrauterine arrhythmia of the fetal heart rate. And
22 there is an association with that causing the arrhythmia
23 to occur. But it's really in the vulnerable fetus who has
24 an abnormal conduction system that is at risk for problems
25 later on.

1 And that's the only time we really talk to
2 patients about limiting their primarily coffee intake.
3 But we also mention chocolate and sodas. But that's the
4 only clinical situation where I've found it to be
5 important.

6 And as I review the literature, I find it very
7 difficult to be able to focus on caffeine as being a major
8 issue, because there are so many confounding other
9 variables that seem to make a difference. For example,
10 smoking. Smoking seems to be very powerful. And it's
11 hard to disentangle people who use these additional
12 substances for very good reasons. Smoking and coffee
13 drinking tend to go together.

14 And even when you look at preterm -- or abortion
15 or preterm birth or developmental issues with a child,
16 it's very difficult to disentangle the effect of caffeine.

17 The focus seems to be primarily on smoking.

18 So I find it very difficult to consider myself
19 that caffeine should be listed as an issue, for those
20 reasons.

21 COMMITTEE MEMBER KLONOFF-COHEN: Can I answer
22 that?

23 It's true, it's like many of the epidemiologic
24 studies, there are multiple confounders that are taken
25 into account and many of the studies do and a lot of the

1 studies don't.

2 But since you brought up smoking -- I should have
3 actually mentioned this. But several of the articles
4 actually found a significance in nonsmokers but not in
5 smokers. And those studies were George, Torfs,
6 Cnattingius, Jensen, Stanton, and Gray. And it's been
7 hypothesized that a higher metabolism as a result of
8 smoking causes individuals to digest caffeine faster and,
9 therefore, have a lower risk.

10 And so all of those studies actually found an
11 effect then, therefore, with the nonsmokers and caffeine.

12 COMMITTEE MEMBER HOBEL: Okay. I think that's a
13 very good comment. But I think that when I look at some
14 of the other studies, when caffeine does seem to be
15 important, it seems to be excessive use of caffeine. And
16 that's very clear in several of the papers. Yet, the
17 March of Dimes, the America College of Obstetrics and
18 Gynecology clearly makes it a point to tell patients that
19 they have to be careful with the amount of coffee or
20 caffeine intake.

21 So from my point of view -- I'm on the Scientific
22 Advisory Committee for the March of Dimes -- I'm very
23 comfortable with their recommendation.

24 And I also belong to ACOG, and I'm comfortable
25 with their recommendation.

1 So I think things are in order in terms of the
2 messages to patients about excessive use of caffeine.

3 COMMITTEE MEMBER KLONOFF-COHEN: I don't want to
4 argue with either of those organizations, because I
5 greatly respect them, frankly. But I did actually -- when
6 I went through the studies, that's why I kept mentioning,
7 you know, 300 milligrams, 300 milligrams, 325 milligrams,
8 to show in fact what the actual exposure amount was, so
9 that it didn't reflect that they were drinking over the
10 moderation, as you put it.

11 CHAIRPERSON BURK: Who else?

12 Ken.

13 COMMITTEE MEMBER JONES: So, Hillary, the
14 epidemiologic studies you're saying included -- that show
15 an effect included moderate coffee exposure?

16 COMMITTEE MEMBER KLONOFF-COHEN: Yes. That's
17 what I was focusing on, yes.

18 COMMITTEE MEMBER JONES: Thank you.

19 COMMITTEE MEMBER HOBEL: That's why I made the
20 comment about excessive use of caffeine.

21 CHAIRPERSON BURK: Other comments?

22 No?

23 COMMITTEE MEMBER ROBERTS: I have a question for
24 Dr. Petersen with relationship to the slide you presented
25 on the total exposures from different sources.

1 DR. PETERSEN: Yes.

2 COMMITTEE MEMBER ROBERTS: What would be the
3 proximate --

4 DR. PETERSEN: Can we put that back up.
5 Go ahead.

6 COMMITTEE MEMBER ROBERTS: I was just wondering
7 what would be the approximate percent of caffeine consumed
8 from non-natural sources on a daily basis out of the total
9 amount of caffeine consumed?

10 DR. PETERSEN: I think if we look at the "Total"
11 slide, what -- that ends up being a more complicated
12 question than you would think, because there are different
13 consumers that you're talking about. So you have people
14 who get their caffeine from coffee and you have the people
15 who get caffeine from soft drinks.

16 For people who get it from both categories, it
17 was just a small increase. I believe if we -- there's a
18 total on the -- keep going. I think it's on the -- right
19 here on this slide.

20 So you can see that from people who consumed soft
21 drinks were around 25; people consuming coffee, 94. If
22 you looked at people -- so essentially you'd looked at
23 everyone who consumed any beverage with caffeine, it went
24 up to 108. So from 94 to 100 -- roughly 10 percent
25 increase by looking at both sources at the same time. So

1 it's kind of an either or for most people.

2 COMMITTEE MEMBER ROBERTS: Okay. So if I
3 understand this, if there was a person who drank both,
4 then -- and if, you know, for some reason caffeine was
5 eliminated from all soft drinks, that would be about a 25
6 percent reduction in a person's daily amount? And if it
7 was a person who only had caffeine from soft drinks,
8 they're currently only at approximately 25 milligrams per
9 day?

10 DR. PETERSEN: That's correct. On mean over a
11 usual intake, that's correct.

12 COMMITTEE MEMBER ROBERTS: Okay. Thank you.

13 COMMITTEE MEMBER WHITE: Okay. I think I'm
14 probably going to be the one to create the most
15 controversy here today, but that's okay. I tend to do
16 that.

17 As a clinician -- and I have to agree with our
18 obstetrician in a very big way -- I too have had the
19 opportunity to take care of patients -- prenatal patients.
20 I've also had an opportunity to take care of patients,
21 particularly mothers, who consumed large amounts of Dr.
22 Pepper, for example, which has a high caffeine level.
23 I've seen those mothers. I've seen maternal tachycardia,
24 I've seen fetal tachycardia as well. But that's really
25 the only time I've actually seen caffeine be a problem. I

1 don't have as much experience, but I do have some
2 experience.

3 Having taken care of people in a population where
4 soda and coffee, particularly soda, is ingested quite a
5 bit, I can honestly tell you that from a public health
6 standpoint, if caffeine were to get the big label,
7 particularly in the communities I have served in, it would
8 be mass hysteria. I have seen mothers actually decrease
9 their intake of caffeine, whether it's sodas, coffee,
10 whatever it is -- the moment they discover that they're
11 pregnant, they self-decrease it. And this is in a
12 population that drinks a heavy amount of soda. And I mean
13 particularly your low income and also in the African
14 American community as well.

15 So from my own personal experience as a
16 clinician, even in reviewing the data, I too would make
17 caffeine a low priority I think at this point.

18 When a doctor showed the paper, the Sacramento
19 Bee, the headline, I could just imagine my patients coming
20 into me screaming, "What is this? What am I going to do
21 now? I can't just stop drinking coffee. Or "I took a cup
22 of coffee this morning. I'm 16 weeks pregnant. What do I
23 do?"

24 And trying to decrease that hysteria in a
25 population of women who are pregnant -- and for you all

1 who have been pregnant, you know that when those hormones
2 are raging, nothing makes sense.

3 (Laughter.)

4 COMMITTEE MEMBER WHITE: So looking at it from
5 the standpoint just of the public health and the clinical
6 aspects of it, but not negating the data -- I think the
7 data is there -- I personally would make it a very low
8 priority. I really would. I think it can do more damage
9 public-health-wise than anything else than it could do
10 with respect to the data.

11 COMMITTEE MEMBER JONES: I would just point out
12 that that happened with alcohol and all kinds of other
13 things as well, that there was hysteria when we first
14 discovered that alcohol was a human teratogen. But I
15 really don't think that that's a reason not to proceed
16 with looking at this if in fact it's real.

17 I have a question for Dr. Leviton.

18 DR. LEVITON: Yes.

19 COMMITTEE MEMBER JONES: Thank you, sir.

20 And I'm sure I just don't understand this.

21 On the second -- I guess it's the third page of
22 your handout, you show two figures, one at the top and --
23 actually two at the bottom. But the one I'd like you to
24 look at is the one at the top and the one at the bottom on
25 the left.

1 And I think what you were pointing out here was
2 that nausea and vomiting that occurs sometime around the
3 fourth week of gestation in many, many pregnancies is in
4 fact protective against spontaneous abortion. And it's
5 probably due to an estrogen effect or some other kind of
6 hormonal effect on pregnancy.

7 DR. LEVITON: I'm not saying it's protective, but
8 it's an indicator that everything else is going well.

9 COMMITTEE MEMBER JONES: Well, I think it is
10 protective, in fact. And, in fact, you're showing that
11 the -- I think you are showing that the consumption of
12 caffeine decreases about this same time. And I think that
13 you're saying that that relates to the smell of coffee.
14 Is that what you said?

15 DR. LEVITON: That's one interpretation.

16 COMMITTEE MEMBER JONES: Okay. And then you go
17 down to the bottom left. And what it looks like to me is
18 that not only with the smell of coffee, which is the
19 triangular line, but also with tea and soft drinks --

20 DR. LEVITON: Yes.

21 COMMITTEE MEMBER JONES: -- it also drops off,
22 and with milk it goes up.

23 DR. LEVITON: Yes.

24 COMMITTEE MEMBER JONES: So it's really -- from
25 what I can see on the bottom left, that it's not the smell

1 of coffee, because soft drinks and tea drop as well. Am I
2 confused?

3 DR. LEVITON: I wouldn't say you're confused. We
4 just differ in our interpretation.

5 COMMITTEE MEMBER JONES: Well, what would be your
6 interpretation?

7 DR. LEVITON: Let me walk you through this.
8 Okay?

9 What you see is the coffee decreases
10 dramatically --

11 COMMITTEE MEMBER JONES: Yes.

12 DR. LEVITON: -- much more --

13 COMMITTEE MEMBER JONES: Bottom left now or top?

14 DR. LEVITON: The bottom. Take the bottom.

15 COMMITTEE MEMBER JONES: Okay.

16 DR. LEVITON: Compare that to the tea and the
17 soft drink.

18 COMMITTEE MEMBER JONES: Right.

19 DR. LEVITON: Drops much more dramatically.

20 COMMITTEE MEMBER JONES: Is there statistical
21 significance in the extent --

22 DR. LEVITON: -- I don't have a P value.

23 COMMITTEE MEMBER JONES: -- to which they drop?

24 Excuse me?

25 DR. LEVITON: Just look at the figure.

1 COMMITTEE MEMBER JONES: Well, I am looking at
2 the figure.

3 (Laughter.)

4 DR. LEVITON: I don't have P values. I don't
5 think that was the test of the study.

6 So what I'm trying to say is if you look at it
7 and you get a gestalt. We don't have P values.

8 COMMITTEE MEMBER JONES: Okay.

9 DR. LEVITON: In the absence of P values, what
10 you see is a more prominent decline in the coffee
11 consumption, you see some modest decline in tea and soft
12 drink.

13 The issue here and the interpretation of the
14 investigators is by about the fifth week or so, sixth
15 week, the women are beginning to recognize that they
16 really are pregnant and they're beginning to change their
17 behaviors voluntarily. So that's why the milk goes up,
18 that they're becoming -- they're becoming in their own
19 mind more responsible. And they're decreasing their
20 caffeine consumption. This is done by many women.

21 And so I think trying to separate what is, if not
22 involuntary, the first indication of the pregnancy, then
23 followed by the willful desire to reduce their caffeine
24 consumption.

25 This was a middle -- higher middle class

1 population. And I think they were doing what they thought
2 was best for their fetus.

3 COMMITTEE MEMBER JONES: Okay. Thank you.

4 COMMITTEE MEMBER ROBERTS: Dr. Leviton, looking
5 at the bottom right graph, I'm assuming -- it looks like
6 soft drinks and tea come -- they both come out clearly on
7 the black and white reprint, a photocopy -- is soft drink
8 the bar on the right or the bar on the middle in each of
9 these?

10 DR. LEVITON: I believe it's the one in the
11 middle.

12 COMMITTEE MEMBER ROBERTS: Okay. It looks like
13 then, whether -- and as it says, it's daily caffeine
14 consumption. So if you're looking at the dark bars for
15 coffee consumption, as you get out to week 7 through 14
16 coffee consumption has pretty much stabilized to what
17 looks like around 20 milligrams per day.

18 DR. LEVITON: Yes.

19 COMMITTEE MEMBER ROBERTS: This is a fairly large
20 group of individuals from whom the coffee consumption was
21 estimated?

22 DR. LEVITON: I don't have the sample size, but
23 it was a good size. Several hundred clearly.

24 COMMITTEE MEMBER ROBERTS: Okay. The reason I'm
25 wondering, then how do we get to the people who have the

1 300-plus milligrams of coffee consumption, I mean in
2 these --

3 DR. LEVITON: I think there are very few of those
4 in the United States. And I think that almost -- what I
5 think the top figure shows you is that most women will
6 decrease their coffee consumption whether they plan to --
7 they just decrease it.

8 COMMITTEE MEMBER ROBERTS: For women that do not
9 lose pregnancy, are there any other social, demographic,
10 biological factors associated with maintaining high levels
11 of coffee or caffeine consumption during pregnancy?

12 DR. LEVITON: Other than smoking, I don't know.

13 COMMITTEE MEMBER ROBERTS: Thank you.

14 CHAIRPERSON BURK: Are there any other comments?

15 COMMITTEE MEMBER ROBERTS: I guess I'd like to
16 pose one question to Dr. Jones, because you have the
17 Teratogen Information System. And I'm just wondering what
18 sort of information you give to women who call in that are
19 concerned about caffeine.

20 COMMITTEE MEMBER JONES: Well, we make a
21 distinction between moderate caffeine consumption and
22 heavy caffeine consumption. And we tell them as most
23 people who drink moderate amounts of coffee that there is
24 probably -- that there's no evidence of concern; and that
25 with greater than that, there certainly has been evidence

1 of concern.

2 CHAIRPERSON BURK: Okay. Last chance.

3 Ellen.

4 COMMITTEE MEMBER GOLD: Can I ask Dr. MacInnis
5 two questions?

6 CHAIRPERSON BURK: Yes.

7 COMMITTEE MEMBER JONES: I might add, Linda, that
8 we may be wrong based upon what Hillary has just told us
9 today.

10 COMMITTEE MEMBER GOLD: I was interested in two
11 things.

12 One, was your trial published?

13 DR. MacINNIS: No, this has not been published.

14 COMMITTEE MEMBER GOLD: And, secondly, have you
15 done any work to see if these results are any different
16 than what you would expect for labeling of any other
17 compound from Prop 65?

18 DR. MacINNIS: There's very little research that
19 I'm aware of that can draw on that question, so I can't
20 answer with any definitive information.

21 COMMITTEE MEMBER GOLD: Thank you.

22 CHAIRPERSON BURK: That is an interesting
23 question, because there's a whole another world about risk
24 communication and all that.

25 But I think we need to sort of make our

1 recommendation based on the role that we play and consider
2 that the implementation is done by others. And I
3 understand, you know, that we can't help but think about
4 public health, and that's why we're all on this Committee.
5 I don't know -- Carol, did you want to say anything else
6 about implementation?

7 CHIEF COUNSEL MONAHAN-CUMMINGS: Well, I could
8 just reiterate what I said before, that there are
9 regulations in place. There's provisions in the statute
10 that all deal with when a warning might be required for a
11 particular exposure. And there is a regulation about
12 naturally occurring chemicals in foods. We aren't at a
13 point now where we would be able to say what the level
14 would be that would require a warning, because, for one
15 thing, the chemical isn't listed. And that's not
16 something that we look at until after the chemical's
17 listed.

18 So it is to me a premature question about whether
19 or not -- what an effect might be for a warning that we
20 don't even know when it's going to apply to what kinds of
21 exposures. But if any of the other members have questions
22 about that, I'd be happy to try and respond.

23 MR. ROBERTS: Lawyer to lawyer.

24 If the issue is premature today, when is it
25 mature?

1 CHIEF COUNSEL MONAHAN-CUMMINGS: Okay. What I'd
2 like to say is that there are forums for this kind of
3 issue to be resolved. Whether or not a warning is
4 required, for example, we have regulations avail -- where
5 someone can come and ask us, "Is a warning required for my
6 product or the exposure that I'm causing?" for example.

7 So this particular forum here is scientists and
8 medical people talking about the scientific evidence for
9 this particular chemical and whether or not it's
10 sufficient for us to proceed to the next step in the
11 process.

12 MR. ROBERTS: One of the things about Prop 65 is
13 the thousand-fold factor for warnings. It doesn't offer
14 the precision that ACOG and others have in delineating
15 between safe exposures and exposures where there are no
16 questions.

17 The reason Dr. MacInnis has not published is
18 because her work was directly responsive to the September
19 7 notice. We're not aware of any other chemical where
20 there is this vast imbalance between a high exposure
21 source that's natural and a low exposure source that's
22 manufactured.

23 CHAIRPERSON BURK: All right. One last chance
24 before I ask the question.

25 All right. Do you advise OEHHA to begin

1 preparation of the hazard identification materials for
2 caffeine?

3 All those advising yes, please raise your hand.

4 (Hands raised.)

5 CHAIRPERSON BURK: So I count 4.

6 All those advising no, please raise your hand.

7 (Hands raised.)

8 CHAIRPERSON BURK: 1, 2 -- 3.

9 Okay. So that is our advice.

10 And we're all hungry now.

11 (Laughter.)

12 CHAIRPERSON BURK: So how long shall we take?

13 Okay. So no more than 30 minutes?

14 Well, how about 2 o'clock? That's 35.

15 Okay. We'll begin again at 2 o'clock.

16 (Thereupon a lunch break was taken.)

17

18

19

20

21

22

23

24

25

1 AFTERNOON SESSION

2 CHAIRPERSON BURK: All right. Good afternoon. I
3 think we're ready to get started again.

4 And the next chemical to be considered is
5 Chlorpyrifos and the staff presentation will be given by
6 Dr. Poorni Iyer.

7 (Thereupon an overhead presentation was
8 Presented as follows.)

9 DR. IYER: Good afternoon. My name is Poorni
10 Iyer, and today I'm going to be presenting the extent of
11 the evidence available for prioritization of chlorpyrifos.

12 --o0o--

13 DR. IYER: Chlorpyrifos is a broad spectrum
14 organophosphate pesticide used in a variety of crops, on
15 golf courses, as a nonstructural wood treatment, and as an
16 adult mosquitocide.

17 The retail sale of chlorpyrifos for residential
18 use was discontinued in the U.S. prior to 2002.

19 --o0o--

20 DR. IYER: In preparing for today's meeting it
21 was discovered that the file containing the materials on
22 chlorpyrifos that was sent to the Committee had been
23 incorrectly saved in our server, leading to duplication of
24 several of the abstracts. We apologize for these errors
25 in the materials, but want to confirm that chlorpyrifos

1 still clearly passes the epidemiologic's data screen.

2 The slides that I'm about to show you now give
3 the correct numbers of the abstracts in each category.

4 --o0o--

5 DR. IYER: So presenting the extent of the
6 epidemiologic data for chlorpyrifos.

7 There were eight epidemiologic studies of
8 environmental exposure. The majority of these was from
9 chlorpyrifos used indoors for pest control.

10 The reports of increased risk of adverse
11 developmental or reproductive outcomes include effects on
12 cognitive and motor development, fetal growth and semen
13 quality.

14 Five of these studies were analytical studies of
15 adequate quality.

16 There were four meeting abstracts reporting
17 increased risk of adverse developmental or reproductive
18 outcomes. And one epidemiologic study reported no
19 increased risk of adverse developmental or reproductive
20 outcomes.

21 Next slide.

22 --o0o--

23 DR. IYER: The animal data included studies
24 submitted for regulatory purposes as well as studies in
25 the peer-reviewed literature with developmental endpoints

1 such as resorption, fetal weight, and long-term effects on
2 the brain and behavior in laboratory rodents.

3 Of these, 21 animal studies reported
4 developmental or reproductive toxicity, 3 animal studies
5 that did not report developmental or reproductive
6 toxicity.

7 And in the category of related studies the
8 material sent to the Committee states 43 studies, but 6 of
9 these report a developmental and reproductive toxicity and
10 were also inadvertently included in this related studies
11 category. Hence, there are 37 related articles.

12 And that concludes my presentation for
13 chlorpyrifos.

14 CHAIRPERSON BURK: Okay. Thank you.

15 And I will take the lead on this one and say a
16 few words. And then we have quite a number of people that
17 wish to speak.

18 So I just want to reiterate, I did notice the
19 duplications and all that. So when I did my own count,
20 essentially for the human studies there are a series of
21 them using pretty much the same population of people. So
22 that's the Columbia University mothers and newborns
23 studies that were looking at inner-city minority
24 population. And able to measure cord plasma chlorpyrifos
25 levels. And in different studies reported low birth

1 weight and length. And the others were the neural and
2 developmental effects using an index.

3 So those I think -- again I'm only looking at the
4 abstracts. So I'm sure they're open to criticism. But
5 I'm just saying I think that data is there.

6 The Meeker studies -- there are two studies on
7 semen quality that I can't really evaluate very well, and
8 don't seem to fit much with other things. But they're
9 there as well.

10 One of the studies that showed a small reduction
11 in head circumference was actually looking at the
12 metabolizing enzyme levels in different women, which I
13 thought was very interesting from an mechanistic point of
14 view.

15 One thing I should say about chlorpyrifos is that
16 it's an anti-cholinesterase. That's it way of acting.

17 So some of these things are actually perhaps
18 explainable mechanistically. Other things, I don't know.

19 Then there were a couple of case reports. And,
20 again, very little information was given in the abstracts,
21 so I can't say a whole lot about the case reports. But --
22 and maybe someone here is familiar with those. One of
23 them reported four children with a pattern of birth
24 defects that they were trying to say was caused by that,
25 but I don't know.

1 Again, I was trying to play sort of by the rules,
2 so I didn't go out and try to get a whole lot of extra
3 information. I was just looking at what we were presented
4 to see if I thought it was sufficient to recommend.

5 The one negative epidemiological study, Eskenazi,
6 again was a population with pesticide exposures in the
7 Salinas Valley. And they found no adverse relationship
8 with fetal growth in the pesticide exposure. So
9 there's -- you know, there are -- definitely it meets the
10 screen, but it's not super clear from that, I would say.

11 The animal studies, there are quite a bit,
12 there's quite a number on developmental and repro tox. So
13 I think we could look at that.

14 The studies for pesticide registration, there
15 were three, over the years '71, '83, '87, of course were
16 the standard two and three generation studies, and they
17 were all essentially negative.

18 But there were other ones that did show
19 developmental toxicity primarily along with maternal
20 toxicity. But there were a few that looked like they were
21 not linked.

22 So the most interesting studies to me, and then
23 I'll let other people speak, were the animal models of the
24 behavioral and neural development endpoints. And there's
25 one lab which had, boy, eight studies in there where they

1 have a model of getting neurological and behavioral
2 effects at doses not otherwise toxic to the fetuses. So I
3 found that very fascinating. I don't know how it will be
4 used in our decision, but it is there.

5 So I will come back to my conclusions in a bit.

6 But let's start with the public comments. And we
7 have, again, quite a number. So we will please ask you to
8 stick to the five minutes.

9 The first person is Margaret Reeves, Pesticide
10 Action Network.

11 DR. REEVES: Good afternoon, and thank you for
12 this opportunity to address the Committee. My name is
13 Margaret Reeves. I'm a senior scientist at the Pesticide
14 Action Network. It's an environmental health organization
15 focusing on pesticide issues.

16 We did submit comments. And I'll start by saying
17 we strongly support a prioritization of chlorpyrifos,
18 preparation of chlorpyrifos materials. We appreciate
19 OEHHA's review of the literature and find it fairly
20 compelling in terms of developmental and reproductive
21 toxicity, especially developmental toxicity. And I have
22 two main points I want to make.

23 The first is that we encourage the Committee to
24 take serious consideration of exposure; and that is, given
25 the level and form of use of chlorpyrifos, that result in

1 regular common exposures. Nearly 2 million pounds of
2 chlorpyrifos are used in California, and with the greatest
3 concentration in the Central Valley counties.

4 It's routine application by spray tractor to tree
5 crops and it's relatively high volatility result in
6 substantial drift and drift-related exposures among
7 workers and bystanders. So both workers and people who
8 live in agricultural communities near sites of
9 application.

10 It's also important to note that virtually all of
11 the tested exposures used by regulatory agencies to derive
12 reference doses, whether they're looking at cholinergic
13 effects, as were mentioned, or non-cholinergic effects,
14 fail to include inhalation exposure. So drift is very,
15 very important. Drift exposures is important. Yet most
16 of the studies fail to include drift exposure. And that's
17 largely the focus of our comments that you've received.

18 In our comments we show strong evidence of
19 repeated widespread exposure to chlorpyrifos among
20 residents of agricultural communities. This, together
21 with its documented developmental toxicity, create a real
22 urgency that OEHHA move as quickly as possible to prepare
23 the materials necessary to make a decision for a Prop 65
24 listing; and that these materials should specifically
25 address inhalation exposure or clearly identify the

1 serious data gap. And I think these are one of the
2 examples where there is a serious data gap, despite the
3 fact that I think the data out there are compelling
4 regarding developmental toxicity.

5 And we're also here today -- we are fortunate to
6 be able to hear from some individuals who can talk about
7 exposure in their communities. And so I don't know
8 exactly the order in which we'll hear people speak. But I
9 think that's an element that we don't always get to hear.
10 And I think it's really important that people, that the
11 Committee, that all of us are able to hear from folks in
12 the field and what it really means in their communities.

13 So I thank you very much. And will all -- I can
14 speak for my colleagues, trying to keep our comments
15 short.

16 Thank you.

17 CHAIRPERSON BURK: Thank you.

18 The next person I have on the list is Teresa
19 DeAnda.

20 MS. DeANDA: Good afternoon. My name is Teresa
21 DeAnda and I come from Earlimart, California, in the
22 Central Valley. And they use a lot of pesticides there.
23 I'm trying to focus on chlorpyrifos, because that's what
24 the subject is today.

25 I just -- I really recommend that it be put on

1 the Prop 65 list. I get a lot of calls from people who
2 are exposed. And one person in particular from Tivy
3 Valley where there's orange groves all around said that
4 it's just foggy there with chlorpyrifos that the farmer's
5 spraying. And it's day in -- it's just -- sometimes he
6 sprays in the night, sometimes he sprays in the day,
7 because he's got groves all around. And it seems to just
8 stay in that little area right there.

9 And then I've been doing work with Lindsay, where
10 they had the drift catchers and the biomonitoring, where
11 they found amounts of chlorpyrifos in the drift catcher
12 and also in the bodies of these women and men that
13 participated in the biomonitoring. So it's not staying in
14 the fields.

15 A couple years ago when I heard that they had
16 banned Dursban from homes, I was really glad. I said,
17 "All right, they're not going to use it anymore." And
18 then I found out, no, they're still going to use it in
19 agriculture. So I said, "What's the difference between
20 using it in homes and using it on agriculture?"; where we
21 live across the street, our schools are across the street
22 from these field where it's applied. And so I just really
23 hope that it can be put on Prop 65 list.

24 Thank you.

25 CHAIRPERSON BURK: Thank you.

1 Next, Irma Arrollo.

2 MS. ARROLLO: Good afternoon. My name is Irma
3 Arrollo. I came from a small town, Lindsay, of Tulare
4 County.

5 So my small town it's around for orange trees.
6 And my home is in middle of the orchards. So in
7 these -- this orchard, several times is apply pesticide.
8 These pesticide is -- this chemical is chlorpyrifos. And
9 now we know what effects come from this chlorpyrifos.

10 In this chlorpyrifos, I can smell. I can taste
11 and I can smell many times, many days of the year.

12 So recently we're making a study in our bodies,
13 in the air. And we discover what is contaminated is our
14 air. What the chlorpyrifos is on our bodies during the
15 time with the application. So we are very scared.

16 And now we want this chlorpyrifos, you need to
17 include in the Proposition 65. Because we don't -- this
18 is unacceptable. We live with this in our communities.
19 Because you need to -- you need to make the picture when
20 our communities -- our small communities we live with this
21 every day.

22 So we need to recognize and you need to -- you
23 need to be concerned about this, because every day we have
24 our families, our children will very health problems.

25 So, again, we ask for your concern about this

1 chlorpyrifos and you need to add on Proposition 65.

2 CHAIRPERSON BURK: Thank you. I appreciate all
3 of that.

4 The next person I have is Davis Baltz,
5 Commonweal.

6 No?

7 He had to leave? Okay.

8 How about Anne Katten, CRLA.

9 MS. KATTEN: Hi. Good afternoon. I'm Anne
10 Katten from the farmwork advocacy organization, California
11 Rural Legal Assistance Foundation. I'm an industrial
12 hygienist by training.

13 And I've come today to urge the Committee to
14 proceed with the development of hazard identification
15 materials for chlorpyrifos, because of the very excellent
16 review that OEHHA did of the body of evidence and also
17 because of the very high degree of exposure in many rural
18 areas to farmworkers and rural residents, as you've
19 already heard somewhat about.

20 Use of chlorpyrifos in California, unlike many
21 other organophosphate insecticides, it has not been
22 decreasing in recent years. It's been about 2 million
23 pounds over the last six years or so. And each year there
24 are documented poisonings of farmworkers from exposure to
25 drift or early reentry. Just this past summer, there were

1 two separate incidents in July in Tulare alone, affecting
2 about 100 workers.

3 It's typically applied by aircraft to cotton and
4 alfalfa and some vegetables, and by air blast sprayers to
5 nut and citrus crops. And an air blast sprayer is a
6 ground tractor sprayer with a fan in the back that shoots
7 the pesticide up into the trees. And this probably isn't
8 too surprising: Both those methods do all too often
9 result in drift off-site and exposure to people, as Irma
10 mentioned.

11 The monitoring -- air monitoring conducted by
12 Pesticide Action Network and also monitoring conducted by
13 the Air Resources Board has found exposures -- ambient
14 exposures at levels of concern, especially for children.

15 And then we also have to keep in mind that
16 farmworkers are, you know, the applicators and also field
17 workers reentering fields are directly exposed to
18 residues, particularly I think weeding cotton and weeding
19 vegetable crops that have previously been treated. And
20 the reentry intervals right now, they're set to prevent
21 acute illness rather than any reproductive or
22 developmental effects.

23 Thank you.

24 CHAIRPERSON BURK: Thank you.

25 The next one -- I'm not sure -- Domatila Lemus.

1 Oh, I guess I should have gone in a different
2 order.

3 MS. LEMUS (through Dr. Reeves): Good afternoon.
4 My name is Domatila Lemus. And I'm --

5 MS. KATTEN: I have to get her to speak in
6 shorter amounts.

7 So she's grateful to be here this afternoon and
8 to tell you what her experience is regarding chlorpyrifos
9 use.

10 MS. LEMUS (through Dr. Reeves): When one sees
11 agricultural communities or just sees what the layout is
12 like, you see that there are a lot of farms with olives,
13 citrus, and grapes. Applications are very common and we
14 always see it when they're applying the pesticides.

15 And one minute we're fine, the next minute we're
16 sick. A lot of headache is one of the symptoms.

17 Kids with a lot of problems with cough and
18 asthma, a lot of kids at the school, for example, the one
19 that we have right near our house, it's surrounded by
20 orange groves. And they are often spraying and the kids
21 have to go outside -- I mean they are outside to play and
22 coming to and from school. And they're always breathing
23 those pesticides.

24 And, please, whatever you all can do to help us
25 with this problem. And remember that these pesticides are

1 affecting our kids and that's our future.

2 Thank you.

3 CHAIRPERSON BURK: Thank you. I appreciate what
4 that takes to come and speak in public.

5 Okay. Next we have Christian Volz from McKenna,
6 Long & Aldridge.

7 (Thereupon an overhead presentation was
8 Presented as follows.)

9 MR. VOLZ: Good afternoon, Dr. Denton,
10 Chairperson Burk, and members of the Committee. On behalf
11 of Dow AgroSciences, thank you for the opportunity to
12 address you this afternoon on the reasons why Dow believes
13 that chlorpyrifos should not be selected for priority
14 development of hazard identification materials.

15 We've submitted detailed written comments, which
16 I know that Chairperson Burk at least has read, and I hope
17 you'll all take a chance to read. We won't belabor them
18 in detail today. We'll just give the high points.

19 Next slide, please.

20 --o0o--

21 MR. VOLZ: There'll be three speakers. I'm going
22 to give an overview of the three principal reasons why we
23 think the compound should not be selected for priority
24 development and a discussion about the prioritization
25 process itself.

1 I'll be followed by Dr. Carol Burns, who will
2 address the epidemiology issues. And then she in turn
3 will be followed by Dr. Juberg, who will address the
4 animal toxicity studies.

5 Next slide.

6 --o0o--

7 MR. VOLZ: As an overview, the three principal
8 reasons why the compound should not be prioritized for
9 development of hazard materials are:

10 First, several -- well, chlorpyrifos, as you
11 know, is a major commercial pesticide product. It's been
12 around for more than four decades. And as a result, it's
13 been evaluated and reevaluated continually for all of its
14 human health effects, including specifically potential
15 DART effects. Those studies -- or those evaluations are
16 ongoing and will continue to be ongoing.

17 Several agencies have recently examined the
18 compound and have concluded specifically on the basis of
19 exhaustive reviews of the data that the data do not
20 support a finding that it is a developmental or
21 reproductive toxin.

22 As a matter of priority -- or as a matter of
23 resource allocation, it is extremely unlikely that this
24 Committee would reach a different conclusion reviewing the
25 same data. And, therefore, it should be a low priority to

1 make that exercise.

2 The second point, which Dr. Burns will discuss,
3 is that, contrary to the OEHHA survey and contrary to
4 Chairperson Burk's initial sort of overview, which is an
5 accurate overview of the abstracts, when you actually take
6 a hard look at the epidemiology studies themselves and not
7 just the abstracts, you will see, and Dr. Burns will
8 explain, that they do not in fact support a conclusion
9 that the compound has developmental or reproductive toxic
10 effects. There is not even one, much less two or more,
11 epidemiologic studies of adequate quality that support a
12 conclusion that the compound is a DART.

13 Third, and finally, and again contrary to the
14 abstracts and the way OEHHA has characterized the results
15 of the abstracts, the actual animal toxicology studies in
16 the OEHHA survey that meet Proposition 65's demanding
17 criteria, which is to say studies of adequate scientific
18 quality under generally accepted principles, they do not
19 show DART effects. The studies on the other hand that do
20 purport to show DART effects are studies that don't meet
21 those criteria and that use extreme and unusual routes of
22 exposure and doses, which make their results essentially
23 irrelevant as a risk assessment measure.

24 Next slide.

25 --o0o--

1 MR. VOLZ: Just to expand a little bit more on --
2 well, okay. The OEHHA prioritization process specifically
3 provides, and I quote, "It is unlikely that chemicals will
4 be proposed for CIC or DARTIC review that have recently
5 been reviewed by an authoritative body and found to have
6 insufficient evidence of carcinogenicity or reproductive
7 toxicity, respectively."

8 Because the compound is such an important
9 commercial pesticide, it has been very extensively and
10 very recently reviewed by a number of expert agencies,
11 including one agency recognized as an authoritative body
12 for Proposition 65 purposes. That's U.S. EPA, and
13 specifically the U.S. EPA Office of Pesticide Programs.

14 It has concluded very exhaustive reviews of all
15 the existing toxicology data on the chemical in 2002 and
16 updated in 2006. And as reported in detail in our written
17 comments -- and I won't again -- we'll get into a little
18 more detail later, but not much -- those reviews failed to
19 find sufficient evidence to designate or to describe the
20 chemical as a developmental or reproductive toxin.

21 Similarly, three other agencies which certainly
22 qualify as expert, namely, the European Commission on
23 Classification and Labeling, in 2002; the Australian
24 National Pesticide Registration Authority, in 2000; and
25 California's own Department of Pesticide Regulation, in

1 2001 have completed searching evaluations of the compound
2 specifically including its potential to produce
3 reproductive or developmental toxicity.

4 All of them found that no such designation was
5 justified by the available scientific data.

6 And at the end of the day, I mean the same
7 conclusion is what would be reached by the DART Committee.
8 You'd be looking at the same data that these agencies did.
9 And, you know, we're confident that if you were to be put
10 through that exercise, you would come to that same
11 conclusion. And as a result, the decision that you should
12 make logically today is that it should not be a priority
13 of this Committee to attempt to second guess the
14 conclusions that have been reached by these other agencies
15 looking at all of the data and not just the data in the
16 OEHHA survey.

17 Any questions before I turn it over to Dr. Burns?

18 Thank you.

19 (Thereupon an overhead presentation was
20 Presented as follows.)

21 DR. BURNS: Good afternoon. My name is Carol
22 Burns, and I am a Ph.D epidemiologist educated at the
23 University of Michigan, and I serve as an epidemiologist
24 for the Dow Chemical Company.

25 The purpose of my talking to you today is to just

1 cover the Epi studies and my view on those studies.

2 Next slide.

3 --o0o--

4 DR. BURNS: I think it's important to step back a
5 little bit and consider that sometimes a lack of a
6 negative study doesn't mean there's a lack of evidence.
7 If you look at the history of epidemiology, which is
8 really an observational science, publications in the field
9 were starting in 1920. Research on birth defects, as
10 exemplified by the founding of March of Dimes, started
11 before World War II.

12 Chlorpyrifos itself became registered in 1965.
13 By 1982 epidemiology associations were having annual
14 meetings, discussing issues of the day and priorities for
15 research.

16 Between the time that chlorpyrifos was
17 registered -- I did pub med search from 1966 to 2002 on
18 birth weight and epidemiology. And there are nearly 9,000
19 publications. So it's not for lack of looking that not
20 until 2003 do we see the very first published study on
21 decreased birth weight and chlorpyrifos.

22 So let's look at the studies that are considered
23 today for the OEHHA review.

24 Next slide.

25 --o0o--

1 DR. BURNS: What I did was to put the three major
2 studies. I took the icons from each prospective study to
3 review for you. And if you think about them, they all
4 have a very similar design. They're all mothers and
5 children studies, studies of infants. They all collected
6 either blood or urine to evaluate exposure. And they're
7 all done by highly respected institutions.

8 The one on the top right is the Columbia mothers
9 and newborns study. And there are three publications.
10 But as was mentioned before, they really are all on a
11 similar number of mothers and their infants. Sort of if
12 you consider they -- small, bigger, and biggest by the
13 time they were publishing these studies.

14 The study on the bottom by Berkowitz from Mt.
15 Sinai also had a similar design, collecting data from the
16 mothers and evaluating birth weight and so forth in the
17 children.

18 Now, in the abstract though, however, this should
19 be considered a negative study, because none of the birth
20 endpoints were related to the urinary endpoints with
21 exposure.

22 And, in addition, there was a finding of the
23 paraoxonase enzyme, but that was irrespective of TCP
24 exposure. It was elevated in both -- it was associated
25 with head circumference in both groups. So really that is

1 considered a negative study.

2 And the third study is the one here in California
3 on the Salinas Valley mothers. They're all rural mothers,
4 perhaps similar exposures to what we've heard about. And
5 this study is larger than the Columbia mothers and
6 newborns study, and they show no effects on reproductive
7 outcomes.

8 Next slide.

9 --o0o--

10 DR. BURNS: In your packet we reviewed the
11 critical weaknesses of the Columbia mothers and newborns
12 study. And just really briefly, first of all, we feel
13 that this should be considered a single study. And there
14 are many confounders in this population that we don't have
15 time to go into.

16 Exposure may also have been misclassified. And
17 in general the plausibility of the cause-and-effect
18 relationships are pretty weak.

19 Next slide.

20 --o0o--

21 DR. BURNS: Now you see these three icons again.
22 And the point of these studies is that not only are they
23 looking at the infants, but they're following those
24 newborns through their childhood to look for other
25 effects.

1 And, again, the study on the right, the Columbia
2 mothers and newborns study, published in 2006, was
3 actually negative. The children had no neural development
4 effects at 12 months of age and had no neural development
5 effects at 24 months of age.

6 And interestingly, not listed in the packet is
7 the Ciamaga study, which had very similar endpoints, very
8 similar study design, and showed no neural development
9 effects whatsoever.

10 The Mt. Sinai study has yet to publish on the
11 children as they've aged through their study.

12 Next slide.

13 --o0o--

14 DR. BURNS: So in summary, the epidemiology
15 studies that I viewed do not support the conclusion that
16 chlorpyrifos is a developmental and reproductive toxicant.

17 Those conclude my slides. Do you have any
18 questions?

19 CHAIRPERSON BURK: No. Just one, I guess, where
20 you said that in your previous slide a follow-up, there
21 were no differences. Was that in here? Because I didn't
22 actually -- okay, I see what you're saying.

23 DR. BURNS: There's no results.

24 CHAIRPERSON BURK: They examined cognitive and
25 motor development 12, 24, and 36 months. Okay, I see what

1 you're saying.

2 Do you have -- this is a general question that I
3 was just curious about. They in some of their studies
4 found that after the ban on chlorpyrifos, the residential
5 use, that they didn't see the same results after that. So
6 obviously that's not a study finding. It's just an
7 observation. But do you know why it was banned
8 residentially? Does anyone -- do you know, Poorni?

9 DR. IYER: When U.S. EPA came out with their
10 numbers and their risk assessment on 2002, if you actually
11 go through the entire -- that was around the time just
12 after FDA was passed protecting infants and children, and
13 they had a number of uncertainty factors added on to. And
14 they made the decision -- I guess they did not categor --
15 you know, classify it because the U.S. EPA's not in the
16 business of classifying them as DART.

17 But they made the decision to ban it for
18 residential indoor use.

19 CHAIRPERSON BURK: Okay. But you're saying it
20 wasn't for DART endpoints or it was?

21 DR. IYER: No, they don't state that.

22 CHAIRPERSON BURK: They don't state it. Okay.

23 DR. IYER: But infants and children, there was
24 concern. In fact I think -- I don't have the sheet of
25 paper with me right here. But in their -- there are

1 statements that you can get out of their documents which
2 actually talk about that concern.

3 CHAIRPERSON BURK: Sorry. I probably should have
4 asked that during our discussion. I didn't want to
5 interrupt the speakers.

6 Did you want to say something?

7 DIRECTOR DENTON: Jay Schreider is here, and I
8 know he wanted to make a statement about the -- something
9 that was said previously. So maybe you could address the
10 same question.

11 DR. SCHREIDER: Sure, I'll try and address both
12 of them.

13 I'm Jay Schreider. I'm a toxicologist with the
14 Department of Pesticide Regulation.

15 I think one of the primary movers for the banning
16 of the residential or the home use I think related to the
17 cholinesterase inhibition and the effects that was -- the
18 residues they were finding in the home with the kids.

19 They addressed some of these other issues, but I think
20 that was probably one of the primary movers.

21 The other thing I wanted to correct is in fact
22 that DPR has looked at chlorpyrifos. At the current time
23 we've got it in risk assessments, so it's probably -- or
24 it is in this a little bit of an overstatement to indicate
25 that we'd reached conclusions about the reproductive

1 toxicity. The risk characterization is going on at this
2 point. That's one of the considerations. And I'm not
3 saying it should or shouldn't be considered for listing.
4 But it's currently under review by us and both DPR and, in
5 fact, Office of Pesticide Programs have expressed an
6 interest in if it is decided to develop a hazard
7 identification document to work with OEHHA directly in
8 developing that document.

9 CHAIRPERSON BURK: Thank you.

10 This should be Dr. Juberg.

11 DR. JUBERG: It's actually Daland Juberg, yes.

12 Next slide.

13 (Thereupon an overhead presentation was

14 Presented as follows.)

15 DR. JUBERG: My name is Daland Juberg. I'm a
16 toxicologist with Dow AgroSciences. And I appreciate the
17 opportunity to speak before OEHHA and the DART Committee
18 today, particularly just focusing on one particular aspect
19 and, that is, data quality.

20 You have our submitted comments, which I
21 appreciate the Committee's understanding and recognition
22 of.

23 Next slide.

24 --o0o--

25 DR. JUBERG: And when I say data quality, I think

1 Six had no information included on route of
2 exposure.

3 And I fully recognize that these are just at the
4 abstract stage. But I'm a believer in data quality at all
5 stages.

6 Six had no information on route of exposure, as
7 mentioned.

8 Four had no information on dosing regimen. And,
9 in fact, I took the time to go beyond the abstracts. And
10 fully more than half use routes of exposure not relevant
11 to evaluation of developmental or reproductive toxicity.
12 They use subcutaneous exposure and intraperitoneal
13 exposure, neither of which are used in standard
14 developmental or reproductive toxicology testing.

15 Of the 21, only 5 used an appropriate design.

16 And let me speak to those 5.

17 Next slide, please.

18 --o0o--

19 DR. JUBERG: These were design studies that did
20 use appropriate routes, all oral gavage, which is a
21 standard methodology for evaluation of developmental
22 toxicity. One included dietary exposure, which is the
23 standard when evaluating reproductive toxicity.

24 These five studies and the italic conclusions are
25 not my conclusions. These are author conclusions.

1 or nine studies that used that. That's a major confounder
2 that we have to weigh.

3 Appropriately designed studies do not indicate
4 that chlorpyrifos is a developmental or reproductive
5 toxicant.

6 And this is a conclusion that has been alluded to
7 earlier by Mr. Volz: That regulatory authorities and
8 expert panels worldwide have looked at this exhaustively,
9 extensively and do not consider chlorpyrifos to be a DART.

10 My last concluding statement then.

11 --o0o--

12 DR. JUBERG: Neither the epidemiological nor the
13 animal data support prioritization of chlorpyrifos for
14 consideration as a DART.

15 Thank you. And I'd be happy to take any
16 questions of the panel.

17 CHAIRPERSON BURK: I guess I don't see any
18 questions.

19 This is a somewhat difficult one for me. You
20 know, again I'm limiting myself to the abstracts. But I
21 am aware of, you know, some of these criticisms of the
22 studies. And certainly if we were to go ahead and
23 recommend this and look at it, we would look closely at
24 the study designs, routes of exposures, and all that.

25 So the question I think I'm asking myself is: Is

1 there a sufficient data here for us to consider? And not
2 saying what the decision would be. But, you know, somehow
3 I feel that it is our responsibility to independently take
4 a look at the data.

5 So I'm not pushing one thing or the other on the
6 group. And I'd be curious to hear from anybody else as to
7 their opinion.

8 COMMITTEE MEMBER JONES: I must say I'm intrigued
9 by this study by Sherman of the -- I'm intrigued by the
10 study by Sherman, which clearly is not an epidemiologic
11 study, in which they -- or he or she documents four
12 children with what is described, without reading the
13 paper, as a pattern of malformation. And that's --

14 CHAIRPERSON BURK: I know. And I almost looked
15 it up. But I was trying to sort of play by the rules.
16 And so, you know, I just put it in the list as another
17 intriguing thing that I thought would be interesting to
18 look at.

19 The other thing that is very intriguing to me,
20 but I don't know that we'd be able to tease it out, are
21 the neural and behavioral effects, because it's something
22 that -- you know, I don't know that it shows up in the
23 standard multi-generation studies that we look at for
24 developmental tox. But here you do have an Epi study with
25 it and then you have a bunch of animal studies that look

1 at it sort of with a plausible mechanism.

2 COMMITTEE MEMBER JONES: Are you talking -- the
3 Epi study, you're talking about the Rauh study published
4 in Pediatrics?

5 CHAIRPERSON BURK: Yes.

6 COMMITTEE MEMBER JONES: Yeah, it looks pretty
7 darn good, doesn't it?

8 CHAIRPERSON BURK: It does. And with the, you
9 know, animal back-up it's -- at least to me it seems like
10 it's worth taking a look at.

11 Again, I don't want to waste, you know, people's
12 time doing something that many other authorities have
13 looked at. But I kind of feel it's our responsibility to
14 independently look at these things. So that's just my
15 opinion.

16 Are there any other comments?

17 Yes. Please come forward.

18 DR. BURNS: Sorry. If I may address the panel
19 again.

20 I think in talking to the Sherman study, there's
21 also another case report study. And it was my
22 understanding that case reports were not studies of
23 adequate quality. There's lots to be said about case
24 reports and their value to physicians and alert physicians
25 coming forward. But they may just be something you see

1 that's coincidence and it's not analytical research,
2 despite how interesting it may or may not be.

3 And I think the important thing to keep in mind
4 with the Rauh study, however interesting it may be as
5 well, there's another study, designed the same, larger,
6 that didn't support those conclusions. I think it's
7 important to look at them together.

8 COMMITTEE MEMBER JONES: I must say I would take
9 exception to the fact that four children, all exposed to
10 the same drug, all of whom have a pattern of malformation,
11 all exposed to this insecticide, that that's not
12 analytical. Maybe from the standpoint of an
13 epidemiologist it's not. But from the standpoint of a
14 dysmorphologist it is. Very, very, very important.

15 COMMITTEE MEMBER KLONOFF-COHEN: I also want to
16 just ask quickly before you left. Sorry.

17 The Rauh study -- you're dismissing the Rauh
18 study because there's a larger study that -- I'm sorry, I
19 don't know which study you're referring to. But are you
20 dismissing the Rauh study for any inherent weakness of the
21 study itself or just because there's another study out
22 there that's got divergent findings?

23 DR. BURNS: Well, no. In the interests of time I
24 didn't think it was appropriate to go through what we had
25 written as the weaknesses. But you had mentioned in

1 earlier discussions this morning that a bigger study
2 should be given more weight than a smaller study. And so
3 I thought it was important to comment that --

4 COMMITTEE MEMBER KLONOFF-COHEN: Oh, no. I
5 didn't say a bigger study was given more weight. I just
6 said that one of the strengths of the studies that I was
7 reviewing was that it had a larger sample size with
8 striking findings. They adjusted for a lot of
9 methodologic strengths, including sample size.

10 DR. BURNS: I didn't mean to mischaracterize you.
11 I'm sorry.

12 COMMITTEE MEMBER KLONOFF-COHEN: So I guess --
13 I'm just looking at the Rauh study just because Dottie had
14 said something. And actually I thought it was -- it looks
15 like it's a well done study. So I was just wondering what
16 you were taking --

17 DR. BURNS: Well, I think it's interesting in the
18 study itself that the average IQ of the women in the study
19 is 80. And at one year of age half of the children
20 already have neural developmental delays. And so then to
21 characterize it -- there is no relationship with the
22 maternal blood chlorpyrifos levels at 12 months, there's
23 no association at 24 months, but that biologically that
24 becomes plausible at 36 months, when they already had
25 problems compared to standards. I'm just saying that

1 there are other studies that show differences.

2 DR. MATTSON: Just a very quick comment about
3 Sherman's report on the --

4 CHAIRPERSON BURK: Would you identify yourself
5 again.

6 DR. MATTSON: Yes. Excuse me. I'm sorry.

7 Joel Mattson. I am an ex-employee of Dow
8 AgroSciences, now a consultant to them. A toxicologist
9 for a really long time.

10 CDC has reviewed those cases and has concluded
11 that there is no basis for concluding that they're related
12 to chlorpyrifos exposure. And so that's published and can
13 be gotten to you.

14 COMMITTEE MEMBER JONES: And what are they
15 related to?

16 DR. MATTSON: I don't know that CDC can
17 determine. All they did was review Dr. Sherman's
18 presentation and materials and said there was no basis on
19 that, and felt sufficiently motivated that they published
20 a -- I don't know if it was a letter to -- it was a number
21 of years ago, you'll notice. And I'm remembering back.
22 But she wrote that. CDC reviewed it because it's a
23 significant allegation. And CDC found no scientific basis
24 for the allegation.

25 COMMITTEE MEMBER JONES: Okay. Is our -- can you

1 find that for us, CDC's report? And maybe you could --

2 DR. MATTSON: We can provide it to you.

3 DR. REEVES: If I may. Margaret Reeves again,
4 Pesticide Action Network.

5 I wanted to draw your attention to one piece in
6 our comments that -- this is in reference to the listing
7 of authorities who consider -- who have presumably
8 decided, including U.S. EPA, to register chlorpyrifos and
9 therefore recognizing that it's not a developmental
10 toxicant.

11 I want to draw your attention to the comment --
12 the letter written to Steven Johnson in May of '06 from
13 EPA staff scientists, specifically in opposition to that
14 decision from EPA, specifically based on their
15 considerations of the literature over many, many years
16 that it is in fact developmental toxicant. And it's their
17 concern for that that led them to write this letter in
18 opposition to the EPA decision to go ahead and register
19 chlorpyrifos. So you can check that out from the
20 comments.

21 Thank you.

22 CHAIRPERSON BURK: Okay.

23 MS. ARROLLO: Yes, I want to add my comment. And
24 apparently I don't understand on many technical parts.
25 But I just I want to say something.

1 So you need to put a consideration that really to
2 our lives because we are exposed to this chlorpyrifos in
3 our communities. And I know for many years make this kind
4 of studies. So I think we have the right to know what is
5 happening with this study, saying we need to know what
6 these chemical affects our lives.

7 And we need to know science on something and what
8 that kind of chemical is. Because all the time we talking
9 about the short -- the effects for short times and long
10 terms. So now we live the long-term affects our health.
11 So now it's time we need to know what is happening with
12 this chlorpyrifos. So you need to put in consideration
13 our lives in our communities.

14 Thank you.

15 CHAIRPERSON BURK: Okay. Comments?

16 COMMITTEE MEMBER WHITE: I'll make a pretty quick
17 comment.

18 We do know many things here, but we know three
19 things for sure: We have abstracts, we have literature
20 that's been refuted, and we have a community of people who
21 are living in a chemical fog.

22 Because of those three things, I would make the
23 recommendation that we take a closer look as a body, that
24 we look deeper into the literature. We can look at the
25 abstracts or read the abstracts and draw a pretty

1 significant conclusion, maybe even on either side. And
2 being told that the literature really isn't conclusive
3 enough is not good enough for me, when we have a group of
4 people here who live in the middle of that chemical fog.
5 We need to take a closer look at the literature just to
6 see if it's even worth it to present it for eventual
7 listing. We're not here for that. But I think it would
8 be worth it to take a look at the literature as an
9 independent body and see where we can go from there.

10 CHAIRPERSON BURK: Any other comments from the
11 Committee?

12 Are we ready to take our poll?

13 Okay. Do you advise OEHHA to begin preparation
14 of the hazard identification materials for chlorpyrifos?

15 All those advising yes, please raise your hand.

16 (Hands raised.)

17 CHAIRPERSON BURK: 1, 2, 3, 4, 5, 6 -- 7.

18 Okay. So it's unanimous.

19 Thank you.

20 Let me get back to my schedule. Oh, I have too
21 many papers here and I'm confused.

22 No, I know. I was just seeing. It's three
23 o'clock. We still have -- that's all right. We're going
24 to just keep going.

25 The next one is chromium hexavalent. And the

1 staff presentation will be given by Dr. Mari Golub.

2 (Thereupon an overhead presentation was
3 Presented as follows.)

4 DR. GOLUB: Thank you, Dr. Burk. I'm Mari Golub
5 and I'm presenting the extent of the evidence available
6 for prioritization of hexavalent chromium, or Chromium 6.

7 Chromium 6 is used as a colorant agent in dyes,
8 paints, and inks. It's used as an anti-corrosive agent
9 surface coatings and in electroplating baths.

10 Occupational exposures occur in some kinds of welding and
11 in chromium sulfate manufacture.

12 --o0o--

13 DR. GOLUB: There are five epidemiologic studies
14 reporting increased risk of adverse developmental or
15 reproductive outcomes. They involve occupational exposure
16 of men in Denmark, China, and India, and use endpoints
17 such as sperm parameters, hormones, and partners
18 spontaneous abortion. All five are analytical studies of
19 adequate quality.

20 And there are eight studies reporting no
21 increased risk of adverse developmental or reproductive
22 outcomes.

23 --o0o--

24 DR. GOLUB: There are 20 animal studies reporting
25 developmental or reproductive toxicity. Many of these use

1 sperm and testes endpoints in species such as rats, mice
2 and monkeys. There are also studies of developmental
3 toxicity and of other reproductive toxicity.

4 There are three animal -- abstracts of
5 unpublished animal studies reporting developmental
6 toxicity and one study that did not report developmental
7 or reproductive toxicity in animals.

8 And that concludes my presentation on hexavalent
9 chromium.

10 CHAIRPERSON BURK: All right. That's straight
11 and to the point.

12 COMMITTEE MEMBER JONES: As always.

13 CHAIRPERSON BURK: Yes. Thank you.

14 And this is the one that I was assigning to Carl
15 Keen. But since he's not here, I'll just put in my two
16 cents, which pretty much echoes what we just heard.

17 And I will say right upfront that there's no one
18 signed up to speak one way or the other.

19 Oh, there will be one?

20 Oh, I didn't -- I guess maybe there was, but it
21 didn't get printed out on any -- well, anyway, I'll let
22 you talk.

23 I'll just say a few things. As you heard, there
24 are a number of Epi studies. They are focused on -- I
25 learned a lot from reading these -- stainless steel

1 welders and their semen quality. So it's occupational
2 exposure.

3 These are backed up with quite a large number of
4 animal studies, a number of which are on male parameters.
5 That seems to be the biggy here.

6 The positive findings are on sperm morphology,
7 concentration, motility, counts, FSH levels. And this is
8 across several countries. And there was also one
9 interesting one on possible male mediated spontaneous
10 abortion in stainless steel welders and not in the other
11 welders, with some suggestion of mutations being possible.

12 There were also negative Epi studies, some done
13 by the same investigators but in, you know, slightly
14 different populations. And I do think there are -- for
15 example, one was done in male mediated spontaneous
16 abortions in the wives of welders that were undergoing in
17 vitro fertilization, you know. So slight differences on
18 the theme.

19 And also probably lower exposures in some of
20 these. I have a feeling that exposure levels are playing
21 a role here.

22 Anyway, so I guess my conclusion, there seem to
23 be enough studies to look at at least male effects for
24 positive. And there are also positive developmental tox
25 assessments in rats and in mice. Although I'm not quite

1 sure about the study designs on those and whether there
2 was maternal toxicity and so forth. They're not the
3 traditional type of studies that we like to look at.

4 So without having much idea about the quality of
5 some of these studies and not hearing many comments to
6 mull over either, I would say that there are sufficient
7 number of studies of humans backed up with numerous animal
8 studies, particularly focused on male reproductive
9 toxicity, and it would be enough to warrant consideration
10 for us to, you know, go forward with a hazard
11 identification document preparation.

12 Would you like to come up and make your comment
13 now?

14 MS. SHARP: Hello again. I'm Renee Sharp with
15 the Environmental Working Group. And I think that it's
16 pretty clear that there's enough occupational-related
17 studies to warrant a closer look.

18 But I also want to make the panel aware of some
19 of the broader context. And that is -- I mean granted
20 there are exemptions for drinking water chemicals. But
21 hexavalent chromium is a chemical that's found in drinking
22 water widely around California.

23 And it's also sort of interesting to think about
24 the national context, because right now the EPA has a
25 federal standard for total chromium. And that was based

1 on certain assumptions about the proportion of hexavalent
2 chromium to Chromium 3. And when OEHHA started looking
3 into hexavalent chromium for a public health goal, and
4 subsequently the drinking water providers around the state
5 started actually testing for hexavalent chromium, they
6 actually realized that a portion of hexavalent chromium to
7 Chromium 3 was a lot higher than they expected. It's
8 probably true around the country. And it's probably true
9 that the EPA's standard is probably really too high.

10 And I realize that this is not the panel's, you
11 know, job to sort of -- this is not the reason why they
12 would go ahead with a prioritization of this chemical.
13 But I'm just saying that it would be really helpful if
14 OEHHA were to look at the data and devolve the hazard --
15 sorry, I speak too fast -- hazard identification document,
16 because it would be also -- it would be helpful to inform
17 the EPA and those of us in the, you know, public health
18 advocacy community, you know, who are concerned about this
19 chemical in drinking water.

20 So thanks.

21 MS. COX: Could I make a quick comment?

22 CHAIRPERSON BURK: Yes, certainly. Come forward.

23 MS. COX: My name is Carolyn Cox and I'm with the
24 Center for Environmental Health in Oakland.

25 And I just wanted to speak about hexavalent

1 chromium because it seemed like it hadn't gotten a whole
2 lot of public comment.

3 And one of the things I did to prepare for this
4 meeting was just look at brand new research that's just
5 been published in the last few months, with the idea that
6 if there's new research being published about one of these
7 chemicals, that's strong support for the idea that OEHHA
8 should go ahead with a more extensive study of whatever
9 the chemical is.

10 So with Chromium 6 there's an interesting new
11 paper where the European community looked at effects on
12 embryonic stem cells and found that Chromium 6 is toxic to
13 those stem cells. And it doesn't directly show
14 developmental and reproductive toxicity, but it certainly
15 indicates that it has that kind of potential. I thought
16 it was worth considering.

17 Thanks.

18 CHAIRPERSON BURK: Another public comment?

19 Yes.

20 DR. TARDIFF: Thank you. Again, I'm Bob Tardiff
21 with the Sapphire Group. And in this particular set of
22 comments I don't represent any organization but my own.

23 I find it a bit disturbing that given all of the
24 information that we have about hexavalent chromium
25 ingested, that we would be pressing ahead to try to show

1 that it's a reproductive and developmental toxicant. It
2 just doesn't make sense, because what we do know is that
3 this compound when ingested gets converted to trivalent
4 chromium, which barely gets absorbed. And if it does, it
5 doesn't have any toxic potential whatsoever. It gets
6 mixed up with the normal background of hexa -- or
7 trivalent chromium that we obtain in the diet.

8 That information is readily available. It wasn't
9 alluded to by the earlier presenters in this regard. It
10 should completely dismiss any particular consideration of
11 that. If you want to talk about hexavalent chromium
12 inhaled, which is really an occupational issue, that's a
13 separate matter. But I think we're talking about an
14 environmental exposure; and as one of the commenters
15 mentioned, concern about drinking water. There's just
16 enough empirical evidence that you shouldn't have any
17 concern about that and you shouldn't be trying to put this
18 in a high priority as a result.

19 Thank you very much.

20 MS. SHARP: Sorry, I had to respond. I only
21 used, you know one minute of my five minutes anyway.

22 Well, with regards to, you know, whether you
23 should be concerned about, you know, drinking water and it
24 being converted to trivalent chromium, that's absolutely
25 true; it is converted, at least most of it. But, you

1 know, as we know, it's -- the point that it is converted
2 doesn't mean it's not toxic, right, because it can be
3 around in the body and then it can be doing damage and
4 then it can be converted. So that was point number one.

5 Then point number two is that there was a recent
6 study done by -- I want to say National Resource Council,
7 but that's not actually it. But it was a federal study
8 that essentially looked at rats that ingested hexavalent
9 chromium through drinking water, and they found that
10 essentially it was carcinogenic in at least a couple of
11 different ways.

12 So given that was a very strong finding, I have a
13 hard time believing that the fact that it's converted to
14 trivalent chromium is -- you know, just make it not an
15 issue.

16 DIRECTOR DENTON: Just from OEHHA's perspective,
17 we have been in the process of revising and looking at a
18 PHG for hexavalent chromium. And I think it's quite
19 evident, at least from what we've seen, is that the debate
20 is not over as far as carcinogenicity conversion and so
21 forth. So there's still information continuing to come
22 out about that and will continue for some time.

23 CHAIRPERSON BURK: Are there any other comments
24 from the Committee?

25 COMMITTEE MEMBER HOBEL: I'd like to make a

1 comment.

2 I think that is really interesting subject. And
3 there are several papers that --

4 THE REPORTER: Can he speak into the mike.

5 COMMITTEE MEMBER HOBEL: Sorry.

6 I find this paper -- or this subject very
7 interesting, and there's several papers that I think are
8 really relevant. First of all, I think that in terms of
9 inhaled toxicant, this is -- there's one paper here that
10 suggests it's related to spontaneous abortion. And in the
11 animal studies, it suggests that this could be a male
12 factor that leads to increased risk of abortion by
13 affecting spermatogenesis. And this issue that just came
14 up recently about stem cells is also I think very
15 interesting.

16 And, number three, this is one of the few where
17 it's been mentioned in animal studies and in human studies
18 that the effect is through oxidation, and antioxidants may
19 eliminate the effect of this. So this is one area where
20 there is a potential solution to the problem of those who
21 have inhaled exposure.

22 So I think this is very important and needs to be
23 addressed.

24 CHAIRPERSON BURK: Thanks.

25 Okay. So are we ready for the next poll? I'm

1 getting faster now.

2 All right. Do you advise OEHHA to begin
3 preparation of the hazard identification materials for
4 chromium hexavalent?

5 All those advising yes, please raise your hand.

6 (Hands raised.)

7 CHAIRPERSON BURK: 1, 2, 3, 4, 5 -- 6.

8 And Linda is recusing herself.

9 COMMITTEE MEMBER ROBERTS: (Nods head.)

10 CHAIRPERSON BURK: Okay. Put down six and one
11 recused.

12 All right. Next on the list is DDE. And this
13 will be presented by Farla Kaufman again.

14 (Thereupon an overhead presentation was
15 Presented as follows.)

16 DR. KAUFMAN: Thank you. As Dr. Burk said, my
17 name is Farla Kaufman and I'm presenting the extent of the
18 evidence available for the prioritization of
19 dichlorodiphenyl-dichloroethylene, otherwise known as DDE.

20 DDE is the initial and predominant environmental
21 breakdown product of dichlorodiphenyl-trichloroethane,
22 rather known as DDT. DDT was banned in the U.S. in 1972.
23 It's still used in other countries, mostly for controlling
24 malaria.

25 DDE, like DDT, is a persistent organochlorine

1 pollutant. DDE is also a biological metabolite of DDT.
2 Most exposure to DDE in this country comes from the diet.

3 --o0o--

4 DR. KAUFMAN: The epidemiologic data includes 38
5 studies reporting increased risk of adverse developmental
6 or reproductive outcomes. These include a wide range of
7 studies from many different countries. Most of the
8 studies measured biological levels of DDE, with only a few
9 of these being occupational studies.

10 The wide range of outcomes included preterm
11 birth, neuro developmental delays, altered hormone levels,
12 changes in menstrual cycles and serum quality, and asthma.

13 Two meeting abstracts were also reporting
14 increased risk.

15 Thirty-three studies reported no increased risk
16 of adverse outcomes.

17 Two meeting abstracts reported no increased risk.

18 There were four studies that were unclear, six
19 studies that were deemed related, and one study without an
20 abstract.

21 --o0o--

22 DR. KAUFMAN: The animal data shows four studies
23 reporting developmental or reproductive toxicity. These
24 included effects on the development of the male
25 reproductive tract and sperm production.

1 a significant correlation with respect to development and
2 reproductive health as it pertains to DDE in the diet in
3 this country.

4 There were several studies, when I read the
5 studies on no correlation between development and
6 reproduction, seemed to be stronger in their conclusions,
7 with less attention paid to "maybe," "could have," "might
8 suggest." So I thought the studies on DDE with respect to
9 their not being a correlation were actually stronger.

10 If you look at the animal studies, the animal
11 studies quite interestingly enough supported the male
12 reproductive studies in humans.

13 So the question becomes for me: Is there enough
14 conclusive evidence in these abstracts that we read to
15 warrant even considering this particular chemical?

16 And in reading through other countries -- about
17 other countries with respect to a cognitive development,
18 with respect to higher concentrations and sperm motility,
19 with respect to asthma, I think any organophosphate that
20 any child is exposed to can be a problem with respect to
21 asthma. One study looked at the prenatal exposure and
22 asthma, but that was at a higher level of exposure with
23 respect to asthma.

24 But the studies that refuted a lot of these
25 positive studies were just -- they just seemed to be more

1 compelling to me as well.

2 So for us to consider listing DDE, period, or
3 even considering it, period, just seems like -- I would
4 rather see other chemicals that we've already discussed
5 placed in the forefront, because there's -- these studies
6 are just too confusing with respect to this being a DART
7 chemical to even recommend for listing.

8 But that was from me. I was confused after
9 reading all of the studies. Because at first I thought,
10 okay, why don't we go ahead and consider this. But then
11 when I went further in to some of the other abstracts, I
12 thought, wait a minute, this is way too confusing.
13 There's too many assumptions made in the abstracts. Maybe
14 in looking more at the studies, it may be more conclusive.
15 But it was -- they were just all over the map with
16 suggesting possibilities and not concrete evidence for
17 this particular chemical to be placed higher on the list.

18 And those are my thoughts. I've read them. I've
19 highlighted them in every color imaginable.

20 (Laughter.)

21 CHAIRPERSON BURK: Were there any public comments
22 on DDE?

23 Okay. I didn't receive any.

24 So I guess we'll open it up to the others on the
25 Committee for comments.

1 COMMITTEE MEMBER HOBEL: Yes, I found this
2 somewhat confusing initially also. But I think this is a
3 good example of the whole issue of timing and this issue
4 of fetal programming. Because I think when you begin to
5 put all the pieces together, it's a complex puzzle, but
6 there's endocrine disruption; it affects a person
7 preconceptually; it affects the fetus in utero, which then
8 programs the fetus to have, and as a child to have, and as
9 an adolescent to have menstrual cycle dysfunction. It's
10 associated with preterm birth. It's associated with
11 increased risk for abortion.

12 So it seems to have an effect throughout the life
13 course of events. And because of that, I think more time
14 and effort should be spent in trying to sort all this out
15 and find out exactly when and at what time is this really
16 important both in males and females.

17 COMMITTEE MEMBER WHITE: There was actually one
18 study that drew my attention. I actually -- the abstract
19 caught my attention. And that is the transplacental and
20 lactational transfer of DDE in Sprague-Dawley rats. And
21 what the authors looked at, which was quite interesting,
22 was the concentration of DDE in adipose tissue. And I
23 thought that was quite interesting, because any particular
24 chemical that is lipophilic that can actually be mobilized
25 from fat storage sites, et cetera, to create an effect

1 such as that of the fetus would be quite interesting to
2 take a look at. I think that particular study caught my
3 attention primarily because of the fact that if this
4 particular chemical is mobilized from fatty tissue in both
5 the fetus and in the fetal tissue and in the maternal --
6 they also looked at maternal tissues as well -- that would
7 be quite interesting, because it could have more
8 far-reaching effects throughout the life of the fetus.
9 And I think that would be quite interesting there.

10 But it needs more time. I would agree. We need
11 more time and more attention to sort out the confusion.

12 COMMITTEE MEMBER KLONOFF-COHEN: I'm just looking
13 through this really quickly. It looks like there's six
14 studies that talk about impaired seminal parameters in
15 men, sperm motility - numbers 1, 11, 14, 32, 60, and 78.

16 CHAIRPERSON BURK: Yes, I noticed that too. I
17 mean there are patterns in here. It's not totally --

18 COMMITTEE MEMBER KLONOFF-COHEN: Right.

19 CHAIRPERSON BURK: And also the --

20 COMMITTEE MEMBER KLONOFF-COHEN: Three studies on
21 decrements in estrogen and progesterone. Yeah, there are
22 groups of studies where they find significant findings.
23 So maybe to group it by associated problems might be a way
24 to go.

25 CHAIRPERSON BURK: Yeah.

1 Any other comments?

2 Any more comments, Linda?

3 Oh, you're up more on endocrine disrupting
4 chemicals, aren't you?

5 It's something we haven't dealt with much before,
6 so it would be a novelty, I mean particularly looking at
7 things like, you know, age at menopause and age at
8 menarche and things like that -- irregular cycles. A lot
9 of hormonal type of effects.

10 All right. Well, are we ready for the poll on
11 this one?

12 Could I ask something first before that? Which
13 is just kind of a general question.

14 If we were to consider this and list it, how
15 would it possibly be warned against?

16 I know that's not our job, but --

17 CHIEF COUNSEL MONAHAN-CUMMINGS: Well, I think --
18 what I understood was that the exposures are coming
19 through food. So what you'd have to look at is whether or
20 not there's an exposure that's high enough in some food
21 source. And if that was the case, then -- it doesn't
22 matter how it got there so much as -- you know, when
23 you're looking at warnings, you'd have to look at whether
24 an exposure, you know, is high enough to trigger a warning
25 requirement.

1 So the fact that it's not used here and things
2 like that, it doesn't make a lot of difference in that
3 regard. You're looking at the exposure.

4 CHAIRPERSON BURK: Okay.

5 COMMITTEE MEMBER WHITE: So then I guess the
6 question would be: What would be the food sources? What
7 would be the likelihood of the exposure? And I didn't
8 garner that from anything I read. So --

9 CHIEF COUNSEL MONAHAN-CUMMINGS: Once again, it
10 kind of goes back to the discussions we were having
11 before, is that it ends up being something that's
12 considered much further down the road, you know. I think
13 that we have kind of some initial ideas about where the
14 exposures might be coming from, but at this point we
15 wouldn't be able to say.

16 CHAIRPERSON BURK: All right. Well, I'll read
17 the question again.

18 Do you advise OEHHA to begin preparation of the
19 hazard identification materials for DDE?

20 All those advising yes, please raise your hand.

21 (Hands raised.)

22 CHAIRPERSON BURK: 1, 2, 3, 4, 5, 6 -- 7.

23 All right. Some of those hands were a little
24 slow in coming up, but --

25 (Laughter.)

1 CHAIRPERSON BURK: All right. So making
2 progress.

3 The next chemical is Methylisocyanate.

4 And again the staff presentation will be by Dr.
5 Poorni Iyer.

6 (Thereupon an overhead presentation was
7 Presented as follows.)

8 DR. IYER: Good afternoon. And, again, my name
9 is Poorni Iyer. And I'm going to be presenting the extent
10 of the evidence available for the prioritization of
11 methylisocyanate, or to refer as MIC.

12 Methylisocyanate is used in the production of
13 pesticides and plastics. And in the material provided at
14 the Committee it was mentioned that MIC was used in
15 polyurethane foam. But it was brought to our attention
16 that that is not the case, and so we removed that from the
17 exposure.

18 Exposure is generally via occupational sources or
19 through environmental release.

20 --o0o--

21 DR. IYER: There were seven epidemiologic studies
22 of methylisocyanate reporting increased risk of adverse
23 developmental or reproductive outcomes. And these were
24 all related to the environmental release of MIC some 23
25 years ago in Bhopal, India. The adverse outcomes included

1 the incident in Bhopal. And it's a little bit hard to
2 tell if they're the same people or different people.

3 And I also stuck to the rules. I just looked at
4 the abstracts. So I'd like to see more before I make any
5 judgments.

6 But I think by and large they're showing
7 consistent results with regard to fetal loss. And there
8 are some other outcomes of interest as well.

9 And I think at this point that's about all I'd
10 want to say. I mean I think the animal studies are
11 supportive as well largely.

12 CHAIRPERSON BURK: Again, I don't have any cards.
13 Was there anyone that wishes to speak on this one from the
14 public?

15 No?

16 All right. Are there any other comments from the
17 Committee?

18 COMMITTEE MEMBER JONES: So in terms of exposure
19 in California, where --

20 COMMITTEE MEMBER GOLD: Are you asking me?

21 COMMITTEE MEMBER JONES: Yeah.

22 COMMITTEE MEMBER GOLD: I didn't see anything in
23 the abstracts. These are all pretty much restricted to
24 the incident in India.

25 COMMITTEE MEMBER JONES: Do we know anything

1 about that?

2 DR. IYER: Well, other than, you know, it's one
3 of the intermediate products for MIC -- for metam sodium,
4 which is a pesticide. And it's during -- that can break
5 down to MIC. But I'm not too sure exactly as far as -- we
6 have to look more into the exposure aspects how it would
7 actually affect Californians.

8 COMMITTEE MEMBER GOLD: Just in response to that,
9 I think there were some things in the public comments that
10 dealt with the likelihood of it being an intermediate
11 product in some of the processes in California.
12 Possibility for exposure there was all.

13 DR. IYER: Actually metam sodium breaks down to
14 MITC, not MIC. And that's always a confusion.

15 CHAIRPERSON BURK: So that wasn't the -- okay.
16 That wasn't it.

17 Do you know in -- I mean I don't know that much
18 about the Bhopal incident. Were they making that there or
19 was that again -- with the accident, was that just a
20 byproduct of something else?

21 COMMITTEE MEMBER GOLD: Yeah, they were making
22 pesticides there. And this was a byproduct of the
23 process.

24 DR. IYER: Yeah. And it was stored in a huge
25 tank.

1 CHAIRPERSON BURK: Okay. Let's have something
2 from the public.

3 DR. SCHREIDER: Maybe a little bit of
4 clarification. Again, Jay Schreider, Department of
5 Pesticide Regulation.

6 When metam sodium breaks down to produce MITC,
7 which is really the active ingredient for the fumigation,
8 there is a small pathway. There is some MIC produced.
9 The majority of it is MITC, but there is some amount of
10 MIC produced and a few other similar chemicals.

11 CHAIRPERSON BURK: Is there anything else anyone
12 wants to add? This one is kind of different maybe since
13 we don't know -- there's not as many studies. Do you
14 think there are enough, if we looked at them closely -- my
15 fear is that if they're all a high dose that seems to be
16 clearly associated with spontaneous abortions, that we
17 won't be able to -- we'll be able to say I guess that it
18 caused --

19 COMMITTEE MEMBER GOLD: Well, actually there's
20 some discussion of that even in the abstracts, so that
21 they looked at people that were at different distances and
22 protection and so forth. And so I think with further
23 inspection you could learn a bit more about dose response
24 and that sort of thing, hopefully.

25 CHAIRPERSON BURK: Okay. Well, it doesn't seem

1 like it would be too difficult to get the literature
2 together at least.

3 All right. If there are no further comments,
4 we'll poll this one.

5 So, do you advise OEHHA to begin preparation of
6 the hazard identification materials for methylisocyanate?

7 All those advising yes, please raise your hand.

8 (Hands raised.)

9 CHAIRPERSON BURK: Is yours up, Hillary?

10 COMMITTEE MEMBER KLONOFF-COHEN: No.

11 CHAIRPERSON BURK: Okay. She's still thinking?

12 All right. 1, 2, 3, 4 -- I see 5.

13 Okay. All those advising no, please raise your
14 hand.

15 (Hands raised.)

16 CHAIRPERSON BURK: I see one. Okay.

17 And one undecided, huh? Okay.

18 COMMITTEE MEMBER KLONOFF-COHEN: I think the
19 reason I'm undecided is because when I looked at the
20 abstracts -- when I was looking at the abstracts, it
21 looked like fetal loss -- abstract 2, 3, and 4 were
22 talking about fetal loss. But I guess there was just such
23 positive results, it was just really hard to tell. But I
24 guess we're talking right now about whether to have
25 further discussion. So with three studies looking at that

1 endpoint, I guess I would vote yes.

2 CHAIRPERSON BURK: Okay. So you're going to vote
3 yes?

4 All right. I will add that and make that 6 and
5 1.

6 All right. The last chemical on the list today
7 is sulfur dioxide.

8 And I can't remember who's doing the staff report
9 because I lost my page.

10 All right. There it is. Dr. Francisco Moran
11 Messen.

12 Thank you.

13 (Thereupon an overhead presentation was
14 Presented as follows.)

15 DR. MESSEN: Thank you. Good afternoon. My name
16 is Francisco Moran Messen and I'm going to be presenting
17 the evidence available for prioritization of sulfur
18 dioxide.

19 Sulfur dioxide is an intermediate in the
20 production of sulfuric acid. It has been used as a
21 fumigant, a preservative in the wine and dried fruit
22 industry, a bleach and a steeping agent for grain in food
23 processing; catalyst or extraction solvent; flotation
24 depressant for sulfide ores; intermediate for bleach
25 production; and a reducing agent.

1 Sulfur dioxide in ambient air comes from
2 activities such as the burning of coal and oil at
3 powerplants or from copper smelting.

4 --o0o--

5 DR. MESSEN: In reviewing the epidemiologic data,
6 we found 18 epidemiologic studies reporting increased risk
7 of adverse developmental or reproductive outcomes, 7 of
8 which were analytical studies of adequate quality. These
9 studies were air pollution type of studies with endpoints
10 of preterm delivery and low birth weight.

11 One meeting abstract reporting an increased risk
12 of adverse developmental and reproductive outcomes was
13 also determined.

14 They found as well one epidemiologic study
15 reporting no increased risk of adverse developmental or
16 reproductive outcomes.

17 One related article in the epidemiologic data was
18 also found.

19 --o0o--

20 DR. MESSEN: In reviewing the animal data, six
21 animal studies reporting developmental or reproductive
22 toxicity were found with endpoints in reproductive effects
23 including biochemical parameters, like the glutathione
24 oxidation-deoxidation system, on balance in males;
25 disturbances in the estrous cycles; and lower fertility.

1 In the developmental outcomes effects including
2 low birth weight and altered social/agonistic behavior.

3 Two studies that did not report developmental or
4 reproductive toxicity were also found, as well as four
5 related articles.

6 That concludes the presentation of sulfur
7 dioxide.

8 CHAIRPERSON BURK: Thank you.

9 I've asked Dr. Calvin Hobel to take the lead on
10 this chemical.

11 COMMITTEE MEMBER HOBEL: Okay. The papers that I
12 reviewed I think really point toward this whole issue of
13 timing again. I think that the -- for example, the first
14 paper was from Korea. And actually there are a lot of
15 exciting papers coming out of Korea today on the
16 epidemiology of low birth weight. And there are a lot of
17 different conditions that seem to be related to low birth
18 weight - maternal age, pollution, and psycho-social
19 stress.

20 But it's interesting that consistently it's been
21 very difficult for me to sort out which of the pollutants
22 are we really talking about. Because as pointed out by
23 the -- one person that put together the comments from the
24 community pointed out that most of these issues with the
25 downstream changes of sulfur dioxide leads to various

1 different types of pollutants.

2 And when people are studying this, they tend to
3 look at several different compounds. And it appears to be
4 two pathways involved. Oxidative stress seems to be very
5 important. And today there's are some really very good
6 bio-markers that can be actually used to study this.

7 And the other pathway that seems to be involved
8 is in the inflammatory pathway. And I think I will point
9 that out as we talk about some of these papers.

10 There seems to be sort of an international issue.
11 There are papers from Korea, Canada, Brazil, the United
12 States, and so forth. And each of these different types
13 of substances, whether we're talking about particulate
14 matter, carbon monoxide or sulfur dioxide, seems to have
15 different patterns in terms of its effect in reproductive
16 biology.

17 For example, the paper from Texas by Gilboa
18 really points this out where they looked at the effect of
19 these substances on cardiac abnormalities. And they found
20 an increased incidence of tetralogy of flow related to
21 carbon monoxide, whereas atrial septal defects were
22 related to a different particulate matter. And then
23 ventricular septal defects were more associated with
24 sulfur dioxide.

25 So there seems to be a different effect on

1 different organ systems. So one has to be careful what
2 substance you're really looking at.

3 And there are also a lot of confounding other
4 factors, as I pointed out - stress and other things.

5 One of the things that I found I thought was
6 quite interesting is this issue of the timing of things.
7 For example, in the paper presented from China by Xu, et
8 al., looked at the issue of high pollution compared to low
9 pollution. And in situations of high pollution was
10 associated with a much earlier preterm birth rate with the
11 very low birth weight deliveries. And this is classic for
12 the inflammatory pathway.

13 And so it looks as if inflammation can be an
14 important part of this pathway if it is related to a much
15 greater exposure rate.

16 And it's interesting that as you look at the
17 sequence of events over time, it looks like oxidative
18 stress initially is probably the beginning of the pathway.
19 And as oxidative stress leads to various biochemical
20 alterations, leads to turning on the inflammatory pathway
21 with all different types of cytokines that are produced.
22 Whereas the initial oxidative stress results in a
23 different profile of biomarkers.

24 And some of these papers begin to point the
25 direction toward that, and other biomarkers like

1 methemoglobin as being a good biomarker of oxidative
2 stress.

3 So I think this is a very complex issue. I don't
4 know how you would address it in terms of listing sulfur
5 dioxide as a significant toxicant, because it's so
6 prevalent in terms of where it's coming from. According
7 to a letter that was produced for us by Ken Kloc from the
8 Golden State University, points out that about half of the
9 emissions come from ships and commercial boats, 20 percent
10 came from petroleum refineries, and 14 percent --

11 DIRECTOR DENTON: Dr. Hobel, we need for you to
12 speak into the mike.

13 COMMITTEE MEMBER HOBEL: Oh, I'm sorry.

14 -- 14 percent from industrial sources.

15 Let me just repeat that again.

16 Half of these emissions came from ships and
17 commercial boats; 20 percent came from petroleum
18 refineries, 14 percent from industrial processes. And
19 then the rest of it appeared to be coming from emissions
20 from sulfur dioxide from other industrial sources.

21 So one would have to address this in a very
22 comprehensive, complex way in order to try to reduce these
23 emissions.

24 So, I think it's something that one should
25 continue to provide surveillance, because I think it does

1 have a significant impact on all kinds of diseases,
2 whether it's asthma, preterm birth, because it seems to
3 have an effect on a lot of steps in the developmental
4 pathway.

5 End of comment.

6 CHAIRPERSON BURK: Okay. I didn't receive any
7 cards, but are there any public comments?

8 All right. Well, let me ask one thing to you,
9 Calvin. In that same letter I noticed there was a
10 suggestion that we should consider particulate matter too?

11 COMMITTEE MEMBER HOBEL: Yes.

12 CHAIRPERSON BURK: Did that make sense to you
13 or --

14 COMMITTEE MEMBER HOBEL: Yes, because most of the
15 papers particulate matter is one of the substances that --
16 downstream from sulfur dioxide.

17 And I think this whole area --

18 CHAIRPERSON BURK: Make sure your green light is
19 on.

20 COMMITTEE MEMBER HOBEL: I think this is a very
21 important area that everyone needs to become aware of,
22 because -- there's an article in Science magazine
23 recently, October 5th, 2007, on the issue of life with
24 oxygen. It goes through this whole issue of the role of
25 oxygen in biology and in systems where there is decreased

1 oxygen availability and what it does to all systems within
2 the body. And I think it's a great article, because it
3 tells us that probably there are various genes that people
4 have that leads to increased susceptibility of disease
5 through oxidative stress.

6 So, again, I point out this issue of there seem
7 to be some people more vulnerable than others that are
8 susceptible to this. And so I think this issue is very,
9 very important.

10 CHAIRPERSON BURK: Any other comments from the
11 Committee?

12 All right. I will read the last one then.

13 Do you advise OEHHA to begin preparation of the
14 hazard identification materials for sulfur dioxide?

15 All those advising yes, please raise your hand.

16 (Hands raised.)

17 CHAIRPERSON BURK: 1, 2, 3, 4, 5, 6, and Linda is
18 recusing herself.

19 So 6 and 1 abstain -- or a recuse.

20 All right. Now, that concludes the chemicals.

21 The next item on the agenda is listed as Other
22 Chemicals Proposed for Committee Consideration. My
23 understanding is that this just means time for the
24 Committee to give input or make any further
25 recommendations.

1 The only note I took along the way was the
2 possibility that we might want to ask for total
3 trihalomethane as a screen. Is that something that we
4 agree on?

5 And is there anything else? Did you want to ask
6 for particulate matter to be screened?

7 COMMITTEE MEMBER HOBEL: Yes, I think it should
8 be -- yes, it should be.

9 CHAIRPERSON BURK: We're in agreement?

10 Okay. Is there anything else? I don't know
11 what's in this category. I don't know what it means
12 exactly.

13 DIRECTOR DENTON: Dr. Burk, in our prioritization
14 procedure there's actually -- this is this Committee --
15 Consultation on Committees for Review. There is a
16 sentence that says, "The committees may also suggest other
17 chemicals that should undergo hazard identification
18 materials preparation." So that's what this item is.

19 Carol, did you have anything else?

20 CHIEF COUNSEL MONAHAN-CUMMINGS: I just wanted to
21 mention that you should also ask if there's any members of
22 the public that wanted to suggest chemicals.

23 CHAIRPERSON BURK: All right. That's a good
24 idea.

25 So are there any members of the public that would

1 like to suggest chemicals to be included or have hazard
2 identification materials prepared?

3 Seeing none.

4 Oh, Linda.

5 COMMITTEE MEMBER ROBERTS: I have a question.

6 Are we going to try to do all seven chemicals at the same
7 meeting?

8 DIRECTOR DENTON: I'm sure that will not happen.
9 That will not happen. Some of these are much more complex
10 than others.

11 CHAIRPERSON BURK: Okay. Next on the agenda
12 then, Discussion of Next Prioritization Data Screen. And
13 that would be Jim Donald.

14 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

15 CHIEF DONALD: Thank you, Dr. Burk. My name, again, is
16 Jim Donald.

17 Thank you also for the Committee's advice to us.
18 You've certainly given us plenty to work on.

19 But we would like at this point also to ask your
20 advice about future screens to apply and our ongoing
21 iteration of this process. And we'd like to make -- we'd
22 like to suggest a few possibilities to you.

23 Since apparently the screen that we applied this
24 time identified chemicals that the Committee thought were
25 worth proceeding with, one possibility would be at some

1 point in the future, either the near future or the
2 slightly more distant future, to reapply this same screen,
3 because the expectation that other chemicals would have
4 had data developed in the meantime that would lead to them
5 also passing that same screen.

6 A second possibility we'd like to suggest is that
7 if you're still interested in focusing initially on the
8 availability of human data, that we might implement a
9 screen with a slightly lower bar, such as the availability
10 of one study -- one analytical study of adequate quality,
11 along with some other type of human data such as perhaps
12 an ecological study or a case series.

13 A third possibility would be to implement a
14 screen that was either based entirely or in part on the
15 availability of animal data. And one possibility there
16 would be to perhaps try and identify chemicals where there
17 appeared to be greater sensitivity for developmental or
18 reproductive toxicity than there was for maternal or
19 systemic toxicity. And just, again, as a possibility, we
20 might look for chemicals where we could identify perhaps
21 two or three studies with the same endpoint where the
22 developmental or reproductive effect occurred at a lower
23 level of exposure than the maternal or systemic toxicity.

24 CHAIRPERSON BURK: All right. Any comments?

25 Yes, please.

1 COMMITTEE MEMBER HOBEL: I just wanted to make a
2 comment about the national children's study, which will be
3 starting in the Vanguard Center. There's one Vanguard
4 Center in southern California which is Irvine. They start
5 recruiting patients July of 2007. And I'm one of the
6 co-investigators of one of the more recent centers in Los
7 Angeles, which will start recruiting patients in July of
8 2009.

9 And this is a tremendous opportunity, because
10 there are going to be many people involved in the State of
11 California - UC Davis, UC Irvine, UCLA, and then UC San
12 Diego, UC Riverside.

13 And just in the Los Angeles we're going to
14 recruit 6,000 patients. And these women will be followed
15 over five to six years, and then their children for twenty
16 years. We'll be collecting biological samples. A third
17 of patients will have samples collected before pregnancy.
18 And then during pregnancy they will have biological
19 samples collected in the first trimester and second
20 trimester. Third trimester we'll be collecting placentas,
21 cord blood. And then there will be samples throughout the
22 new -- for the child for twenty years.

23 So it's a great opportunity to do ancillary
24 studies. So I just mention this because I think all of us
25 are now beginning to think about what type of ancillary

1 studies should be done. And I think this whole issue of
2 collecting samples -- there are plans for collecting dust
3 samples, air samples as part of the study. But I think --
4 beginning to think of what one should begin to look at
5 will be very important, and makes certain we got the right
6 number of urine samples, blood specimens, placentas, to
7 make certain we have something planned that could be
8 available to monitor this for the next twenty some years.

9 CHAIRPERSON BURK: Very good.

10 Does anyone have any comments on the three
11 suggestions that Jim made?

12 COMMITTEE MEMBER JONES: Yeah, I do.

13 I think to take one end of your spectrum, Jim,
14 and look just at epidemiol -- look just at animal data, in
15 other words after you get through the epidemiologic data
16 and that includes animal data as well and so forth, you're
17 going to have to change to a certain -- and if you're just
18 going to be looking at animal data, you're going to have
19 to change the mix of this Committee a little bit, because
20 there are at least three of us for sure who are primarily
21 clinical investigators. And I think you're going to have
22 to have more people who have expertise with animal data
23 and interpretation of animal data if you're just going to
24 be doing animal studies.

25 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

1 CHIEF DONALD: Okay. I'm sorry. I think I gave the wrong
2 impression. I was talking only in terms of identifying
3 chemicals for consideration by the Committee.

4 COMMITTEE MEMBER JONES: I'm sorry.

5 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

6 CHIEF DONALD: We would not be selecting chemicals
7 necessarily that only had animal data, though there would
8 be a possibility that that might occur. But the intent
9 would be still to bring the Committee as complete a
10 representation as we could of the entire spectrum of data
11 including whatever human data were available.

12 But you're absolutely right. It does raise the
13 possibility that we might identify chemicals for which
14 there only were animal data.

15 CHAIRPERSON BURK: Well, that wouldn't be the
16 first time that we had done that. But I tend to think
17 this worked fairly well. Now, if you went back and
18 screened again for human studies, would you find similar
19 to what we had today?

20 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

21 CHIEF DONALD: Well, Of course the only way to know is to
22 do it. But we would expect that since there was, you
23 know, some time lag involved in preparing these materials
24 and sending them out and there would be presumably some
25 additional time lag before we ran the screen again, that

1 it's very likely that there would be additional chemicals
2 that would make the screen.

3 CHAIRPERSON BURK: Okay. Because there would
4 have been more studies published in the meantime?

5 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

6 CHIEF DONALD: Exactly.

7 CHAIRPERSON BURK: Well, I thought that was good.
8 I actually am not so much in favor of dropping the
9 standard to just one analytical study, because I'm afraid
10 that sometimes is too easy to criticize. Even though I
11 think case reports, ancillary material can be very helpful
12 personally. But I know we heard today some think, you
13 know, one study just wouldn't be enough.

14 But, yes, if you do start screening animal, it
15 certainly would be nice to find the ones where there were
16 DART endpoints in the absence of maternal toxicity. That
17 certainly would be a good thing.

18 Does anyone else have any -- Ellen.

19 COMMITTEE MEMBER GOLD: I would interject a note
20 of caution about using case series and ecologic data,
21 because I think in the -- without any sort of comparison
22 group as would be the case in a case series, we'd be
23 treading on very iffy ground for making any kind of
24 recommendations.

25 And similarly with ecologic data where we

1 wouldn't have data on individuals with regard to exposure
2 and outcome, I would be very hesitant to go that direction
3 and set the bar that low. I think it's okay to include
4 those if you meet the bar in addition that we currently
5 have. But I wouldn't lower the bar to use those kinds of
6 studies to prioritize anything.

7 COMMITTEE MEMBER HOBEL: The reason, Jim, I
8 mentioned the national children's study is that there are
9 a lot of people involved at the various universities now
10 who are beginning to think about what things we should be
11 looking at. A lot of them are doing studies that may have
12 preliminary data about some issues that would be very
13 helpful for us to begin thinking about. And I can supply
14 at least two names to you of people who I think should be
15 contacted or at least aware that you are interested in
16 what might -- what should be on the radar screen.

17 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION
18 CHIEF DONALD: Thank you. We'd appreciate that
19 information.

20 CHAIRPERSON BURK: Are there any public comments
21 on the next prioritization data screen?

22 No?

23 COMMITTEE MEMBER JONES: May I have -- I just
24 have --

25 CHAIRPERSON BURK: Sure.

1 COMMITTEE MEMBER JONES: Jim, Linda and I, you'll
2 remember perhaps, were on that -- we contributed to the
3 discussion of how to prioritize. And I must tell you I
4 don't really remember the step that we took today. What I
5 remember was that you were going to -- correct me if I'm
6 wrong -- and, Linda, you may want to correct me. What I
7 remember was that you were going to come up with this
8 prioritization process that we all agreed on in which you
9 would look for agents that had epidemiologic data. And
10 then based upon that, you were going to prioritize. And
11 based on that prioritization, you were going to -- we were
12 going to start looking at those agents that were of the
13 highest priority based on having decent or even good human
14 epidemiologic study.

15 And that this step of having the Committee
16 recommend to you whether you were right, I don't remember
17 being part of this.

18 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

19 CHIEF DONALD: We had a number of meetings. And I have to
20 confess, I don't remember whether you attended all of them
21 or not. But --

22 COMMITTEE MEMBER JONES: Oh, I did, Jim.

23 (Laughter.)

24 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

25 CHIEF DONALD: In previous iterations of prioritization we

1 had offered the Committee the opportunity to have this
2 advisory role. And the Committee had declined to do it.
3 So we are quite certain that this time around the
4 Committee did agree to take on this role, because there
5 was a fairly radical change from a previous position. As
6 to exactly when we reached that decision, I'm afraid I
7 can't tell you.

8 COMMITTEE MEMBER JONES: Do you remember it,
9 Linda?

10 COMMITTEE MEMBER ROBERTS: I don't remember one
11 way or the other. But now that we've done it, what do you
12 all think? Should we --

13 CHAIRPERSON BURK: That's what I want to know.
14 What's the feedback?

15 DIRECTOR DENTON: Well, this is all -- just to
16 remind the Committee, this is all part of this written
17 document here. So we're following pretty much to the
18 letter of what we would do and how we would do it and when
19 we would bring it to the Committee, and flow charts and
20 everything. So this is our final prioritization process
21 that we did adopt back in 2004.

22 COMMITTEE MEMBER JONES: Okay.

23 COMMITTEE MEMBER ROBERTS: I guess I'd suggest
24 that I think -- well, part of me feels it would be nice if
25 this meeting was actually held separately by OEHHA, and we

1 just got the final products. It seems to have worked.
2 And now we have I think at least a year's worth of
3 chemicals to take a look at before we'd be having another
4 prioritiza -- four years. No, I think we can do more than
5 one at a time this time around. And so I guess maybe a
6 check in at one of the other meetings where we're actually
7 looking at a chemical with a hazard identification
8 document might be a good idea before our next meeting to
9 look at the results of screens.

10 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

11 CHIEF DONALD: And to clarify, you know, we see this
12 meeting as being probably somewhat unique because we had
13 run out of candidate chemicals for the Committee to
14 consider. Now that we hopefully have a fairly strong list
15 of chemicals, in the future hopefully further consultation
16 about additional chemicals will be part of a meeting in
17 which you are actually considering chemicals and making
18 listening decisions.

19 DIRECTOR DENTON: And it's always been my intent
20 to get away from these December meetings.

21 CHAIRPERSON BURK: I'll vote for that.

22 I like coming to Sacramento better at other
23 seasons. Although it's not bad now.

24 One more comment.

25 COMMITTEE MEMBER HOBEL: I think I remember when

1 we had the meeting -- we had a lunch at a different place
2 rather than close by. It was a very nice lunch, I recall.

3 (Laughter.)

4 COMMITTEE MEMBER HOBEL: And you had a slide
5 presentation or a PowerPoint presentation and you actually
6 showed a whole series of slides pointing out this process,
7 as I recall.

8 REPRODUCTIVE & ECOLOGICAL TOXICOLOGY SECTION

9 CHIEF DONALD: Yes, that's correct. I did do that.

10 COMMITTEE MEMBER HOBEL: So maybe we ought to go
11 out for lunch again.

12 (Laughter.)

13 CHAIRPERSON BURK: Oh, yes.

14 All right. So are we up to the last agenda item?

15 DIRECTOR DENTON: Maybe I could just summarize
16 the Committee's recommendations on this next
17 prioritization data screen.

18 From my understanding of the discussion, OEHHA
19 would go forward again to do the epidemiology screen using
20 the same criteria that we used in this screen that we
21 brought to you today. And then at some point when we
22 would go on to the animal studies, then the animal
23 evidence, we would look for DART endpoints that do not
24 involve maternal toxicity.

25 CHAIRPERSON BURK: I agree.

1 All right. Staff updates. We have two.

2 First, Cynthia Oshita.

3 MS. OSHITA: Good afternoon.

4 OEHHA has administratively added four chemicals
5 to the Proposition 65 list, one chemical as known to cause
6 reproductive toxicity, and that was di-isodecyl phthalate;
7 and three chemicals as known to cause cancer, and they
8 were propoxur, iprovalicarb, and anthraquinone.

9 And in addition to these, three chemicals were
10 removed from the Proposition 65 list. They were
11 isosafrole, 5-nitro-o-anisidine,
12 tris(aziridinyl)-p-benzoquinone. These chemicals were
13 added as known to cause cancer to the Proposition 65 list
14 in October of 1989 by operation of law based on the Labor
15 Code sections 6382(b)(1) and (d) that incorporates by
16 reference chemicals that require the inclusion of
17 substances listed as human or animal carcinogens by the
18 International Agency for the Research on Cancer, or IARC,
19 and also those that required the inclusion of chemicals
20 within the scope of the federal Hazard Communication
21 Standard, which establishes that a chemical is a
22 carcinogen or a potential carcinogen for hazard
23 communication purposes if it is identified as such by IARC
24 or the National Toxicology Program.

25 The change in classification of isosafrole and

1 tris(aziridinyl)-p-benzoquinone by IARC and the removal of
2 5-nitro-o-anisidine by NTP required that these chemicals
3 be also removed from the Proposition 65 chemical list.

4 A summary sheet of these latest changes to the
5 Prop 65 list are in the staff updates in your meeting
6 materials binder. And in addition to these listings and
7 delistings, there are several chemicals that are under
8 consideration for administrative listing, and they
9 include: Hexafluoroacetone, nitrous oxide, vinyl
10 cyclohexene dioxide, and methanol. And these are all
11 listed as chemicals known to the state to cause
12 reproductive toxicity. Also gallium arsenide is under
13 consideration as a chemical known to cause cancer.

14 Comment were received on all these chemicals and
15 they are under review.

16 Also in your binders is a summary sheet of the
17 safe harbor levels that we've adopted since you last met
18 in May of 2006. And there were three maximum allowable
19 dose levels that are adopted effective September 30th,
20 2007. They were for ethylene glycol monoethyl ether,
21 ethylene glycol monoethyl ether acetate, and potassium
22 dimethyldithiocarbamate. And in June of this year OEHHA
23 issued a notice of proposed rule-making announcing a
24 proposed MADL for di-n-butyl phthalate. Written comments
25 were received and they are being reviewed, and we will

1 respond to them as part of the rule-making process.

2 Thank you.

3 CHAIRPERSON BURK: Yes. And then Carol has an
4 update.

5 CHIEF COUNSEL MONAHAN-CUMMINGS: Yeah, I just
6 have a very brief update.

7 One of the chemicals that Cindy mentioned that we
8 listed this year, one of the phthalates, DIDP, was also
9 the subject of some litigation. Subsequent to the listing
10 we were sued by Exxon-Mobil Corporation challenging our
11 authority to list the chemical administratively. The
12 hearing on that case was held November the 13th in Los
13 Angeles, and the trial court decision was just announced
14 on December the 5th, and the Court upheld our authority to
15 list the chemical using the authoritative body method.

16 CHAIRPERSON BURK: Okay. Last on the agenda,
17 Summary of Committee Advice and Consultation.

18 DIRECTOR DENTON: I want to thank Dr. Burk and
19 all the members of the Committee for participating and
20 very methodically and very conscientiously considering the
21 evidence and the chemicals that were brought for your
22 consideration today. I think it's just so important that
23 such a sober and considerate meeting be held on these
24 important chemicals.

25 I would also like to thank my very able and

1 talented and long suffering staff, who have done
2 yeoperson's work and continue to do yeoperson's work
3 throughout the Prop 65 process under the able leadership
4 of Jim Donald and Lauren Zeise. So thank you for the
5 materials that you presented today and your most positive
6 reflection on the Department.

7 And also thank you to the audience for coming
8 today and for your participation. It's also very
9 important in this process that all sides be heard, both in
10 the written and also in the verbal comments.

11 So with that, I'll summarize the Committee's
12 action.

13 Essentially the Committee endorsed the moving all
14 of the chemicals forward to preparation of hazard
15 identification materials.

16 The votes were unanimous for that for Bisphenol
17 A, Chlorpyrifos and DDE.

18 The votes were 6 to 1 recused for hexavalent
19 chromium and sulfur dioxide.

20 The vote was 6 yes and 1 no for methylisocyanate.

21 And the votes were 4 yes and 3 no for
22 bromodichloromethane and caffeine.

23 The Committee is also recommending that THM --
24 that hazard identification materials be prepared for the
25 class of THM and also for particulate matter.

1 Finally, as far as our prioritization screen, the
2 next screen, as I mentioned earlier, the Committee
3 recommends that we go forward with the same epidemiology
4 screen and do it again for other studies which may have
5 come out since the last screen was done; and then moving
6 on into the animal evidence, consider DART endpoints for
7 which there is an absence of maternal toxicity.

8 So with that, it looks like Jim may have a
9 question.

10 Do we have any --

11 DEPUTY DIRECTOR ALEXEEFF: Just as a
12 clarification. George Alexeeff here.

13 For particulate matter and THMs, it was simply to
14 run the screens, not to actually prepare any materials.

15 DIRECTOR DENTON: I'm glad for that correction.

16 (Laughter.)

17 DIRECTOR DENTON: It's like 3, 4, 5 person-years
18 worth of work that I just committed to and just
19 decommitted to.

20 So let me correct myself. We would be doing the
21 epidemiology data screen for particulate matter and THM.

22 So thank you, Jim.

23 With that, that -- do you want the microphone
24 back, Dottie?

25 CHAIRPERSON BURK: Oh, I get the pleasure.

1 No, I also want to thank everyone, certainly the
2 audience comments, the staff, and the Committee for their
3 serious consideration.

4 And the meeting is adjourned.

5 (Thereupon the Carcinogen Identification
6 Committee adjourned at 4:12 p.m.)

7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

1 CERTIFICATE OF REPORTER

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, and Registered
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the
6 foregoing California Office of Environmental Health Hazard
7 Assessment, Developmental and Reproductive Toxicant
8 Identification Committee was reported in shorthand by me,
9 James F. Peters, a Certified Shorthand Reporter of the
10 State of California, and thereafter transcribed into
11 typewriting.

12 I further certify that I am not of counsel or
13 attorney for any of the parties to said workshop nor in
14 any way interested in the outcome of said workshop.

15 IN WITNESS WHEREOF, I have hereunto set my hand
16 this 21st day of December, 2007.

17

18

19

20

21

22

23

JAMES F. PETERS, CSR, RPR

24

Certified Shorthand Reporter

25

License No. 10063

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

□